

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE

SIXTY-SIXTH MEETING

This document has not been edited
and may contain errors. The information received
from the sponsor is the basis for the
recommendations of the Committee and
the Agency. The Agency does not assume any
responsibility for its accuracy.

Wednesday, December 13, 2000

8:30 a.m.

Holiday Inn Bethesda
Versailles I, II and III
8120 Wisconsin Avenue
Bethesda, Maryland

Corrected pages + disk

PARTICIPANTS

Stacy R. Nerenstone, M.D., Chairperson
Karen M. Templeton-Somers, Ph.D., Executive Secretary

MEMBERS

Richard M. Simon, D.Sc.
Kathy S. Albain, M.D. George
W. Sledge, Jr., M.D. Douglas
W. Blayney, M.D. David P.
Kelsen, M.D.
Scott M. Lippman, M.D.
John T. Carpenter, Jr., M.D.
Donna Przepiorka, M.D., Ph.D.
Bruce G. Redman, D.O.
Sarah A. Taylor, M.D.
Jody L. Pelusi, F.N.P., Ph.D., Consumer Representative
Ruth Linden, Ph.D.
Jan Platner
Robert Erwin Carl F. Dixon
Robert Spiegel, M.D. Gerard T.
Kennealey, M.D.

CONSULTANTS AND GUESTS

Ellin R. Berman, M.D.
Jeremy Sugarman, M.D., M.P.H., M.A.
Carole B. Miller, M.D.
Edmund Pellegrino, M.D.

PATIENT REPRESENTATIVES

Sandra Zook-Fischler
Kenneth McDonough
Barbara Lackritz

FDA

Patricia Keegan, M.D.
Karen Weiss, M.D.
rnt Williams, M.D. Richard Pazdur, M.D. Robert Temple, M.D.
enevive Schechter, M.D. Jay Siegel, M.D.

C O N T E N T S

	<u>PAGE</u>
Morning Session:	
Call to Order and Introductions	5
Conflict of Interest Statement, Dr. Karen M. Templeton-Somers	6
Open Public Hearing:	
Ms. Yvette Politis	7
Sponsor Presentation for Campath:	
Introductory Comments, Lee R. Brettman, M.D., F.A.C.P.	10
Overview of CLL and Therapeutic Options, Michael J. Keating, M.B., B.S.	13
Clinical Data, Lee R. Brettman, M.D., F.A.C.P.	19
FDA Presentation:	
Kurt Brorson	70
Genevive Schechter, M.D.	72
Questions for the Committee	105
Afternoon Session:	
Introductions	133
Conflict of Interest Statement, Karen M. Templeton-Somers, Ph.D.	135
Open Public Hearing:	
Bonnie Kroll	137
James Roberto	142
Natalie Brainerd, The Angiogenesis Foundation	147
Ann Fonfa, The Annie Appleseed Foundation	150
Diane Dorman, NORD	152
Lorelei Rosenthal, Kidney Cancer Association	157
Melissa Yazman, Pancreatic Cancer Action Network	160
Chelsea Kidd, National Patient Advocate Foundation	162
Martha Solonche, Board of Directors of SHARE	165
Jennifer Bryson, Genentech, Inc.	167
Gayle Tibbett	169
Karen Doran	172
Susan Weiner, The Children's Cause	243

C O N T E N T S (Continued)

	<u>PAGE</u>
Single-Patient Use of Non-Approved Oncology Drugs and Biologics:	
Introduction, Grant Williams, M.D.	178
Ethical Considerations:	
Jeremy Sugarman, M.D., M.P.H., M.A., Duke University Medical Center	189
Ruth Linden, Ph.D., Stanford University	206
Perspective from Industry:	
Robert Spiegel, M.D., Schering-Plough Research Institute	215
Gerard T. Kennealey, M.D., AstraZeneca Pharmaceuticals	233
Perspective from the Patient Advocacy Community:	
Carl Dixon, Kidney Cancer Association	245
Robert Erwin, Marti Nelson Cancer Research Foundation	249
Jan Platner, National Breast Cancer Coalition	258

P R O C E E D I N G S

Call to Order and Introductions

1
2
3 DR. NERENSTONE: I would like to begin with the
4 introduction of the people at the table, Dr. Berman, if you
5 will begin?

6 DR. BERMAN: Dr. Ellin Berman, Memorial Sloan-
7 Kettering Cancer Center.

8 MS. LACKRITZ: Barbara Lackritz, the Association
9 of Cancer On-Line Resources, the Chronic Lymphocytic
10 Leukemia Foundation and a cancer patient advocate.

11 DR. ALBAIN: Kathy Albain, medical oncologist,
12 Loyola University Medical Center.

13 DR. CARPENTER: John Carpenter, University of
14 Alabama at Birmingham, medical oncologist.

15 DR. PELUSI: Judy Pelusi, oncology nurse
16 practitioner, Phoenix Indian Medical Center and the consumer
17 rep.

18 DR. SLEDGE: George Sledge, Indiana University,
19 medical oncologist.

20 DR. NERENSTONE: Stacy Nerenstone, medical
21 oncology, Hartford, Connecticut.

22 DR. TEMPLETON-SOMERS: Karen Somers, Executive
23 Secretary to the committee, FDA.

24 DR. TAYLOR: Sarah Taylor, medical oncologist and
25 Palliative Care at the University of Kansas.

1 DR. MILLER: Carole Miller, medical oncologist,
2 hematologic malignancies, Johns Hopkins Oncology Center,
3 Baltimore, Maryland.

4 DR. KELSEN: David Kelsen, medical oncologist,
5 Memorial-Sloan Kettering.

6 DR. PRZEPIORKA: Donna Przepiorka, Baylor College
7 of Medicine, Cell and Gene Therapy.

8 DR. BLAYNEY: Douglas Blayney, medical oncologist,
9 Wilshire Oncology Medical Group, Pasadena, California.

10 DR. LIPPMAN: Scott Lippman, medical oncology,
11 M.D. Anderson Cancer Center.

12 DR. REDMAN: Bruce Redman, medical oncologist,
13 University of Michigan Medical School.

14 DR. SCHECHTER: Genny Schechter, medical reviewer,
15 Division of Clinical Trial and Design and Analysis in CBER.

16 DR. KEEGAN: Patricia Keegan, Division of Clinical
17 Trials in CBER.

18 **Conflict of Interest Statement**

19 DR. TEMPLETON-SOMERS: The following announcement
20 addresses the issue of conflict of interest with regard to
21 this meeting, and is made a part of the record to preclude
22 even the appearance of such at this meeting. Based on the
23 submitted agenda and information provided by the
24 participants, the agency has determined that all reported
25 interests in firms regulated by the Center for Drug

1 Evaluation and Research present no potential for a conflict
2 of interest at this meeting, with the following exceptions.
3 In accordance with 18 USC Section 208(b)(3), full waivers
4 have been granted to Barbara Lackritz, Drs. Blayney,
5 Lippman, Santana and Sledge. A copy of these waiver
6 statements may be obtained by submitting a written request
7 to the agency's Freedom of Information Office, Room 12A-30
8 of the Parklawn Building. In the event that the discussions
9 involve any other products or firms not already in the
10 agenda for which an FDA participant has a financial
11 interest, the participants are aware of the need to exclude
12 themselves from such involvement, and their exclusion will
13 be noted for the record.

14 With respect to all other participants, we ask in
15 the interest of fairness that they address any current or
16 previous financial involvement with any firm whose product
17 they may wish to comment upon. Thank you, and thank you for
18 coming out in the storm.

19 **Open Public Hearing**

20 DR. NERENSTONE: This is now the open public
21 hearing part of the meeting, and I believe we have two video
22 tapes in lieu of speakers. These two video tapes were
23 provided by the sponsor.

24 DR. NERENSTONE: We do have one speaker who has
25 asked to address the committee. Mr. Politis, if you would

1 like to come up?

2 MS. POLITIS: My father and I have been asked to
3 come here by Dr. Rai to talk about my father's experience
4 using Campath. They asked me to come because I was there and
5 I was watching my father go through the cancer for the year
6 before he had been treated with the Campath, and we wanted
7 to come here to, I guess, give a positive endorsement of
8 what this drug has done for his life and for the life of our
9 family as well.

10 My father was probably diagnosed about three and a
11 half years ago with CLL. He went through the standard
12 chemotherapy treatments. To be honest, I don't really know
13 what they had him on at first but they progressively stepped
14 up the chemotherapy and I know that eventually he did get
15 fludarabine, if that is not what he had in the beginning,
16 and I saw that -- well, we knew that the chemotherapy wasn't
17 working because they kept stepping up the dosage and he got
18 progressively and progressively worse. He was probably
19 diagnosed two weeks before Easter. About a year later, about
20 a week before Easter he was in the hospital, in the ICU. He
21 had fluid in his lungs, and his doctor was there telling me
22 and the rest of our family that we would have to stop the
23 chemotherapy. If they gave him the chemotherapy anymore he
24 would die. If they didn't give him the chemotherapy anymore
25 he would die, and they were going to start looking into an

1 experimental program for him.

2 About three weeks later we probably took him over
3 to Long Island Jewish Hospital where he started receiving
4 Campath. His doctor, at Staten Island University Hospital,
5 said to us, you know, this is pretty much your best hope
6 right now. If he doesn't do something, if he doesn't try
7 this, we probably don't give him till the end of the summer.
8 So, we started the treatments. About an hour away from the
9 house, we drove every day for the first week and then three
10 times a week after that.

11 At first, to be absolutely honest -- I was
12 watching the speakers and, to be absolutely honest, it
13 didn't even occur to us that we should be concerned about
14 this drug because we knew that my father really didn't have
15 any options, other than to try that. So, we were very
16 hopeful. We approached it a little skeptically just because
17 of the way my father was three weeks earlier, but we were
18 very hopeful because this was not chemotherapy and, even
19 though we didn't know what kind of side effects he might
20 have, we knew that anything had to be better than the way he
21 was when he was in the hospital three weeks earlier.

22 I guess after the first two or so weeks of the
23 treatment, I think we started noticing a remarkable
24 difference. After the first week, my father remembers having
25 an improvement. I was still sort of holding my breath, I

1 guess, at the time. But within the first couple of weeks
2 there was a marked difference in the level of white blood
3 cells that he had in his system. By, I guess, three or four
4 weeks it was down to a level that I had not seen it at in
5 probably six or seven, maybe even eight, months. We kept
6 doing the treatment. I think he did the treatment for the
7 full twelve weeks just because he was in such bad shape when
8 he had come in that we were hoping giving it a little longer
9 might have a lasting effect. That was about two and a half
10 years ago. He has probably been off the treatment since two
11 years ago this past August, and he is doing quite well.

12 DR. NERENSTONE: Thank you. Does the committee
13 have any questions?

14 [No response]

15 Thank you very much for your time. We would like
16 to turn now to the sponsor presentation.

17 **Sponsor Presentation**

18 **Introduction**

19 DR. BRETTMAN: Good morning. My name is Lee
20 Brettman, Senior Vice President of Medical Affairs of
21 Millennium Pharmaceuticals. On behalf of Millennium and ILEX
22 partners, I would like to thank the committee and the FDA
23 for allowing us to be here today to discuss our BLA for
24 Campath.

25 I would just like to start with a brief overview

1 of the agenda for this morning's presentation. I will give a
2 brief introduction to Campath, following which Dr. Michael
3 Keating will give you an overview of CLL. After Dr.
4 Keating's presentation, I will present the clinical data
5 from our BLA in support of the proposed indication.

6 We are also pleased to have with us a number of
7 experts and investigators from Campath clinical trials: Dr.
8 John Bennet, Dr. John Byrd and Dr. Kanti Rai. They would be
9 happy to answer questions from the committee as well.

10 The proposed indication that we are here to
11 discuss this morning for Campath is that Campath is
12 indicated for the treatment of patients with CLL who have
13 received alkylating agents and who have failed fludarabine
14 therapy. This indication represents a group of patients for
15 whom there are no approved therapies, and so represents a
16 significant unmet need. In recognition of this fact, the
17 agency has granted fast track approval status to Campath,
18 and it has also been designated an orphan drug.

19 Campath is a humanized monoclonal antibody. As you
20 can see in the company diagram, the murine CDRs have been
21 grafted onto a human IgG-1 construct. The murine CDRs are in
22 yellow, and these are essentially the only murine residues
23 in the antibody. So, the murine residues are essentially
24 limited to the CDRs. Campath is directed against an antigen
25 called CD52 which is expressed on B and T lymphocytes, but

1 is not expressed on bone marrow progenitor cells.

2 The mechanism of action of Campath is based on
3 complement mediated fixation and antibody dependent cell
4 mediated cytotoxicity. Induction of apoptosis may also play
5 a role. In this regard, Campath is different from cytotoxic
6 chemotherapeutic agents for the treatment for CLL, and this
7 may be important in its activity in treating refractory
8 patients.

9 Let me just go over some of the key events in the
10 historical time line of Campath. In 1978, Professors Harman
11 Waldmann and Jeff Hale raised the original murine antibodies
12 in the Department of Pathology at Cambridge University, and
13 that is actually the origin of the name Campath, from
14 Cambridge Pathology.

15 In 1990, Burroughs Wellcome licensed the
16 technology and the antibody was humanized in collaboration
17 with Professors Waldmann and Hale.

18 In 1997, Millennium and ILEX partners became the
19 licensee, reviewed the Wellcome data, held a series of
20 meetings with the FDA and initiated our pivotal trials in
21 1998. The BLA was filed in December of 1999 and during the
22 course of this year we have submitted safety updates, as
23 well as responses to FDA questions.

24 With that introduction, I would like to welcome
25 Dr. Keating to the podium to give an overview of CLL and

1 outline the need for new therapeutic options for the
2 treatment of patients with this disease.

3 **Overview of CLL and Therapeutic Options**

4 DR. KEATING: Thank you, Lee. I would like also to
5 thank the committee and the agency for giving me the
6 opportunity to present my view of the state-of-the-art of
7 chronic lymphocytic leukemia.

8 CLL is the most common leukemia we see in the
9 United States and, indeed, in the Western World. As you can
10 see, it is a disease with a median age of 58 years at
11 presentation, but both the incidence and prevalence of this
12 disease is increasing because more and more patients are
13 being diagnosed at a younger age on routine screening
14 examinations, and this places a great stress on them as to
15 what to do when the diagnosis is made at such a young age.
16 And, as our population ages because of the exponential
17 increase in the incidence of this disease with age, we are
18 going to have more and more patients that are suffering from
19 this condition.

20 Whereas some patients are blessed with having a
21 non-progressive form of the disease, the majority of the
22 patients do develop progressive CLL and, as documented in
23 recent articles, the vast majority of these patients end up
24 dying of complications of the disease, predominantly
25 infection.

1 One of the major structural benefits of research
2 in CLL was the development of staging systems, and the one
3 which is most popular in the United States has been the Rai
4 staging system which was developed in the late 1970's.
5 Basically, this is a five-stage system which stratifies
6 patients nicely both for previously treated and previously
7 untreated patients, and the more benign stages are those
8 where the patients only have lymphocytosis or enlargement
9 lymph nodes or enlargement of the spleen and liver, in the
10 Rai zero to II. But many patients actually develop or
11 present with marrow compromised with anemia and
12 thrombocytopenia, and even when they are first diagnosed, if
13 they have these features the average life expectancy is only
14 1.5 to 3 years in different clinical trials.

15 What happens when the disease progresses is that a
16 number of adverse events occur. After progression, the
17 patients become at risk of developing a large cell
18 lymphomatous transformation called Richter's syndrome, or
19 they develop more and more prolymphocytes and, as the
20 prolymphocytes increase in number the prognosis of these
21 patients decreases. Dependent on the progression of the
22 disease and the accumulated effects of chemotherapy and
23 immunotherapy that is administered, they tend to develop
24 progressive bone marrow failure and cumulative
25 immunosuppression, with lowering of their gamma globulin

1 levels and a decrease in T-cell number and function. These
2 two features contribute to the major concern that we have in
3 CLL, which is the development of severe and life-threatening
4 infection. As I mentioned already, once the patient develops
5 progressive disease, probably 90 percent of them end up
6 dying of complications of this disorder, not of incidental
7 causes which is what I was taught when I was going through
8 medical school.

9 The standard treatments of CLL are listed here.
10 For first-line treatment there is no approved agent but
11 grandfathered in have been chlorambucil or Leukeran and the
12 cyclophosphamide regimens which have activity and have been
13 explored with or without corticosteroids for a period of 50
14 years.

15 The only approved agent for the management of CLL
16 is fludarabine, which was approved by the agency in the late
17 1980's, and this has now become the standard salvage
18 therapy. Third-line treatment in patients that have been
19 exposed to alkylating agents and have failed to respond,
20 particularly to fludarabine, there is no such approved or
21 even recommended treatment at the present time.

22 So, what do we try and achieve when we decide to
23 treat someone with CLL? Well, obviously, we would like to
24 decrease the total tumor burden of the disease because the
25 tumor burden is what is causing the complications. Also, we

1 would like to get responses. For the patients, they really
2 like getting responses. Doctors like getting responses, and
3 patients who respond tend to live longer. There is always
4 the statistical argument as to the relevance of that, but it
5 is always better, in my experience, to get a response than
6 not.

7 The clinical benefits are obvious to the patients.
8 Many of the patients, as their disease advances, end up
9 developing the B-symptoms we commonly attribute to lymphoma,
10 with fever, night sweats, loss of weight, but also there
11 tends to be a fairly incapacitating fatigue so that the
12 patients can't continue their daily activities, and this
13 tends to improve as the patients respond. Additionally, the
14 risks to the patients are neutropenia from the cytopenia and
15 the deleterious effects of anemia and, as the patients
16 respond, these improve. These are some of the features of
17 the NCI Working Group criteria in response to treatment of
18 CLL. But many patients also have very enlarged lymph nodes
19 and spleen, and these become tender and incapacitating in
20 some circumstances, and resolution of this is obviously a
21 major clinical benefit.

22 Since the approval of Fludara and, as you can see
23 from the Fludara label, it was approved on the basis of 133
24 patients that were submitted. There has now been an
25 extensive published experience so that we will now be

1 discussing the complications that occur in more than 1400
2 patients.

3 Unfortunately, many of these studies have not
4 always used the NCI Working Group criteria for response, but
5 the response rate varies from approximately 20 percent or
6 approximately 50 percent, with probably a median of about 1
7 patient in 3.

8 You will notice that fludarabine as second-line
9 treatment is not an innocuous therapy. There are very
10 significant cytopenias that develop. A number of these
11 patients, because of the accumulated myelosuppression and
12 immunosuppression develop major infections and this
13 contributes to the number of patients that die while they
14 are being treated for salvage therapy with the approved
15 drug. The median survival of all these publications has a
16 medial survival expectancy of somewhere between 9 and 12.6
17 months.

18 So, if we begin to look at what is available in
19 the literature for patients that have failed fludarabine, it
20 doesn't take a long time to relate the publications. There
21 are no publications of comparative studies from cooperative
22 groups. There are some single agent publications from single
23 centers on cladribine and one publication on a combination
24 approach, and to try and get some expectations for this
25 population we went and evaluated 147 patients at our

1 institution that have failed fludarabine.

2 So, when we look at the list of the four published
3 manuscripts -- the Keating et al. is in press, the response
4 rate varies from 0 percent up to 22 percent. So, the average
5 expectation would be in the 15-20 percent range. You will
6 also notice that the median life expectancy of patients
7 going on these studies is consistently less than 12 months.

8 So, the conclusion that I can make about patients
9 with Fludara refractory disease is that even after second-
10 line therapy the median survival is not satisfactory and
11 there is substantial morbidity that occurs. For third-line
12 treatment the expectation for survival is consistently less
13 than a year and, at the present time, we have no approved
14 therapies and no chemotherapy approaches that we can even
15 recommend to patients. So, on this basis I think it is
16 obvious to me and most treating physicians that new
17 approaches for the management of fludarabine refractory
18 patients are urgently needed.

19 Thank you for your attention and I will pass it
20 back to Dr. Brettman.

21 **Clinical Data**

22 DR. BRETTMAN: Thanks, Michael. I am going to
23 start with a brief outline of what I will be discussing this
24 morning. I will start with a presentation of the efficacy
25 data which we believe supports the effectiveness of Campath

1 in treating a refractory group of patients for whom there
2 are no approved therapies, and in addition, the responses to
3 therapy are associated with meaningful clinical benefits for
4 these patients. I will move on then to a presentation of the
5 safety data which we believe supports that the safety
6 profile of Campath in this advanced disease population is
7 manageable. I will then conclude by focusing on the positive
8 benefit/risk of Campath in this population of patients.

9 I will start with the Campath development in CLL
10 which has two components to it. First, in 1992 Burroughs
11 Wellcome began a series of three Phase I/II dose-ranging
12 studies that looked at a number of different unit doses,
13 ranging from 0.5 to 240 mg, and utilized different dosage
14 frequencies, 1, 3 and 5 times a week. So, 175 patients were
15 enrolled into these three dose-ranging studies.

16 Based on the data from these studies, Wellcome
17 selected a dose of 30 mg 3 times a week to evaluate in Phase
18 II. The two Phase II supportive studies, 005 and 009, were
19 conducted with this dose of 30 mg 3 times a week.

20 Based on the encouraging data from those studies,
21 Millennium and ILEX partners requested a meeting with the
22 FDA and, through a series of discussions, the CAM211 pivotal
23 trial design emerged. We initiated that trial in 1998,
24 utilizing the dose of Campath 30 mg 3 times a week.

25 The core presentation I am going to make today

1 focuses on the two supportive and the pivotal CAM211 trial.
2 Let me just go into a little bit of detail about these
3 trials. The 005 was a multi-center European trial that
4 enrolled both patients with CLL and NHL who had relapsed
5 following or failed prior therapy. And, 32 of these patients
6 had been previously treated and are included here. The 009
7 study enrolled patients exclusively with CLL who had
8 received prior fludarabine therapy, and the CAM211 pivotal
9 trial enrolled exclusively patients with CLL who were
10 required to meet a strict definition of fludarabine failure
11 that I will talk about in a moment. Together, 149 patients
12 were enrolled into the three trials.

13 Let me start with the efficacy results from the
14 two supportive studies, 005 and 009. I would like to point
15 out that these studies were conducted by Wellcome between
16 1993 and 1995. In 1997, when Millennium and ILEX partners
17 became the licensee, we performed additional follow up
18 focusing on survival, verified the databases and, very
19 importantly, organized an expert panel to assess responses.

20 The baseline characteristics of the patients
21 enrolled in this study are shown on this slide, and there
22 are two key points to make from this. First, the patients
23 enrolled into these two studies had been intensively treated
24 previously, having received a median of three prior distinct
25 chemotherapeutic regimens. In addition, the majority of

1 these patients had advanced stage Rai III-IV disease.

2 The assessment, as I mentioned, was conducted by
3 an independent panel according to the NCI Working Group 1996
4 criteria, and the members of that panel were Dr. Keating,
5 Prof. Emilio Montserrat and Dr. Steve Johnson. Let me just
6 go over the NCI criteria very briefly. The NCI criteria
7 define a complete response as the elimination of all
8 laboratory and clinical signs of disease. A partial response
9 means that at least 50 percent reduction in all areas of
10 disease involvement must be achieved, and there must be
11 stabilization or improvement in hematopoiesis. These
12 improvements must last for a minimum of two months to
13 qualify as a response. Progressive disease is defined as a
14 50 percent increase in disease burden from the disease
15 burden at baseline. Stable disease includes other patients
16 who don't meet one of the three previous categories.

17 The responses assessed by the independent panel
18 are shown on this slide, and it shows that in the 005 study
19 the response rate was 28.1 percent and in the 009 study it
20 was 33.3. I will note that these are all partial responses.

21 The time to event parameters associated with these
22 responses are shown on this slide, and show that the median
23 time to response was 3.8 and 3.9 months respectively and
24 that these responses were durable, with a median of 7.1 and
25 15.4.

1 We obtained additional follow up concerning
2 survival for the patients enrolled in these two studies, and
3 that information is shown on this slide. The median was 25.9
4 in the 005 study and 27.5 in the 009 study. I don't want to
5 overstate these because the 95 percent confidence intervals
6 are very broad around these. Nonetheless, in the context of
7 the information you just heard, where the median survival
8 with second-line fludarabine therapy is in the range of 9-
9 12.6 months, this was encouraging.

10 Based on this data, we requested a meeting with
11 the FDA and, through a series of meetings, with the
12 consensus and guidance of the FDA, we designed the CAM211
13 pivotal study. The key elements of the study design are
14 shown on this slide. First, the study was designed as a
15 single-arm, multi-center study. The protocol had a strict
16 definition for fludarabine failure that all patients were
17 required to meet for study entry. The primary endpoint was
18 response rate as agreed to with the FDA, and the dose
19 utilized was the same utilized in the two supportive
20 studies, 30 mg 3 times a week.

21 I would like to spend a moment talking about some
22 of the key design elements of this study, starting first
23 with the rationale for a single-arm study design. First of
24 all, this patient population represents a significant unmet
25 need with no approved therapies that results in no consensus

1 on alternative salvage therapy or management of these
2 patients. A placebo comparative trial was not considered
3 acceptable in a population of patients with CLL which is
4 progressively. I just want to mention also that we clearly
5 recognized, and actually discussed with the FDA at the time,
6 that ultimately a comparative trial would need to be
7 conducted in a less advanced patient population, and we have
8 submitted a concept sheet to the agency that I would be
9 happy to discuss later.

10 The patients were also required to have received
11 prior therapy as follows: They had to have received at least
12 an alkylating agent and failed fludarabine therapy according
13 to the definition that you see here. That is, they failed to
14 achieve a CR or a PR with at least one fludarabine regimen
15 where they had relapsed within 6 months of the last
16 fludarabine dose. So, this means they had to have received a
17 minimum of two prior treatment and the protocol allowed them
18 to receive up to a maximum of five. So, by definition, this
19 protocol selected a group of patients that were severely
20 immunocompromised by virtue of the stage of their disease
21 and their prior therapy, and were also immunosuppressed on
22 that basis as well.

23 Patients were also required to have active disease
24 as defined by the NCI Working Group criteria. That is, they
25 had to have either Rai Stage III or IV disease or Rai Stage

1 0-II progressively disease, defined as being associated with
2 one of the four prognostic factors that you see listed here:
3 Rapid doubling of peripheral lymphocyte count; progressively
4 lymphadenopathy and/or splenomegaly; B-symptoms, among
5 others.

6 The primary endpoint agreed to with the FDA was
7 the objective response rate according to the 1996 NCI
8 Working Group criteria. Campath was required to achieve at
9 least a threshold response rate of 20 percent. It had to be
10 significantly better than 10 percent. This led to a sample
11 size calculation of 75 patients.

12 The protocol also defined the number of key time-
13 to-event parameters as secondary endpoints, including
14 survival, duration of response and time to disease
15 progression. A clinical benefit analysis was also
16 prospectively planned, focusing on the types of signs and
17 symptoms of disease that bother patients, including disease-
18 related B-symptoms and fatigue or reduction or resolution in
19 massive splenomegaly and other such benefits.

20 Campath was administered by intravenous infusion,
21 and during the Wellcome Phase I/II studies it was observed
22 that using gradual dose escalation during the first week of
23 therapy was associated with a reduction in the incidence and
24 severity of infusion-related events. So, the CAM211 protocol
25 required that on the first day of therapy Campath be

1 administered at a dose of 3 mg. If that dose was well
2 tolerated, on day 2 10 mg could be administered, and on day
3 3, 30. After that, Campath would be administered 3 times a
4 week, typically on Monday, Wednesday and Friday. The
5 duration of treatment was to be 4-12 weeks depending on
6 response to therapy.

7 The protocol also required concomitant therapy to
8 be given as follows: Premedication to reduce the incidence
9 and severity of infusion-related events, consisting of
10 diphenhydramine and acetaminophen, to be given before the
11 first dose of Campath and before each dose escalation and,
12 thereafter as clinically indicated. In addition, because all
13 of the patients enrolled in this trial had previously been
14 treated with fludarabine and would be immunocompromised and,
15 in addition, Campath is an immunosuppressive agent,
16 infectious prophylaxis directed against PCP and herpes was
17 mandated in the protocol, consisting of trimethoprim and
18 sulfamethoxazole or equivalent.

19 The baseline characteristics of the patients
20 enrolled in this study are shown on this slide. Again, you
21 can see that this is an intensively previously treated group
22 of patients, having progressed through a median of three
23 prior regimens and the majority, over three-quarters, of
24 these patients had advanced stage, Rai Stage III/IV disease.
25 In addition to having failed fludarabine, two-thirds of

1 these patients had also received salvage therapy after
2 failing fludarabine, including 38 of the 61 patients
3 receiving combination chemotherapy after failing
4 fludarabine, who were now coming onto the CAM211 trial to
5 receive Campath as a single agent.

6 The response was assessed by an independent panel.
7 You can see the members listed here, Dr. John Bennett, Prof.
8 Federico Caligaris-Cappio and Dr. Martin Tallman. In
9 addition, after the BLA was submitted, the FDA reviewer, Dr.
10 Schechter, conducted her own review. While there were minor
11 disagreements between the assessment by the response panel
12 and Dr. Schechter, the bottom line was that the objective
13 response by both the panel and Dr. Schechter was 33.3
14 percent. I would point out that this not only significantly
15 exceeds the 10 percent lower bound set out in the protocol
16 but actually is significantly better than the threshold 20
17 percent that Campath was required to achieve in the
18 protocol.

19 The key time to event parameters are shown on this
20 slide, showing that the time to response was very rapid,
21 with a median of 1.5 months, and that the responses were
22 quite durable, with a median of 8.7 months.

23 You heard earlier that the survival in the 005 and
24 the 009 studies was encouraging and on this slide, and
25 particularly in the context, again, of the information you

1 heard earlier this morning that we are now looking at a
2 third-line therapy and with second-line fludarabine
3 repeatedly the median survival was 9-12.6 months. Obviously,
4 I am not making any direct comparison but it does create a
5 context for what to expect in patients that might go on to
6 third-line therapy. The median survival was 16 months, and
7 you can see the lower bound of the 95 percent confidence
8 interval was 11.8 months.

9 Well, let me just sum up a couple of the key
10 response parameters for the pivotal and the supportive
11 studies. On this graph you can see the response rates with
12 the 95 percent confidence interval around them for the
13 CAM211, 005 and 009 studies. You can see also that this
14 considerable overlap indicating that the response rates
15 across the three studies are similar. In addition, for
16 reference, the target lower bound of 10 percent, as
17 indicated for the CAM211 study, shows that the response rate
18 significantly exceeded this hurdle.

19 The median survival across the three studies was
20 also comparable, ranging from 16 months to 27.5 months but
21 with considerable overlap of the 95 percent confidence
22 intervals.

23 As I mentioned, during the discussion of the study
24 design we conducted a prospectively planned analysis of
25 clinical benefit in all patients. In addition, after the BLA

1 was filed the FDA asked us to conduct a clinical benefit
2 analysis specifically in responders, essentially to
3 demonstrate why is a response to Campath of benefit to
4 patients. So, we did that, focusing on the types of signs
5 and symptoms of disease that are bothersome to patients,
6 including disease-related B-symptoms, fatigue, massive
7 splenomegaly which is noticeable to patients and
8 uncomfortable, improvement in disease-related anemia and
9 maintenance or improvement in performance status, which is
10 clearly important to patients with a progressive disease
11 like CLL.

12 I would like to start that discussion with the
13 clinical benefit analysis conducted in responders. Overall,
14 there were 31 responders of the 93 patients in the CAM211
15 trial, and 17 of these patients enrolled in the study with
16 baseline B-symptoms of fatigue. All 17 of these patients
17 experienced resolution of these symptoms on study and in
18 follow up. Ten of the 31 enrolled in the study with massive
19 splenomegaly, which was defined by the NCI Working Group as
20 a spleen tip more than 5 cm below the left costal margin.
21 Nine of these 10 experienced not only more than 50 percent -
22 improvement as required by the NCI Working Group criteria
23 for response, but complete resolution of the splenomegaly.
24 The remaining patient experienced more than 50 percent
25 improvement in a spleen that was 10 cm below the costal

1 margin at study entry.

2 We also conducted an analysis of the impact of
3 therapy on disease-related anemia. In several large studies
4 it has been demonstrated that hemoglobin increases of
5 greater than 2 g are associated with meaningful and
6 measurable quality of life benefits, as well as improvement
7 in Karnofsky status. In the responder group 15 of the
8 patients enrolled in the study with hemoglobins less than
9 11, and 11 of these 15, or 33 percent, improved by greater
10 than 2 g, with a range of improvement of 2 to 6.4 g. This
11 improvement was not attributable to transfusions or
12 erythropoietin administration.

13 In addition, with regard to performance status,
14 8/20 patients enrolling with a performance status of 1
15 improved to 0. Overall, 23/31 maintained or improved their
16 performance status. The remaining 8 patients either varied
17 between performance status 0 and 1 or had insufficient
18 follow up to determine the change in performance status.

19 I mentioned that we had conducted an analysis of
20 clinical benefits in all patients. Just for completeness, I
21 show a table here which shows the clinical benefit analysis
22 in responders that I just discussed, but also shows that
23 while the responders were the ones that predominantly
24 benefited from therapy, some of the same types of clinical
25 benefits were also seen in some patients who did not meet

1 the NCI Working Group criteria for response.

2 I will summarize the efficacy then for Campath in
3 this patient population. Campath was effective in patients
4 with CLL who had failed fludarabine. The objective response
5 rate of 33.3 percent significantly exceeded both the lower
6 bound of 10 percent that we were required to significantly
7 exceed, but also significantly exceeded the threshold
8 response rate of 20 percent. The survival that was observed
9 in this trial was 16 months. The lower bound confidence
10 interval associated with that is 11.8 months. In addition,
11 these responses were associated with measurable and
12 meaningful clinical benefits to patients, and the supportive
13 studies were consistent with these results.

14 I would like to move on now and discuss the safety
15 profile of Campath. First of all, I want to just remind you
16 that the integrated safety database that I will be
17 discussing includes all 149 patients enrolled into the
18 pivotal and two supportive studies. These are patients with
19 CLL, all of whom have been previously treated and all of
20 whom received a dose of Campath 30 mg 3 times a week.

21 Before I do that though, I do want to characterize
22 the population about which we will be discussing the safety
23 profile. The demographics for this population are shown
24 here, and there are a few things that I think deserve
25 special emphasis. The first one is that this is an

1 intensively previously treated group. In fact, 86 percent of
2 these patients had previously been treated with fludarabine.
3 The only patients who did not previously receive fludarabine
4 are a number of patients in the 005 European study because
5 fludarabine was not yet approved in Europe at the time that
6 study was initiated.

7 In addition, when you look at the baseline
8 hematological parameters for patients enrolled in this
9 study, 58 percent had hemoglobins less than 11; 26 percent
10 ANCs less than 1500; and 61 platelet counts less than
11 100,000. In addition, in the CAM211 trial, where we had
12 conducted flow cytometry to assess T-cell subsets over time,
13 46 percent of patients entered with CD4 counts less than
14 500. So, this was an intensively previously treated group of
15 patients, very compromised bone marrow function and very
16 immunosuppressed, and it is important to keep this profile
17 in mind as we consider the safety profile of Campath.

18 I would like to start the safety discussion with
19 an overview of the key adverse events that occur on study,
20 and 15 patients of 149 died on study, and I will talk about
21 that in more detail in a moment. In addition, 30 percent of
22 patients discontinued due to adverse events which are the
23 type and nature that I am going to talk about in detail when
24 I discuss grade 3 or 4 adverse events, including grade 3 or
25 4 infections which occurred on study.

1 Let me go to the on-study deaths. Fifteen
2 patients, or 10.1 percent of patients, died on study, which
3 is defined as dying while being treated or within 30 days of
4 the last dose of Campath. The most common causes of death in
5 these patients were the typical causes one expects to see in
6 a population of patients with CLL, including infection and
7 disease progression and that was the case here.

8 We have also looked at the post-study period, more
9 than 30 days after the last dose of Campath and out to 180
10 days. An additional 18.1 percent of patients died during
11 this period of time but, again, due to the types of causes
12 one would expect to see in patients with CLL, including
13 disease progression as the most common one, as well as
14 infections.

15 The graph that you can see on this slide is a
16 truncated version of the complete table of adverse events
17 occurring in more than 5 percent of patients that you will
18 find on page 45 of your briefing document. What this graph
19 shows, it illustrates the three key safety features of
20 Campath which are infusion-related events, consisting of
21 rigors, fever, nausea, etc., infections such as pneumonia
22 and sepsis, and hematological toxicity. I will talk about
23 all of these in more detail.

24 I would like to start with a discussion of the
25 most common adverse events, acute infusion-related adverse

1 events. This graph shows the rate of adverse events
2 occurring over time on study. You can see that during the
3 first week of therapy these infusion-related adverse events
4 are most common, after which there is a substantial decline
5 in the rate. The second point is that grade 3, 4 events are
6 uncommon at all time points.

7 I should mention before we move on that, as you
8 heard from one of the testimonials this morning,
9 investigators occasionally use other premedications,
10 including steroids and Demerol, for patients who experience
11 more severe or more persistent events, and these were
12 helpful in reducing the incidence of events in those
13 patients.

14 So, to characterize the acute infusion-related
15 adverse events, these are events that occur with high
16 frequency but are typically low grade. They decrease over
17 time, but discontinuation due to these events are
18 infrequent. Only 3.4 percent of patients discontinued due to
19 an infusion-related adverse event.

20 Well, let me move now to the second key aspect of
21 the safety profile of Campath, infections. As you heard
22 earlier, infections are a major cause of morbidity and
23 mortality in patients with CLL who have been previously
24 treated, and 28.2 percent of the patients enrolled in these
25 studies experienced a grade 3 or 4 infection on study. The

1 types of infection seen, again, were typical for the
2 population of patients with CLL, including pneumonia,
3 bacterial infections, such as line infections and sepsis or
4 bacteremia, and viral infections, primarily CMV and herpes.

5 Pneumonias were the most common cause of
6 infection, occurring in 15.4 percent of patients. Let me
7 just go over some of the most common pathogens causing
8 pneumonia in these patients. Bacterial pathogens were the
9 most common, typically Klebsiella, Pseudomonas aeruginosa
10 were the common pathogens seen. PCP was the next most
11 common. I am going to talk about that in more detail in a
12 moment. Also, infections due to fungi, such as Aspergillus
13 and Cryptococcus were seen. There were two cases of
14 interstitial pneumonia where no infectious pathogen could be
15 identified and, in addition, five additional patients had
16 pneumonias where no pathogen was identified.

17 I would like to discuss now opportunistic
18 infections. This is clearly a topic of great interest in
19 these studies because the vast majority of patients had
20 previously been treated with fludarabine, an agent known to
21 be associated with an increased risk of infection including
22 those due to opportunistic pathogens and, in addition,
23 Campath is an immunosuppressive agent as well.

24 So, we did an analysis of opportunistic infections
25 utilizing the definition that you see here. That is,

1 infections caused by uncommon pathogens, such as
2 Pneumocystis or cryptococcus, or unusually severe infections
3 caused by common pathogens, such as CMV, Herpes zoster or
4 candida.

5 This table gives an overview of the types of
6 opportunistic infections seen on study. Overall, by the
7 definition that you just saw, 13.4 percent of patients
8 developed an opportunistic infection. Pneumonia due to
9 Pneumocystis carinii was the most common, followed by CMV
10 and Herpes zoster, although fungal infections were also
11 represented in approximately 5 percent of patients,
12 consisting of candida usually, esophagitis in 2 of those
13 patients and cryptococcal pneumonia and 3 cases of
14 Aspergillus.

15 You can also see the number of opportunistic
16 infections in the post-study period, where the incidence of
17 opportunistic infections was lower during that 6-month
18 period than it had been on study.

19 Now, one point I want to make before moving on is
20 that in a large review by Anaissie et al., as well as others
21 in the literature, it has been observed with second-line
22 fludarabine therapy that opportunistic infections of exactly
23 the same kind that you see here are observed in those
24 studies, occurring with a similar frequency.

25 Well, obviously two of the more common

1 opportunistic infections, PCP and zoster, can be prevented
2 and in the CAM211 protocol prophylaxis for these two
3 pathogens was mandated. What you can see on this graph is,
4 in blue, the incidence of PCP and zoster for the 005 and 009
5 patients and, in green, the incidence of these pathogens in
6 patients enrolled in the CAM211 study. So, you can see there
7 is a dramatic decline, which is not statistically
8 significant but obviously it appears that the incidence of
9 these infections was reduced with prophylaxis. In fact, we
10 saw no zoster in the CAM211 study.

11 I mentioned in the discussion of the structure of
12 Campath that it is directed against CD52, which is expressed
13 not only on B-cells but also on T-cells. So, we were very
14 interested in seeing what would happen to CD4 counts on
15 therapy, and incorporated in the CAM211 study design flow
16 cytometry assessment of T-cell subsets at baseline, at
17 various times on study and during follow up.

18 The analysis that you see here represents a
19 mutually exclusive cohort analysis of patients who had CD4
20 counts at baseline and at least at 2 months, at least at
21 baseline and 4 months, and at least at baseline and 6
22 months.

23 You can see a couple of important things on this
24 graph. The first one is that the patients enrolled in this
25 study had a median CD4 count between 500 and 600, indicating

1 that they had markedly decreased levels of CD4 counts, in a
2 large percentage of the patients.

3 The second important point is that CD4 counts
4 dropped dramatically on therapy, bottoming out during the
5 first few weeks of therapy and then there is a modest
6 increase in CD4 counts on study, but after the drug is
7 discontinued there is steady increase at 2 months, 4 months
8 and 6 months respectively, and at the 6-month time point the
9 median CD4 count had returned toward the median baseline
10 count for those patients in whom we had baseline and at
11 least 6-month follow up.

12 I would like to move on now and talk about the
13 hematological toxicity associated with Campath therapy. I am
14 going to start with a discussion of pancytopenia reported as
15 an adverse event or the reason for discontinuation from the
16 study. Pancytopenia was reported as an adverse event by the
17 investigator in 10/149 patients, or 6.7 percent. This
18 adverse event was not reported in patients enrolling in
19 study with Rai Stage 0-II but exclusively in patients with
20 Rai Stage III/IV disease. These patients for whom we have
21 more than 3 weeks of follow up recovered and, in fact,
22 ultimately experienced improvement in their platelet counts
23 over their baseline study entry counts.

24 Since CLL is a disease of the bone marrow and this
25 is an advanced refractory disease population we are talking

1 about, investigators frequently did not report hematological
2 abnormalities as adverse events. So, I would just like to
3 take you through a laboratory analysis of hematological
4 abnormalities occurring on study. I want to start with this
5 graph which shows the median count for hemoglobin, platelet
6 count and ANC for all patients enrolled in the study. It
7 shows the change over time in this median count and it makes
8 a couple of important points.

9 The first one is that each one of these medians
10 declines on treatment, typically during the first 2-6 weeks
11 of therapy, after which they begin to recover. In the case
12 of hemoglobin and platelet count, the median counts on study
13 and post-study follow up actually exceed the median count at
14 baseline, whereas the ANC recovery is slower and may take a
15 month or two after therapy or longer in some patients to
16 return to baseline ANC count.

17 With that in mind, the pattern of this decrease in
18 counts which is very predictable with Campath after which
19 recovery takes place, we wanted to try to dissect out the
20 contribution of Campath to hematologic toxicity versus the
21 stage of the underlying disease and the cumulative toxicity
22 of previous chemotherapy. So, we looked at baseline median
23 counts by Rai Stage, and the results are not surprising. It
24 shows that patients with Rai Stage III/IV disease have
25 abnormal hemoglobin and platelet counts which by definition

1 they must have. But the point I want to make here is that
2 the median counts for hemoglobin and platelet are already
3 CTC grade 2 at the time they enter study.

4 You can see this reflected in the types of changes
5 that take place on study. So, patients with Rai Stage III/IV
6 disease who start out lower are more likely to develop grade
7 3/4 toxicities on study. You can see the percents
8 represented here for Rai Stage 0-II versus the Rai Stage
9 III-IV. You will also note that grade 4 neutropenia was the
10 most common hematologic abnormality occurring on study. It
11 was seen in 12.5 percent of Rai Stage 0-II patients and 46
12 percent of Rai Stage III-IV patients who enrolled in study
13 with a baseline grade of 0-2.

14 I mentioned in the median graph that counts go
15 down and then they go back up. What this graph shows is the
16 proportion of patients with grade 4 neutropenia over time on
17 study. So, this is all patients who had grade 4 neutropenia
18 at any time. It shows that at baseline about 8 percent of
19 patients with Rai Stage 0-II had grade 4 neutropenia, and
20 that approximately 13 percent of patients with Rai Stage
21 III-IV already had grade 4 neutropenia at study entry. Over
22 time on study there was an increase in the proportion of
23 grade 4 neutropenia, after which it began to decline. In
24 fact, in the post study follow-up period a lower proportion
25 of patients had grade 4 neutropenia than had grade 4

1 neutropenia at baseline.

2 So, let me summarize then the hematological
3 toxicity information that I just presented. Hemoglobin,
4 platelet count and ANC do decline on treatment but then
5 improve. ANC recovery, however, may be delayed in some
6 patients. Grade 3/4 hematologic toxicity, not surprisingly,
7 occurs predominantly in patients with severely compromised
8 marrow.

9 I should mention that in the CAM211 study protocol
10 there were discontinuation guidelines in the protocol that
11 required investigators to temporarily discontinue Campath if
12 the AND declined below 250 or the platelet count declines
13 below 25,000. After recovery it could be reinstated. You
14 can see that temporary discontinuation of Campath was
15 required in 16 percent of patients for this reason, after
16 which it could be reinstated, but only 4.7 percent
17 discontinued therapy permanently because of this
18 complication.

19 Another useful way to put into context the safety
20 profile of Campath is to look at how the drug was delivered,
21 as outlined in the study protocols. So, this table
22 summarizes that information, and 98 percent of patients
23 reached the target dose of 30 mg; 89 percent received more
24 than 4 weeks of therapy, and the median duration of therapy
25 was 9 weeks. This suggests that the safety profile of

1 Campath in this advanced refractory population of patients
2 was manageable and that the drug could be delivered as
3 planned to the majority of patients.

4 Before I leave the safety discussion, I would just
5 like to provide an additional context in which to consider
6 the safety results that I have just presented. I would like
7 to do that by showing you some of the key safety parameters
8 from the fludarabine package insert. Now, fludarabine, as
9 you know, is approved for second-line therapy of CLL and the
10 approval of fludarabine was based on two single-arm studies
11 enrolling a total of 133 patients, and 22 percent of the
12 patients died on study; 59 percent developed grade 4
13 neutropenia; and 16 and 22 percent respectively developed
14 major pneumonias in the two studies; and the survival was 10
15 and 12 months in these two studies.

16 Now, I am not making any direct comparisons
17 between this data and the Campath data, but I do want to
18 make the point that it is reasonable to expect that patients
19 failing second-line therapy and going on to third-line
20 therapy, you would expect them to do worse, and that is
21 perhaps not the here.

22 So, let me now move to my conclusions, starting
23 with safety. The most common adverse events are acute
24 infusion-related events which are most common during the
25 first week of therapy and then decline substantially after

1 that. A small percentage of patients discontinue drug due to
2 these events.

3 Severe infections are seen in 28 percent of
4 patients including those due to opportunistic pathogens.
5 These are the types of infections that would be expected in
6 this population of patients and have been reported in
7 fludarabine studies as well.

8 Hematologic toxicity, which can be severe, emerges
9 on treatment in some patients. These are primarily patients
10 that have substantial marrow compromise at the time they
11 enter study, and these patients should be followed
12 especially closely while receiving therapy with Campath.
13 However, this represents a reasonable and manageable safety
14 profile in this immunocompromised, refractory disease
15 population.

16 Against this manageable safety profile is the
17 efficacy profile of Campath. Campath is effective in a
18 population of patients for whom no approved therapies are
19 available and so represents a significant unmet medical
20 need. The objective response rate of 33 percent seen in the
21 pivotal trials significantly exceeded the hurdle set in the
22 protocol, and the median survival associated with this was
23 16 months.

24 The supportive studies were consistent with these
25 results with objective response rates of 28 and 33 percent

1 respectively, and comparable survivals overall. These
2 responses were associated with meaningful clinical benefits
3 that are very important to patients as well.

4 So, for the benefit/risk I would like to focus on
5 the CAM211 study which enrolled exclusively patients with
6 advanced refractory disease. The majority of these patients
7 had received salvage therapy after failing fludarabine. So,
8 they were immunocompromised at study entry. They had
9 significantly compromised marrow at study entry and there
10 were no approved or effective therapies for the treatment of
11 these patients. In spite of that, Campath was effective and
12 manageably safe in this patient population, and has the
13 potential to address a significant unmet need in these
14 patients.

15 We feel that this data strongly supports the use
16 of Campath for the treatment of patients with CLL who have
17 previously been treated with alkylating agents and have
18 failed fludarabine therapy.

19 I would like to thank you for your attention, and
20 I would be happy to answer any questions.

21 DR. NERENSTONE: Thank you very much. We will now
22 open to the committee for questions of the sponsor. Dr.
23 Przepiorka?

24 PRZEPIORKA: I will start with some questions for
25 Dr. Keating, please. I have three questions. The first is,

1 can you tell us a little about your experience with use of
2 anthracyclines as second-line and third-line therapy for ALL
3 patients treated with F&D, CHOP or BED?

4 DR. KEATING: Yes, we have used a number of
5 anthracyclines. It is of interest to note that there is no
6 evidence that anthracyclines have any activity in CLL. There
7 is no published paper that shows that doxorubicin,
8 idarubicin or any other anthracycline is effective in CLL.
9 We have looked at idarubicin and have found that there has
10 been no significant response rate. I think we had 1 response
11 out of 20 patients. The BED regimen we have used in a number
12 of patients and, while it shrinks the lymph nodes, it
13 doesn't really do very much in the way of improvement in
14 hematological responses. Some patients do respond to the
15 CHOP program, and I think the response rate is probably
16 around 10-15 percent in patients that have never had
17 exposure to alkylating agents before.

18 PRZEPIORKA: For patients treated with
19 fludarabine, what is the survival for those who achieve a PR
20 versus those who have no response whatsoever?

21 DR. KEATING: The median survival of patients that
22 get PRs in salvage therapy is approximately two years,
23 whereas those that survive the therapy and don't get an
24 objective response, their median survival is around 9
25 months.

1 PRZEPIORKA: And for those who achieve some
2 response but develop prolonged cytopenias, how long can
3 those cytopenias last and do they resolve spontaneously
4 later down the line?

5 DR. KEATING: The cytopenias that occur -- we have
6 just analyzed that in particular in a group of patients that
7 have had it as front line; it is more common in patients
8 that receive it as second-line, many of these patients never
9 recover normal red cell counts and normal platelet counts,
10 normal neutrophil counts. There is a persistent low grade
11 myelosuppression that occurs after fludarabine,
12 predominantly in patients that are older than 70 years of
13 age that start off with advanced stage and have other
14 adverse characteristics like elevated beta-2 macroglobulin.

15 We haven't systematically looked at it in all
16 patients that are receiving salvage treatment, but I know
17 from our experience that it is significantly higher. I would
18 imagine that in those who fail get a response and have
19 persistent pancytopenia, it is probably about 15 percent or
20 20 percent of the patients that go through the trial and
21 they usually don't recover.

22 PRZEPIORKA: Thank you.

23 DR. NERENSTONE: Dr. Blayney?

24 DR. BLAYNEY: Yes, thank you, Madam Chair. For Dr.
25 Brettman, I was encouraged to hear you say that improving

1 and treating the underlying illness, the CLL, resulted in
2 increase in performance status and hemoglobin improvement.
3 Others in your industry, from reading the direct to consumer
4 advertisement, seem to ignore treating the underlying
5 illness as an important part of improving performance status
6 and hemoglobin.

7 The adverse events that you describe seem to be
8 infusion-related early on. Was there a correlation between
9 the circulating lymphocyte count and the severity or onset
10 of these infusion-related events? Is this seen in other
11 monoclonal preparations?

12 DR. BRETTMAN: We did look at that and did not see
13 any convincing association between the level of the
14 circulating leukocyte count and the intensity of infusion-
15 related events. However, certainly we have heard anecdotal
16 experiences from some of the clinicians that patients with
17 especially high lymphocyte counts -- and I think Dr. Rai had
18 a patient with a white count of 700,000 who did experience a
19 severe infusion-related event. I don't know whether you want
20 to comment on that, Kanti.

21 DR. RAI: I agree with Lee --

22 DR. NERENSTONE: Could you please identify
23 yourself?

24 DR. RAI: My name is Kanti Rai. I come from Long
25 Island Jewish Medical Center, in New York. In answer to the

1 question about any relationship with adverse shaking chills
2 and fever with Campath with height of leukocyte count, we
3 have seen those reactions both with very low starting white
4 count as well as with very high white count and, as Lee
5 mentioned, the highest white count that we saw and treated
6 was 750,000 and the level of reactions, infusion-related
7 reactions were severe but no different from the low white
8 count.

9 DR. BLAYNEY: In your briefing document you talk
10 about pharmacokinetics being non-linear and I assume that
11 that has to do with the disappearance of the compartment
12 that may be absorbing the drug. Can you comment on that?

13 DR. BRETTMAN: Sure. First of all, there are two
14 parts to it. The pharmacokinetics across unit doses ranging
15 from 7.5 mg to 75 mg were actually dose proportional. So, if
16 we could actually show slide 4, please?

17 This shows the PK parameters from the 002 Phase I
18 study that administered Campath once a week. This is the
19 study from which the most reliable pharmacokinetic
20 information comes because of the sampling that was possible
21 from a once weekly dosing regimen. You can see that the
22 half-life across the doses from 7.5 to 75 are pretty
23 comparable, as well as the C-max and the AUC being dose
24 proportional in these doses.

25 But I think your question probably relates to what

1 is the impact of tumor burden on the pharmacokinetics of
2 Campath.

3 DR. BLAYNEY: And repeated dosing as you outlined
4 in your proposed package insert. Patients are going to get
5 repeated dosing and apparently the pharmacokinetics with
6 repeated dosing are somewhat different than the single dose
7 you just showed.

8 DR. BRETTMAN: Let me see slide 12, please. This
9 graph shows the data from the 005 study, the Phase II
10 supportive study utilizing the dose of 30 mg 3 times a week.
11 Now, in this study limited pharmacokinetic sampling was
12 done, essentially limited to getting peak and trough levels
13 over time in the patients enrolled in the study. This shows
14 the analysis for the patients with CLL and you can see that
15 the purple dotted line shows the median lymphocyte count
16 rapidly coming down, reaching the nadir at approximately 4
17 weeks of therapy. You can see also over time that the peak
18 and trough Campath levels gradually rise, approaching a
19 plateau approximately around week 5 to 6 of therapy.

20 DR. BLAYNEY: When the lymphocyte count comes
21 down, as you show, in week 4 is there any reason, other than
22 protocol adherence, to continue Campath dosing?

23 DR. BRETTMAN: To continue dosing at all at that
24 point?

25 DR. BLAYNEY: Yes.

1 DR. BRETTMAN: Yes, I think there is a very strong
2 reason to continue dosing at that point. The lymphocyte
3 count in the peripheral blood comes down very rapidly. Bone
4 marrow also clears rapidly but not as rapidly as peripheral
5 blood. Liver and spleen resolve less rapidly than that, and
6 lymph nodes appear to resolve the most slowly. So, it has
7 been observed in clinical trials that continued improvement
8 on Campath can be seen up to 12 or 13 weeks of therapy in
9 some patients, and so the duration of therapy should be
10 tailored to the vigor of the response. If patients achieve a
11 plateau and experience no further improvement then, as you
12 are suggesting, therapy should be discontinued.

13 DR. BLAYNEY: My last question is what have you
14 experienced we retreatments after a prolonged remission with
15 either Campath or another monoclonal?

16 DR. BRETTMAN: We have data on 19 patients from
17 the three studies who have gone on to be retreated with
18 Campath, typically under a compassionate use protocol. If we
19 could show that slide, please?

20 So, 9 patients from CAM211 and 10 patients from
21 the two supportive studies -- 13 had responded to the
22 initial treatment with Campath and 6 were non-responders.
23 Ten of the 19 patients were reported by the investigators to
24 have responded to therapy, including 2/6 patients who were
25 prior non-responders.

1 DR. BLAYNEY: Thank you.

2 DR. NERENSTONE: Dr. Miller?

3 DR. MILLER: Thanks. I have some questions about
4 the neutropenia. First, can you talk a little bit about the
5 mechanism of the neutropenia proposed, and then the attempts
6 to ameliorate the neutropenia by use of growth factors and
7 whether there is any effect? Secondly, it appears that when
8 you talk about managing the side effects of Campath, I think
9 management of side effects suggests that we may be able, at
10 least from the neutropenia standpoint, manage prolonged
11 neutropenia or complications thereof.

12 DR. BRETTMAN: So, the first question is what is
13 the putative mechanism of neutropenia.

14 DR. MILLER: Yes.

15 DR. BRETTMAN: The answer to that is we don't
16 really know because CD52 is expressed on 5 percent of
17 granulocytes, primarily eosinophils but not other
18 granulocytes, and it is not expressed on myeloid precursors.
19 So, from that basis it does not appear as if Campath is
20 actually directly attacking progenitors of granulocytes. In
21 addition, there is evidence from investigators, who have now
22 extensively used Campath to in vivo purge patients prior to
23 doing stem cell transplants, that Campath does not appear to
24 impact the ability to get a good stem cell harvest.

25 However, I think there may be at least a partial

1 explanation. I don't think we can explain it entirely. If
2 you look at the marrows of some of these patients, they are
3 absolutely packed with disease, and there is rapid clearance
4 over the first 3-4 weeks of therapy. These are patients who
5 come in with very compromised marrow to begin with and I
6 think at least one of the factors is release of cytokines
7 injuring an already damaged marrow, and that at least may be
8 one of the mechanisms at least early on. The prolonged
9 recovery after Campath -- by the way, you see that pattern,
10 they come down and they come back up in most patients. There
11 is a subset of patients who take a longer time for the ANC
12 to recover, out to several months after therapy, and we
13 don't have a good explanation for that.

14 DR. MILLER: Can you comment on response to growth
15 factors and what percent of the patients that have prolonged
16 neutropenia fail to respond to growth factors?

17 DR. BRETTMAN: Yes. Give me a moment and I will
18 find that slide for you. This shows the use of both G and
19 GM-CSF and erythropoietin across the three studies. So, a
20 quarter of the patients in the CAM211 study got growth
21 factor support compared to 2.5 in the 005 study, primarily
22 because it wasn't widely available at the time that study
23 was done. The median duration of growth factor use in the
24 CAM211 study was 14 days. Patients did respond to growth
25 factor. You could see an increase in neutrophil count, not

1 surprisingly, after the initiation of growth factor therapy.

2 DR. MILLER: Particularly in the patients with the
3 long neutropenia, is there any evidence that they responded?
4 I mean, were those patients generally treated, and do you
5 feel that growth factors can ameliorate at all the prolonged
6 -- I mean, the real risk is not the going down to 500; it is
7 the patients as we looked at in the toxicity data that had
8 the prolonged neutropenia, lasting a month or two and didn't
9 recover and, you know, had significant incidence of fungal
10 infections. Were all those patients treated and, number two,
11 did any of them respond?

12 DR. BRETTMAN: I am sorry, were all those patients
13 treated --?

14 DR. MILLER: I suspect that growth factors, at
15 least in 211, were allowed and clearly commercially
16 available. The information on the patients with the
17 prolonged neutropenia, the severe neutropenia -- do you have
18 data on that group of patients and whether any of them
19 responded to growth factor?

20 DR. BRETTMAN: I don't specifically have that
21 information but we could certainly get it. It is a good
22 thing to look at.

23 DR. MILLER: Do any of the investigators who are
24 here have any experience with response in the prolonged
25 neutropenia in patients with growth factor?

1 DR. BYRD: John Byrd, from Walter Reed, working in
2 conjunction with Dr. Flynn at Hopkins, there were several
3 patients treated that responded to growth factor, and we
4 subsequently did a trial with Campath and GM-CSF where, in a
5 proportion of patients, not all patients, the cytopenias
6 that were seen initially with Campath were ameliorated by
7 GM-CSF. I can't give you the exact number.

8 DR. BRETTMAN: I might add just for clarification
9 that the recovery of ANC is defined as returning to their
10 baseline level. So, you know, many of those patients get up
11 above 1000 but don't get back to the baseline of 2000 that
12 they had at study entry. There are some though, as you
13 pointed out, that do have persistently low ANC counts after
14 study.

15 DR. KEATING: Michael Keating, from M.D. Andersen.
16 The patients that we saw on study -- I don't think there was
17 a single patient that didn't have some response to growth
18 factors. In some patients it was sluggish to respond but in
19 those where we felt it necessary to give the growth factors,
20 we didn't see anyone that didn't have some substantial
21 response.

22 DR. MILLER: If you look at the sponsor's safety
23 data or the actual patients who died of infection while on
24 and off, those patients generally die with just the
25 neutropenia and I suspect most of the patients got growth

1 factor. I am just trying to figure out, if you look
2 specifically at those patients who had Aspergillus -- I
3 assume that those patients were probably getting growth
4 factor at the time, and I am just trying to figure out how
5 reversible it is and if we have any understanding of that.

6 DR. BRETTMAN: Yes, it is a good question. We will
7 look into it.

8 DR. MILLER: Secondly, in the toxicity there was
9 some discussion of response and the toxic deaths or
10 infusion-related death, and it would appear that many of the
11 non-responders were the patients who had more toxicity. That
12 may make sense. But is that a very clear correlation and do
13 you have any data on the correlation between toxic or
14 infusion-related death and response to treatment?

15 DR. BRETTMAN: Yes, we do. Let me show that to
16 you. What this table shows is the incidence of some of the
17 more significant adverse events, such as grade 3/4
18 infections, serious events and deaths within 30 days of the
19 last dose. You can see that the rate of infection among non-
20 responders is higher than in the responders, not
21 surprisingly. For other events there does not appear to be a
22 big difference between responders and non-responders, at
23 least the ones you see listed here.

24 DR. MILLER: Just one last question, from other
25 studies do you have data from other non-CLL, not very

1 heavily pretreated patients, do you have safety data about
2 the risk of infections. From my standpoint, I am trying to
3 determine the toxicity of the drug itself versus the severe
4 immunocompromise of the patient population. While it is not
5 apropos to the effective, it may be important to look at the
6 toxicity and the risk of severe fungal infections and viral
7 infections in other patient populations treated with
8 Campath, and any further data supporting the safety and
9 compassionate use over the last two years. Some of those
10 patients may have been less heavily pretreated than your
11 patients on the current study.

12 DR. KEATING: May I comment on that? Again,
13 Michael Keating, from M.D. Andersen. We have looked at this
14 in a number of our studies, and Dr. Anaissie did a
15 multivariate analysis of the risk of infections in patients
16 that were treated with fludarabine combinations, and in
17 every subset of patients that we looked at we found that
18 there was a higher risk of getting infections in patients
19 that had a less effective response.

20 The second piece of information, which is
21 interesting but I don't have a clear explanation for, is
22 that in patients that finish therapy with front-line
23 fludarabine you can correlate the risk of getting infection
24 off treatment while they are still in remission according to
25 the quality of response. So, those who have true complete

1 remissions have a low incidence. There is a higher incidence
2 in those that have nodular partial responses, and a higher
3 incidence in patients that have partial responses. These
4 patients are not neutropenic. There is no correlation with
5 the CD4 count. So, there seems to be some correlation
6 between the responsiveness of the tumor and the risk of
7 patients getting complications.

8 DR. BRETTMAN: Yes, an in answer to the second
9 part of your question, we have not conducted studies of
10 Campath front-line. There were a small number of patients in
11 the 005 study who had CLL and were previously untreated.
12 There were 9 such patients and the response rate, not
13 surprisingly, was very high and 8/9 patients experienced a
14 response. The absolute incidence of infections was lower,
15 but with such a small sample not much can be said. In
16 addition, earlier this year, at the European oncology
17 meeting Anders Osterborg presented data that he has
18 collected at the Karalinska. They have treated 25 patients
19 front-line with Campath subcutaneously, and reported also
20 that there was a lower incidence of infection and it seems
21 that, particularly with hematological toxicities, grade 4
22 toxicities weren't seen at all in those patients but, again,
23 it is a small sample and the methods for collecting data are
24 very different from ones we would use, but I tell you that
25 for what you can take from it.

1 DR. NERENSTONE: Dr. Berman?

2 DR. BERMAN: I have questions for Dr. Keating.
3 Help us put this antibody into context with other compounds
4 that are significant T-cell suppressing agents. Can you
5 comment on the slide that showed the length of T-cell
6 suppression with this compared to, for example, chlorodioxy
7 adenosine in patients with hairy cell leukemia?

8 DR. KEATING: Yes, we have looked at the recovery
9 time of patients that have received a single course of 2CDA,
10 and we find that at the 6-month point, Dr. Brettman showed
11 where there was recovery to approximately 500 to 600, we
12 would still anticipate a median CD4 count in the 100 to 200
13 range. So that the purine analogs appear not to have as
14 intense suppression of the CD4 count, but the suppression of
15 the CD4 and CD8 counts is much more prolonged after the
16 purine analogs than is after Campath.

17 DR. BERMAN: Another question is the small but
18 noted incidence of autoimmune hemolytic anemia with
19 fludarabine. Have there been any reported cases of
20 autoimmune either hemolytic anemia or ITP that can be
21 directly related to Campath and not to the underlying
22 disease itself?

23 DR. BRETTMAN: Yes, in the CAM211 study there was
24 one patient who developed ITP with an onset at about two
25 weeks after the last dose of study drug, which we have

1 attributed to Campath. We have seen occasional patients who
2 developed positive Coomb's tests on study but not associated
3 with clinically apparent hemolysis.

4 DR. BERMAN: Was the patient who developed ITP
5 responding to treatment?

6 DR. BRETTMAN: Yes, the patient did respond to
7 treatment.

8 DR. BERMAN: A third question is the incidence of
9 tumor lysis with this agent in patients who have a high
10 white blood cell count.

11 DR. BRETTMAN: In the 005, 009 and CAM211 studies
12 we did not see any cases compatible with tumor lysis
13 syndrome. However, there were patients in the Phase I/II
14 studies who appeared to have syndromes consistent with this.
15 Now, remember, these were rising dose designs, and one
16 patient received an 80 mg dose. This was a patient with NHL
17 and had massive disease and received a single 80 mg dose as
18 the first dose of Campath. That patient developed renal
19 failure, high uric acid and other signs of tumor lysis
20 syndrome. After that experience Wellcome actually modified
21 their protocols to use a dose escalation strategy during the
22 first week of therapy.

23 A second patient was reported by the investigator
24 to have tumor lysis syndrome but the drug was never
25 discontinued. The patient continued to receive therapy

1 although the investigator reported an adverse event of tumor
2 lysis.

3 DR. BERMAN: Lastly, the mean age of the patients
4 who died on study?

5 DR. BRETTMAN: Let me just show you that. It will
6 take a moment.

7 DR. RAI: My name is Rai, from New York. While he
8 is looking for that slide, I would like to address the
9 question by Dr. Berman. Since 1992 or '93 that I have
10 participated in various Campath trials, 009 and 211, I have
11 always been concerned about causing tumor lysis, and we did
12 not see any. We had 13 patients in 009 and 10 patients in
13 211 and all of the patients that started with very high
14 leukocyte count, those patients were especially hydrated
15 pre- and post-Campath and, as the protocol requires, the
16 dose was started at 3 mg and then, 2 days later, was up to
17 10 mg and then 30 mg, and none of those patients had any
18 biochemical or clinical evidence of tumor lysis syndrome,
19 which surprised me.

20 DR. BRETTMAN: I am going to answer your question
21 in two ways. We did an analysis of risk factors and age did
22 not come out as a significant factor, and the death rate
23 above and below the median of 63 years was not different.
24 So, the second part of it is that in the multivariate
25 analysis, as well as univariate analysis, age did not show

1 up as a significant factor.

2 DR. BERMAN: Were there any significant factors
3 that did show up?

4 DR. BRETTMAN: Yes, and we can show that slide.
5 This is the prognostic factor assessment for the CAM211
6 study, and the only factors that turned up in multivariate
7 analysis as being significant were the degree of marrow
8 infiltration greater than 90 percent and region, U.S. versus
9 Europe, although there is a small number of patients; only
10 25 patients were enrolled in the CAM211 study from Europe.

11 DR. NERENSTONE: Dr. Sledge?

12 DR. SLEDGE: I have a couple of questions. First,
13 I would like to get some better sense of the fate of the
14 responding patients. Can you give us an event-free survival
15 curve or an overall survival curve for the responding
16 patients?

17 DR. BRETTMAN: Yes, we can. That is the survival
18 curve for responders versus non-responders for the CAM211
19 trial.

20 DR. SLEDGE: Second question, could you give me a
21 better sense of what the hypotensive events meant, and the
22 severity of the hypotension that was observed, and was any
23 of this anaphylactoid?

24 DR. BRETTMAN: No anaphylactic events were seen
25 during the CAM211 trial. The hypotension events were most

1 commonly just a measurable drop in blood pressure without
2 clinical symptoms. A small percent of patients did become
3 symptomatic and responded quickly to fluids.

4 DR. SLEDGE: Going forward, is there something
5 that you would recommend as part of the package that
6 patients have their blood pressure monitored regularly while
7 they are getting therapy?

8 DR. BRETTMAN: Certainly during the early stages
9 of therapy, yes.

10 DR. NERENSTONE: Dr. Lippman?

11 DR. LIPPMAN: It was a very clear presentation of
12 the primary data. My questions are really just trying to get
13 a sense or a suggestion of which subgroups may do better or
14 differently. Did you look at patients who had prior
15 responses to fludarabine but had progressed within the 6-
16 month eligibility? Did they do better on the subsequent
17 Campath?

18 DR. BRETTMAN: Let me show that to you. Patients
19 who had ever responded to fludarabine versus those that had
20 not -- it will just take a moment.

21 DR. LIPPMAN: While they are looking for that, the
22 other subgroup which you may not have data on because I know
23 it is very rare, although we know that there is at least one
24 patient that fit this category with T-cell CLL, was there a
25 different response in that group, or did you look at that?

1 DR. BRETTMAN: Let me show you this and then we do
2 have data from the 005 study on patients with T-PLL. This
3 shows the response by prior fludarabine response. So,
4 patients who were primarily refractory to fludarabine, had
5 never responded, the response rate was 28.9 percent, and in
6 those patients who had responded to at least one prior
7 fludarabine-containing regimen the response rate was 37.5.

8 Let me show you the T-PLL. There was a total of 7
9 responders among 12 patients who were enrolled in the 005
10 study with a diagnosis of T-PLL, and these were also
11 assessed by the independent response panel that I mentioned
12 earlier. There was a total of 58.7 objective responses, with
13 25 and 33 CRs and PRs percentage respectively.

14 DR. NERENSTONE: Dr. Simon?

15 DR. SIMON: I feel that, because of the design
16 that you used and the FDA approved, we are sort of placed in
17 an inherently flawed and error prone situation, trying to
18 assess whether the obvious activity represents clinical
19 benefit, or to what extent it does, to what extent the
20 negative effects represent effects of the treatment rather
21 than effects of the disease, and we wind up using
22 essentially all of the erroneous kinds of analyses, like
23 comparing responders to non-responders and comparing
24 survival of these patients to survival of patients from the
25 literature, and making every mistake in the book essentially

1 and it all comes from the fact that we have used a design
2 that doesn't really lead to very clear interpretation.

3 So, my question is why was it not possible to do a
4 randomized trial using your monoclonal antibody and
5 comparing it to essentially physician's choice of third-line
6 treatment or supportive care?

7 DR. BRETTMAN: Well, that was actually one of the
8 options that we discussed during our discussions with the
9 FDA, and there was considerable opposition from the
10 clinicians because, you know, if you want to assess safety
11 in a comparative fashion it doesn't really help you a lot
12 because you are going to be comparing it to a variety of
13 different regimens. Secondly, there were very few choices
14 that people were willing to recommend that could even be put
15 forward as comparative regimens. That was essentially the
16 major problem.

17 DR. SIMON: The patients with CLL who were not in
18 your clinical trials are not getting your antibody so they
19 are getting either something else or nothing else and,
20 basically, you would compare it to that variety of
21 approaches.

22 DR. KEEGAN: We did try and work out whether this
23 could be done in a controlled fashion, and were told that
24 they really didn't find that they could find investigators
25 who would agree to randomize, in which case we recommended

1 that they start working on a second trial that was
2 randomized to control during the conduct of this trial but,
3 unfortunately, no such study was initiated but I think we
4 fully agree with your comments.

5 DR. SIMON: Well, it is always easiest to do it
6 this way but it leads to very error prone evaluations.

7 DR. KEEGAN: We agree with your comments.

8 DR. KEATING: Perhaps as one of the clinicians
9 involved in the treatment of this subset of patients, I
10 think it would be impossible in good spirit to actually ask
11 patients to enter into a randomized comparison.

12 DR. SIMON: Well, you would have to find
13 physicians who don't agree with you, of which I am sure
14 there are very many.

15 [Laughter]

16 DR. KEATING: I would like to have a list of them
17 provided.

18 [Laughter]

19 DR. NERENSTONE: Dr. Albain?

20 DR. RAI: Could I add to what Dr. Keating just
21 said?

22 DR. NERENSTONE: Okay.

23 DR. RAI: I treat quite a few patients with CLL
24 and see a large number of second and third opinions, and I
25 find throughout the country that there is absolutely no

1 consensus about what is the third-line treatment for CLL
2 patients who have failed fludarabine. People use all the
3 drugs that we use in lymphoma but there is no general
4 agreement. So, it would be very difficult if we tried to
5 preempt and have a randomized trial at that moment.

6 DR. SIMON: You are misunderstanding. You don't
7 have to agree on what the comparative treatment is. You can
8 do the trial against a physician's treatment of choice.

9 DR. NERENSTONE: Dr. Albain?

10 DR. ALBAIN: Yes, I would like to go back to the
11 biology a little bit. Given the ubiquitous presence of the
12 antigen, any thoughts or have there been any studies done on
13 mechanism of resistance to this compound?

14 DR. BRETTMAN: There have certainly been no
15 detailed studies on the mechanism of resistance but we know
16 some things. First of all, after treatment with Campath when
17 patients subsequently relapse, the tumor cells still express
18 CD52. There are occasional cases -- there have been two
19 patients that I am aware of that have had emergence of a
20 CD52-negative clone after treatment, but we didn't see it in
21 any of our trials. This was reported by an investigator.
22 There are patients who have clearly CD52-positive tumor --
23 they are rare -- that don't respond even in the peripheral
24 blood, and it is just not clear whether that is an absence
25 of sufficient effector mechanisms or other things of that

1 nature. So, we really don't know the answer to that question
2 at this point.

3 DR. NERENSTONE: Dr. Przepiorka?

4 DR. PRZEPIORKA: There were reports in the
5 literature on autoimmune thyroiditis in patients getting
6 Campath in other settings prior to initiation of 211. Did
7 you have the opportunity to review thyroid function tests in
8 patients in CAM211, and were there any thyroid
9 complications?

10 DR. BRETTMAN: No, we did not prospectively plan
11 or retrospectively collect information concerning thyroid
12 function, but we do know that in a population of over 400
13 oncology patients who received Campath during Phase I/II
14 trials, Phase II trials etc., that autoimmune thyroiditis
15 was reported in one patient so there is clinical evidence of
16 it. The reports that you are referring to have been seen
17 primarily in a population of patients with multiple
18 sclerosis who have received Campath. In those patients there
19 is a 30 percent incidence of autoimmune thyroiditis which
20 seems to be, based on preliminary investigation, probably
21 related to genetic factors specifically in that population
22 although they haven't received a lot of cytotoxic or
23 immunosuppressive therapy previously, and that may also be
24 another reason why we don't see that in oncology patients.

25 DR. PRZEPIORKA: The second question is that your

1 adverse event listing clearly demonstrates infusion-related
2 toxicities and clearly demonstrated the pulmonary
3 infections, but I was intrigued by the category called
4 interstitial pneumonitis which was not listed as infection
5 or infusional. Can you talk about the non-infectious
6 pulmonary toxicities?

7 DR. BRETTMAN: I am not sure exactly what number
8 you are referring to but there were certainly patients for
9 whom no pathogen could be identified. But specifically the
10 patients who were diagnosed with interstitial pneumonitis,
11 based on biopsies, no infectious pathogen was identified.
12 One of the patients was treated with steroids and so was
13 considered non-infectious, and with it is related to Campath
14 or related to the multiple prior therapies those patients
15 had received, it is not possible to say.

16 DR. PRZEPIORKA: My last question is how did you
17 choose the dose of 30 mg 3 times a week?

18 DR. BRETTMAN: That dose was actually selected on
19 the basis of the dose-ranging studies from Wellcome and I
20 will just briefly show you that information. This shows the
21 basic design element relative to the dosing unit and the
22 dosing frequency of Campath for the three Phase I studies.
23 So, one study was done with unit doses ranging from 2.5 to
24 80, administered 3 times a week. The second study is the
25 doses you see there once a week, and study 003, the doses

1 you see there at 0.5 a week. These studies were designed so
2 that the cumulative weekly dose was relatively comparable
3 across the studies.

4 This shows the responses by regimen as assessed by
5 the investigators involved in these studies. This is
6 essentially the reason why Wellcome selected the dose of 30
7 mg 3 times a week, based on the activity seen essentially in
8 the 3 times a week dosing regimen which was not seen in the
9 once weekly -- there were no major responses as assessed by
10 the investigator seen in the once weekly dosing regimen. In
11 the 5 times weekly dosing regimen there were some responses
12 but it clearly wasn't higher and 5 times a week isn't as
13 convenient for patients. So, that is how Wellcome arrived at
14 that dosing regimen, and the doses utilized in the study --
15 the 25 mg dose was actually the dose associated with the
16 highest response rate; the 80 mg dose appeared to be
17 associated with more toxicities. So, they selected a dose of
18 25-30 mg to utilize in the Phase II studies.

19 DR. PRZEPIORKA: Thank you.

20 DR. NERENSTONE: Dr. Berman and then Miss
21 Lackritz, and then we are going to break.

22 DR. BERMAN: To expand a little bit on the
23 meaningfulness of the partial responses, can you show us the
24 data on patients whose response to Campath was, in fact,
25 longer than their response to prior treatment on

1 fludarabine?

2 DR. BRETTMAN: Yes. It is difficult to do in the
3 sense that all of the patients were required to have failed
4 therapy coming in, but we did look at the median
5 chemotherapy-free period for patients coming into the trial
6 and we also looked at the duration -- sometimes you had to
7 go back one or two regimens to find patients who had
8 responded to fludarabine regimens. So, for those patients at
9 least we can provide that information.

10 I am sorry, we don't have a slide on it, but the
11 bottom line is that the duration of responses to the last
12 regimen to which they had responded was from 2-6 months. You
13 could at least compare for those patients the duration of
14 the response to Campath, for what it is worth, and those
15 patients did experience a longer duration of response.

16 DR. NERENSTONE: Miss Lackritz?

17 MS. LACKRITZ: My name is Barbara Lackritz. I have
18 CLL and I speak for the patient population, and one of the
19 concerns that we have had for a long time, a concern that is
20 expressed by the members of the lists that I run which is
21 1750 people from 36 countries, indicates that when you fail
22 fludarabine, when you fail purine analog therapy, there
23 isn't anything out there that is available to really do the
24 job. I have talked to patients who have been on CHOP, who
25 have been on ESHAP, who have been on BEAM, who have been on

1 CVP, and these patients are very, very frustrated and
2 eventually these are the patients who do not survive.
3 Something is needed that will give us, as a patient body,
4 the opportunity to live a little bit more of the kind of
5 life that most people expect as a normal part of living.

6 DR. NERENSTONE: Thank you. I would like to break
7 now and please be back by 10:15.

8 [Brief break]

9 DR. NERENSTONE: If we could, please, get everyone
10 to sit down so we can get started here?

11 **FDA Presentation**

12 **Introduction**

13 DR. BRORSON: Good morning. My name is Kurt
14 Brorson. I am in CBER's Division of Monoclonal Antibodies,
15 and it is my pleasure this morning to introduce the FDA
16 presentation on the Campath antibody. Campath-1H or
17 alemtuzumab is an IgG-1 kappa humanized monoclonal antibody.
18 It is humanized in the sense that the majority of the
19 immunoacid sequence of this antibody is derived from human
20 origin, the exception being the complementarity determining
21 regions of the antibody which are derived from original rat
22 hybrid monoclonal antibody.

23 The specificity of this antibody is against the
24 CD52 antigen, and the presumed mechanism of action of this
25 product is by lysis of CD52-positive cells via complement

1 activation, ADCC and possibly apoptosis.

2 The Campath BLA has been reviewed by an expert
3 panel of CBER reviewers from a variety of disciplines, and I
4 would like to acknowledge at this point the complete and
5 thorough review that this panel has given to the BLA.

6 The CD52 antigen is a membrane-bound glycoprotein
7 expressed at high levels on a variety of leukocytes,
8 including B-cells, T-cells, monocytes, macrophages, and a
9 minority fraction of granulocytes.

10 The sponsor of the BLA has performed a standard
11 tissue screen for CD52 expression and has found it on some
12 other cell types, including cells of the male reproductive
13 tract. They found it in the skin. However, notably it was
14 absent in other tissues, including erythrocytes, platelets
15 and hematopoietic stem cells.

16 The history of development of this antibody dates
17 back to the 1970's. Two original precursor antibodies,
18 Campath-1M and 1G were rat IgM and IgG2b antibodies which
19 were tested in a variety of applications. The in vivo use of
20 these antibodies, however, was limited by the development of
21 human anti-rodent antibody responses. To overcome this
22 problem, a humanized version of the antibody was produced by
23 grafting the complementarity determining regions of the rat
24 antibodies into human antibody expression constructs.

25 This concludes my introduction to the FDA

1 presentation, and I would like to turn over the podium to
2 Dr. Genevive Schechter of the Division of Clinical Trials
3 Design and Analysis.

4 DR. SCHECHTER: Before I begin, I wanted to
5 acknowledge Dr. Patrician Keegan for her assistance and
6 invaluable suggestions in analyzing this data. I want to
7 thank Miss Paula Lincoln Smith for her administrative
8 support, Linda Livingston and Rhonda Hill for their
9 secretarial support, Mr. Kelly Tate and Miss Jackie Sincola
10 from ILEX for their help in obtaining documentation and
11 getting documents back and forth, and Lee Brettman for our
12 fabulous, friendly agreements to disagree.

13 We are going to discuss Campath today, indications
14 for the treatment of patients with CLL who have been treated
15 with alkylating agents and who have failed or are refractory
16 to fludarabine.

17 I would like to talk a little bit about the
18 history of the submission which will help in understanding
19 the questions. On September 12 of 1997 the sponsor
20 approached the agency with a proposal for a BLS submission.
21 I believe that the original proposal was based on studies
22 005 and 009, and it was realized that a confirmatory trial
23 would have to be done. If they used a single-arm trial the
24 response rate would be considered a surrogate endpoint for
25 survival, and a commitment would have to be made to a post-

1 marketing randomized trial. The agency suggested Campath
2 versus fludarabine, and we also recommended that that trial
3 be ongoing at the time of approval.

4 The sponsor conducted their single-arm trial,
5 study 211, in 1998 and came in for a pre-BLA filing meeting
6 on March 25th of 1999. At that time a question was raised
7 about the possibility of full approval. The sponsor was
8 advised that a full or conventional approval would be
9 possible if the response rate was so compelling, indicative
10 of benefit, and the toxicities were so low that there would
11 be no need for a confirmatory trial.

12 On December 23rd, 1999 the original submission was
13 filed. On June 23rd of 2000 a completed review letter was
14 issued to the sponsor, with request for further information,
15 updated study reports and audit of some safety and efficacy
16 information. This study was conducted very rapidly, with the
17 accrual occurring actually in 211 in about four months, and
18 in trying to catch up on all the data there was some
19 incompleteness which is why we could not complete the review
20 in that six-month period.

21 The sponsor resubmitted data on August 18, 2000
22 and the revised study reports and the data tables are the
23 basis for the presentation today.

24 I want to talk a little bit about PK because we
25 have a little different interpretation of the

1 pharmacokinetic data based on some work that was done by Dr.
2 Martin Green of the Division of Clinical Trial Design and
3 Analysis in looking at the 3 times per week dosing schedule
4 of 30 mg. He noted that the PK is heavily influenced by the
5 tumor burden. There was an increase in half-life as the
6 tumor burden diminishes. The blood levels continue to
7 increase with repeated dosing as receptors are saturated and
8 as the tumor burden diminishes. He noticed that at the end
9 of 4 weeks, in his calculation of the data that he was
10 presented on CLL using this dosing schedule, that the half-
11 life was about 100 hours, and at 12 weeks he estimates the
12 half-life to be 400 to 900 hours. This is typical for
13 monoclonal antibodies.

14 Let's move on to the clinical trials. I think we
15 have pointed out that 211 was a clinical trial conducted in
16 this country in 1998, enrolling 93 patients and the last
17 follow-up for survival response was July 26, 2000. Data was
18 censored on February 15, 2000 for the statistical analysis
19 done during this review.

20 This mentions that study 009 was conducted by
21 Burroughs Wellcome between 1993 and 1995 at 6 centers in the
22 U.S., enrolling 24 patients. The last follow-up for survival
23 was in March of 1997. There is some problem with possibly
24 some safety data being missing from this study. I think this
25 study was fairly well audited but in study 005 there is

1 concern about the study conducted in Europe about the
2 possibility that some serious adverse event reports and
3 other safety information may not be as complete as we would
4 like. The company did go to Europe and audit and they were
5 able to verify 50 percent of the source data.

6 Just going a little bit over the study design, the
7 population is an intent-to-treat population, and I am going
8 to emphasize 211. The other studies are included on these
9 slides to offer you support and to show where there are
10 consistencies and inconsistencies in the data.

11 The study design for 211 was to include patients
12 with Rai Stage II-IV. They were fludarabine refractory. Lee
13 has already given you the definition of fludarabine
14 refractory. They had have had prior alkylator regimens. As
15 you can see, there was a little difficult in the other
16 studies.

17 The performance status could be a little bit
18 inferior on 211. The life expectancy was similar in all
19 studies, and the exclusion criteria were similar.

20 The route of administration on 211 is IV with the
21 infusion over two hours. As Dr. Brettman has pointed out,
22 patients begin initially with a dose of 3 mg and that dose
23 is continued until they can tolerate it without serious
24 infusional side effects. The dose is then escalated to 10 mg
25 and that dose is continued till the patient can tolerate the

1 10 mg dose. Then they go to a maintenance dose of 30 mg per
2 week. If a patient's dosing is interrupted for more than 7
3 days, it is recommended that the patient resume dosing at a
4 lower dose level and be escalated back up to 30 mg to
5 prevent reemergence of the acute infusion-related
6 toxicities.

7 I want to make a point that on study 005 and 009
8 patients could be, with the permission of Burroughs
9 Wellcome, escalated to 80 mg. This is a dosing of about 240
10 per week. This dose is associated with an increased
11 incidence of hypoplasia and infection with suppression, and
12 is not recommended. The maximal dose that would be
13 recommended is 30 mg 3 times per week.

14 Turning to 005, 7 patients on this study received
15 SQ Campath. Again, we don't have enough information on the
16 difficult in the pharmacokinetics between the IV and SQ to
17 really make any further comments about SQ dosing.
18 Recommendation is for IV dosing.

19 The cycle duration was 4 weeks on 211 with a
20 maximum of 3 cycles. Note that retreatment was not allowed
21 on this study. On the other 2 studies patients could be
22 retreated and the cycles were different. There was a maximum
23 of 2 cycles on 005.

24 This is just a little bit of information about the
25 premedication. On 211 diphenhydramine and acetaminophen were

1 required as premedications. Steroids and meperidine were
2 optional. On the other 2 studies there was not a rigorous
3 definition of premedication. On 005 there was a
4 randomization for the first dose conducted by Burroughs
5 Wellcome between an antihistamine and steroids. About two-
6 thirds of the patients I think were randomized to steroid on
7 this. Topical steroids are recommended st subcutaneous
8 Campath because of local allergic reactions.

9 On 005 and 009 patients were prophylaxed
10 optionally, while prophylaxis for PCP and viral infections
11 was part of the protocol design on 211, and 82 percent of
12 the patients received both viral and anti-PCP prophylaxis.
13 There were 7 additional patients who were started but
14 continue because it appeared they got allergic reactions.
15 Two patients did not receive anti-PCP prophylaxis and one
16 did not receive viral prophylaxis. Use of growth factors and
17 gamma globulins were optional.

18 This gives you a breakdown of the median range in
19 age, gender and the race, and I think we are all familiar
20 with that and we can move on to the next slide.

21 This is Dr. Rai's stage and there is an error on
22 this slide so let's go to the next one.

23 Rai Stage -- on 211 the majority of the patients
24 or 77 percent were Stage III and IV. In reading the review,
25 you probably figured out that I rigorously evaluated any

1 patient who had Stage II disease or less to make sure that
2 they were really eligible for treatment, using the sponsor's
3 criteria and the NCI criteria, and I think there was one
4 patient who didn't pass muster but the rest did. So, we have
5 an eligible group.

6 Looking at the disease state, there were 86
7 patients who had classical BCLL and there were 7 patients
8 who had other diseases and 2 had an atypical flow cytometry
9 pattern for BCLL. Fludarabine exposure -- all 93 patients
10 were exposed and 88 were refractory by the definition. There
11 were 3 patients who were treated with fludarabine and
12 progressed, and this is an analog of fludarabine. There were
13 2 patients who relapsed at 6 months and 3 days, and there
14 was 1 patient who developed thrombocytopenia with
15 fludarabine and so technically these don't fit the
16 definition but we agreed that all patients are refractory.
17 All patients had prior alkylator therapy.

18 Completed therapy, disposition of patients 59 or
19 63 percent of the patients were reported to have completed
20 therapy. That was based on whether you had a response or
21 stable disease. You could receive between 4 and 12 weeks.
22 You were assessed for disease every 4 weeks. Five patients
23 were noted to have discontinued from study for progression.
24 There were 3 deaths on study. There were 20 adverse events
25 that resulted in discontinuation of therapy and there were 6

1 patients who refused. One of the patients who was
2 "discontinued" for an adverse event was a patient -- well, I
3 will discuss that later.

4 Efficacy information -- we agreed that there is a
5 complete response rate of 2 percent and a partial response
6 of 31 percent for an overall response rate of 33 percent,
7 with confidence intervals between 23 and 43 percent,
8 certainly meeting the primary objective of the protocol.

9 Our median time to response is 1.6 months, with a
10 median duration of response of 6.9 months. For evaluation of
11 response progression we used the NCI Working Group criteria,
12 as published in the 1996 article in Blood by Chesson et al.
13 as was proposed in the protocol and the amendment.

14 I wanted to look a little bit at responder
15 characteristics, and one thing I didn't look at was response
16 to prior fludarabine therapy but we did note that 2/5
17 patients with Stage I disease responded; 7/16 with Stage II
18 disease; and 8/18 with Stage III disease, for a response
19 rate in Stage I-III of 40-44 percent. We see a response rate
20 of about 26 percent in the Stage IV disease. All 31 of the
21 responders on 211 were refractory to fludarabine. The number
22 of responders who had a response duration greater than 12
23 months was 7/31 or 23 percent.

24 Other efficacy parameters that we looked at were
25 progression-free survival. At the time this analysis was

1 done, based on the revised data of February, 2000 censoring
2 date, 92 patients had progressed and 1 was censored. The
3 progression-free survival was 4 months with a 95 percent
4 confidence interval of 3.2 to 4.7 months.

5 We looked at treatment failure and note the median
6 time to treatment failure is shorter by one month due to the
7 number of patients who were discontinued from treatment for
8 reasons other than progression or completion of therapy and
9 death.

10 Other efficacy parameters include a median
11 survival of 15.9 months which, as you notice, is somewhat
12 inferior to that on the other 2 studies. I want to point out
13 on this slide that there were 5 patients on 005 -- 5 or 6
14 patients who did not have follow-up of any kind for more
15 than 3-4 months after completion of therapy.

16 We tried to look for clinical benefit in
17 responders and Lee has already sort of talked about this. We
18 noted improvement in B-symptoms and fatigue and other
19 things, just to demonstrate that there is some benefit.

20 I think the most important thing we have to talk
21 about here today is the safety data. First of all, let's
22 talk a little bit about dose delays. There were 20 patients
23 on study 211 who had dose delays of less than 7 days, as
24 indicated in your review. Seven of these patients also had
25 dose delays greater than 7 days. In total then, there were

1 30 patients who had dose delays greater than 7 days on
2 therapy, and 34 of these dose delays were related to adverse
3 events. The median number of days of dose delays was 12,
4 with a range from 7-53. For those patients who had a dose
5 delay greater than 7 days, 56 percent of the time the reason
6 was hematologic toxicity. In the others it was infection.
7 There were 3 other dose delays for reasons not related to
8 therapy.

9 We looked at mortality, and the reason why we have
10 looked at mortality and all adverse events on study and for
11 6 months following study is because of the prolonged half-
12 life of the antibody, the prolonged CD52 suppression and the
13 prolonged neutropenia in some patients following completion
14 of study therapy. We know that 28 deaths on study are within
15 180 days. You have 27 and there may be one patient that was
16 slightly longer than 180 days but the patient had a
17 treatment-related death so it was included in this count.
18 Fourteen, or half of these were drug related and 14 were due
19 to progression.

20 With regard to the drug related causes of death,
21 we had infections with and without cytopenia. We know that
22 about half are due to fungal, slightly more due to viral and
23 there is one due to thrombocytopenia. Of interest in 005,
24 there is a patient who developed progressive multi-focal
25 encephalopathy and had virus isolated from the cerebral-

1 spinal fluid. It is not clear with this is related to
2 Campath or to other therapy but the CD4 suppression is of
3 concern.

4 We had 22 discontinuations for adverse events
5 related to therapy. Five of these were infusional. Now,
6 someone asked a question about hypotension and it appears
7 that hypotension related to Campath improves over the course
8 of therapy but there is one patient who developed severe
9 hypotension after the 16th of 17th infusion. There was no
10 interruption of therapy and he had to be discontinued from
11 study. There was also one patient who was discontinued for
12 grade 4 bronchospasm after receiving approximately 10 mg of
13 Campath. The other types -- again, we see heme toxicity and
14 infections. I tried to show the ones where there was also
15 some myelosuppression associated with the infection. Three
16 patients on study -- we originally reported that there were
17 6 patients who discontinued therapy. This is what was
18 reported. On review of those cases, 3 of the patients
19 actually discontinued therapy for drug-related adverse
20 events. The other 3 of the patients just refused. There was
21 1 patient where the physician withdrew the patient from
22 therapy because, quote, the patient was immunosuppressed
23 because, quote, his lymphocyte had fallen. He was a PR,
24 right? Yes. We went back and we looked and he was a PR.

25 Serious adverse events -- the serious adverse

1 event table is an attempt to obtain a comprehensive picture
2 of the adverse events to allow you to look at those adverse
3 events and decide if the assessment of relationship to drug
4 therapy is correct. It also gives us an idea of everything
5 that happened on study and for that period of six months
6 after study. There is one patient who developed something
7 and it was a little bit more than six months.

8 This table was devised from a table of
9 hospitalization provided by the sponsor, the tables of
10 adverse events pre- and post-study, a review of the serious
11 adverse event narratives and case report forms. Based on all
12 that information, I determined that there were 115 serious
13 adverse events. There were 84 drug-related adverse events
14 during this time period. Ten of these were judged to be
15 infusional; 16 were judged to be infectious; 30 were
16 infections with neutropenia. There were 16 episodes of
17 febrile neutropenia and there were 12 episodes of just
18 hematologic toxicity.

19 I tried to analyze this by stage of disease and
20 the number of prior months of fludarabine therapy and
21 alkylators because possibly more heavily treated patients
22 would have problems. I looked at Stage I and Stage II
23 patients and I note that there are fewer serious adverse
24 events in Stage I/II patients and that the Stage I/II
25 patients who had no serious adverse events did have somewhat

1 less therapy.

2 When I went to look at Stage III and IV, I
3 couldn't find any difference. There are more serious adverse
4 events in the Stage III/IV population. I couldn't find any
5 difference in really the median number of cycles with
6 fludarabine and, certainly, you would expect patients who
7 had serious adverse events to have more months of alkylator
8 therapy, not less months.

9 I looked at opportunistic infections. There were
10 27 patients, or 29 percent of the study population, who had
11 opportunistic infections. There were 87, as I pointed out,
12 patients who had prophylaxis, complete prophylaxis. There
13 were 47 opportunistic infections. There were 29
14 opportunistic infections that were serious in nature, or
15 about 62 percent of the opportunistic infections.

16 This is a detailed description of the types of
17 opportunistic infections. This shows you that there is kind
18 of a change in the pattern of opportunistic infections with
19 prophylaxis for PCP. We don't really see any difference in
20 the viral infections. In summary, there were 12 fungal
21 infections on 211; 16 viral infections and 1 PCP infection.

22 I want to look a little bit at infusional
23 toxicity, and 88 and 89 percent of the patients are going to
24 get fever and rigors, especially with the first few
25 infusions. Nausea and vomiting occur in between 30 and 50

1 percent. Hypotension occurs in about 15 percent and rash and
2 urticaria occur in about 30 and 22 percent respectively. The
3 rash and urticaria may well be related to the phenomenon of
4 perivascular CD4 lymphocytes, CD52 antigen-bearing cells,
5 and this may relate to this toxicity.

6 A number of grade 3/4 infusion-related toxicities
7 were markedly less than the overall number of toxicities.

8 Premedications -- 38 patients or 41 percent of the
9 patient population had steroids on study. I want to make a
10 point that we looked at steroid therapy and disease response
11 and I think it is noted in your review that it has nothing
12 to do with objective disease response, the timing of steroid
13 therapy.

14 Narcotic analgesics in 61 percent of the patients;
15 antihistamines in all but one patient. There was one patient
16 who had so much premedication that his physician would not
17 give him an antihistamine. And, 43 percent of the patients
18 received antiemetics. It appears that infusion-related
19 reactions do diminish over time but I really can't be sure
20 because of the premedication, until I analyze that data.

21 As Dr. Keating has pointed out, CLL has been
22 associated with transformation to higher grade lymphomas,
23 progression to PLL. The concern, since we are suppressing
24 CD4 counts, is with we may be inducing a potential new
25 malignancy. We had one patient who developed a plasma cell

1 dyscrasia while CLL was in remission. We had one patient who
2 had a prostatic nodule develop on therapy who had a Gleason
3 stage 6 when they had an evaluation 6 months later.

4 On study 009 there were two higher grade
5 lymphomas. On study 005 I didn't identify any but since the
6 data is not complete on these two other studies, I am not
7 sure.

8 Autoimmune phenomena -- I identified 3 patients
9 who had autoimmune thrombocytopenia which was related to
10 Campath therapy, and in one of these patients it was fatal
11 and I think that case is well described in the review so you
12 can see that patient, and it fits in with an estimated half-
13 life of 400-900 hours. I did not identify in 005 and 009 any
14 cases of autoimmune thrombocytopenia. In the 32 patients
15 that we reviewed in study 005 it wasn't but in the other
16 part of that study one of the patients died of Campath
17 related autoimmune hemolytic anemia.

18 Pancytopenia -- in study 009 there were 8 patients
19 who had pancytopenia and 3 patients died, one from
20 cryptococcal sepsis; one from pancytopenia with inundation
21 with no proof of recovery. In 009 there were 3 patients and
22 in 005 there was one patient.

23 With regard to recovery, it appears that there is
24 recovery of the hemoglobin in about 2 months; recovery of
25 the platelet count, return to the baseline grade in about 1-

1 2 months. The granulocytes -- some of the patients are
2 suppressed for an extremely long time and one patient did
3 not recover.

4 After I wrote the review, I relooked at the
5 hematologic toxicity because I really wanted to give you a
6 more complete feeling of the hematologic toxicity. We did
7 some analysis to show you that we couldn't show a difference
8 in toxicity between responders and non-responders and that
9 patients who were transfused still had diminutions in their
10 hemoglobin over the course of study, at least the first 8
11 weeks of study.

12 I went back and I looked at the number of patients
13 pre-study on 211 who had grade 3 or 4 hemoglobin toxicity.
14 At entry onto study there were 5 patients. I went back and
15 looked through all the blood counts and I determined that
16 there were 44 patients who had grade 3 or 4 anemia on study,
17 or 47 percent of the population had one or more instance of
18 grade 3/4 anemia. The median number of days of grade 3/4
19 anemia was 4, with a range from 1-40. This is because
20 patients who got down to a hemoglobin of around 8 gm or less
21 were immediately transfused. One of the 4 patients who had
22 grade 3/4 at baseline is included because that patient's
23 hemoglobin grade improved and then went down. The other 4
24 patients are excluded from those 44 patients.

25 I was kind of curious to see if the effect of

1 prior fludarabine and alkylators had any effect on
2 development of the severity of the grade of anemia. So, I
3 went and I looked at patients who came onto study with
4 hemoglobin grade 0-2, and 88/93 patients came on study with
5 grade 0-2. On study, 49/93 patients maintained grade 0-2.
6 You can see down there that their median number of months of
7 fludarabine therapy is 5 and the median months of alkylators
8 is 10.

9 Then I looked at the patients who had grade 3 and
10 4 on study, those 44 patients. This includes all 5 of the
11 patients with grade 3 at entry. No patients had grade 4 at
12 entry. They had actually less fludarabine therapy and one
13 month less of alkylator therapy. So, there doesn't seem to
14 be much difference. That doesn't seem to influence the
15 development of grade 3/4 anemia.

16 With regard to neutrophils, the pre-study
17 neutrophil grade 3/4 was observed in 17/93 patients, or 18
18 percent. On study, 65/93, or 70 percent of the patients had
19 a worsening of their grade 3/4 or developed grade 3/4 in one
20 or more instance. I excluded 8 patients from that in this
21 analysis who came onto study with grade 3/4 because their
22 neutrophil grade didn't get any worse on study so it
23 wouldn't be fair to count them when we are calculating the
24 median number days. I determined from this that the median
25 number of days of grade 3/4 neutropenia was 28 and that the

1 range was from 2-161.

2 I again looked at neutrophil toxicity on
3 fludarabine and alkylator therapy and I split out the stages
4 into 0-2, grade 3 and grade 4, and I couldn't find any
5 difference in pretreatment.

6 I looked at platelet toxicity. Again, pre-study 18
7 patients had grade 3.4 thrombocytopenia; on study 52 percent
8 of the patients had one or more instance of worsening grade
9 3/4 or of new grade 3/4 thrombocytopenia. Now, somebody
10 could quibble with me that a more appropriate way to do this
11 analysis would have been to look at platelet counts below
12 20,000 but that was impossible to do. Anyway, I calculated
13 the median number of days of grade 3/4 platelet toxicity as
14 21, with a range from 2-165.

15 I think I pointed out in the review that the
16 thrombocytopenia seems to be worsening thrombocytopenia as
17 one progresses on study as maybe more related to progression
18 of disease than it is to the treatment.

19 This is just to show you the fludarabine and the
20 alkylators effect, and I couldn't determine any effect.

21 This is the 2-month follow-up and improvement in
22 grade over baseline was noted in 49 percent of the patients.
23 So, patients did benefit in improvement of their grade of
24 their grade of hemoglobin over baseline, and 23 percent had
25 neutrophil improvement over baseline and 31 percent of the

1 patients had improvement in platelets over baseline.

2 On the flip side, 13 percent of the patients had a
3 worse hemoglobin than baseline; 38 percent had a neutrophil
4 count at 2 months that was worse than baseline; and 12
5 percent had a platelet count that was worse than baseline.

6 I want to make a comment about use of growth
7 factors and neutrophils. We know, I think, that 31 or 38
8 patients on 211 received growth factors. Looking at some of
9 these, patients would be placed on growth factors and they
10 would have an improvement of their counts. Some patients'
11 counts would go up and the growth factor was stopped. In
12 other cases, when the growth factor was stopped the
13 neutrophil count went down and the growth factor had to be
14 resumed. In some of the patients who were on growth factor
15 who developed these prolonged neutropenias, they were on
16 growth factor for a prolonged period of time after
17 discontinuation of Campath therapy.

18 Blood product usage -- 19 percent of the study
19 population on 211 required transfusion at entry onto the
20 study. This is either/or red cells and/or platelets. Fifty
21 of the 75 patients who did not have a pre-study requirement
22 for transfusion developed a transfusion requirement on
23 study. That is 66 percent of that group. I calculated a
24 median range in the number of red cell transfusions of 6
25 units, and it is kind of interesting because it is

1 consistent across the studies. The median range of time that
2 platelets were transfused of 3 times, with a range from 1-32
3 -- median number of 3, with a range from 1-32. There were
4 more platelet transfusions on the other study. We used times
5 with platelets because some patients got single-donor and
6 other patients got multiple units. So, we had to use times
7 rather than units of platelets.

8 Lastly, I just want to mention CD4 counts. I
9 thought that the information that you really would be
10 interested in is to know how many patients entered the study
11 with a CD4 count at baseline less than 200. I think that we
12 would agree that 200 is really the cut-off for infections.
13 There was 12 percent of the population who had CD counts
14 below 200 at baseline. I don't want to say a couple but
15 there were a few patients who had a CD count of zero, and I
16 didn't have time to go back to look and see how close to the
17 time that they initiated their Campath therapy they had
18 received their last dose of fludarabine. At 30 days on study
19 84/86 patients, or 98 percent of the patients had CD4 counts
20 less than 200. I think the median CD4 count is between 1 and
21 3. At 2 months of follow-up still 23/55 patients in whom
22 information was available, or 42 percent, still had CD4
23 counts less than 200. At 4 months 8/30 had CD counts less
24 than 200, or 27 percent. At about 6 months it is about 12 or
25 13 percent. I didn't calculate that out rigorously.

1 Just to summarize, we have data from three single-
2 arm studies. Before I go into this, there is other safety
3 information but it is sketchy because we have relatively
4 complete information on another group of patients from study
5 005. There is information from Phase I/II studies and we
6 know that there have been other cases of hypoplasia and we
7 know that there was one case of serum sickness.

8 We are looking at the data from three single-arm
9 studies in 149 patients. We observed an objective response
10 rate in these single-arm trials of 33 percent, with a
11 complete response rate of 2 percent, with a median duration
12 of response of about 7 months on 211. We know that there was
13 some improvement or resolution in symptomatology in
14 hematologic parameters. I didn't look at performance status
15 because I found it very difficult to interpret. I found it
16 was a lousy measure of benefit.

17 Campath-related mortality was observed in 13 to 15
18 percent of the study population on these three studies.
19 Discontinuations for treatment-related events were observed
20 at 21 to 25 percent. The incidence of serious adverse events
21 was 66-80 percent of the study population who had one or
22 more serious adverse event. Drug-related serious adverse
23 events were observed in 73-88 percent of all of the adverse
24 events. Opportunistic infections were seen in 28-42 percent
25 of the study population and 50 percent these infections we

1 regarded as serious in nature.

2 Hematologic toxicity was observed at some point in
3 greater than 50 percent of the study population.

4 Pancytopenia and anaplasia were observed in 8 patients on
5 211 and in 3 it was fatal. Autoimmune toxicities were
6 observed in 5 patients. Delayed recovery of neutrophils was
7 38 percent at 2 months of follow-up and 25 percent at 4
8 months. And increased or new need for transfusion
9 requirements during therapy was documented in 68 percent of
10 the patients on 211 and the percentage is similar in the
11 other two studies. That information is in your review.

12 We had prolonged CD4 recovery, with 27 percent of
13 the patients having CD4 counts less than 200/microliter at 4
14 months. Infusion-related toxicities are such that there is a
15 need for premedication with at least acetaminophen and
16 antihistamines and in some patients steroids. There is an
17 absolute requirement for gradual dose escalation on initial
18 treatment and post-dosing interruption. There is a maximal
19 safe dose of 30 mg 3 times a week. We have no information at
20 this time on the efficacy of the subcutaneous dosing
21 regimen.

22 The questions that are unanswered are the
23 potential for induction of a second malignancy, and the
24 potential for a decrease in survival due to infections and
25 hematologic toxicity related to Campath, and these can only

1 be demonstrated through a comparative trial.

2 Thank you very much for your attention. Questions?

3 DR. NERENSTONE: We will open the floor now for
4 questions from the committee for the FDA. Dr. Miller?

5 DR. MILLER: Thank you for that excellent review.
6 The hematologic toxicity -- I thought it was very nice the
7 way you presented it with no difference in the grading of
8 toxicity between patients -- the no difference in the amount
9 of previous alkylator and fludarabine with the different
10 gradings of hematologic toxicity. Did you look at the flip
11 side as compared to looking at dividing patients up by less
12 than 5 months of fludarabine, 5-10, greater than 10, to see
13 if we can pick a group of patients, and whether that
14 analysis gave any further information?

15 DR. SCHECHTER: No, I didn't because the medians
16 were so similar.

17 DR. MILLER: Okay. The second thing, the delayed
18 neutrophil recovery that you talked about at the end --

19 DR. SCHECHTER: Actually, if you really want to
20 know I can do it for you but I would have to go back to do
21 it but it is possible for me to give you a breakdown but I
22 don't have my data set here.

23 DR. MILLER: That is fine. Secondly, you talked
24 about the 27 percent delayed neutrophil recovery, was that
25 to baseline or a neutrophil count greater than 500?

1 DR. SCHECHTER: That is to baseline. I think in
2 your review I have a percentage and next to that is the
3 number of patients who still had grade 4 neutropenia. I
4 don't have my review here but if you look in that table, the
5 first table for each one, it shows you the number of
6 patients who still had grade 3/4 anemia, patients who had
7 grade 4 neutropenia or grade 4 thrombocytopenia.

8 DR. NERENSTONE: I have a question. When a patient
9 was being dose escalated and they had to stay at the 3 mg
10 dose, did that count as a week of therapy, or did the week
11 of therapy only count when the therapeutic 30 mg dose was
12 started?

13 DR. SCHECHTER: No, therapy was counted from the
14 first day, and the first week a patient might get 5
15 treatments but then they would go down to 3 times a week as
16 they were gradually escalated up and, really, the way to
17 analyze data is not by weeks of treatment but by number of
18 doses because of the dosing interruptions -- the number of
19 treatments, although that is a very interesting question.

20 DR. NERENSTONE: Dr. Albain?

21 DR. ALBAIN: The pharmacokinetic data you
22 presented was interesting in terms of the changing half-
23 life, lengthening of the half-life by decreasing tumor
24 burden, and might that perhaps indicate that you could
25 devise some more patient specific dosing that might

1 ameliorate this protracted neutropenia?

2 DR. SCHECHTER: I suspect that is probably true.

3 DR. NERENSTONE: We the sponsor also speculate
4 about that at this time or later?

5 DR. SCHECHTER: Probably they want to speculate
6 later.

7 DR. NERENSTONE: If the sponsor would like to
8 respond to Dr. Albain's question, I think this would be the
9 time.

10 DR. SCHECHTER: We don't have an agreement, I will
11 tell you right now.

12 DR. NERENSTONE: Just identify yourself for the
13 record.

14 DR. BRETTMAN: I am Lee Brettman from Millennium
15 Pharmaceuticals. Our analysis of the data is very different
16 and I think we need to try to resolve what the differences
17 are coming from because we do not see that kind of increase
18 in half-life. There is a very modest increase in half-life
19 in the analysis that we have done in patients with
20 previously treated CLL from the 005 study.

21 As to the question about whether dosing should be
22 modified specifically for a patient, I think that you can
23 see that there is a relationship between tumor burden and
24 PK. We certainly agree on that point, and that suggests that
25 that might be a viable option that should be investigated in

1 the future. However, I think it is only fair to say as well
2 that the 30 mg dose 3 times a week that has been utilized
3 appeared to be effective and, at least in our estimation of
4 the PK, does not accumulate over time.

5 DR. SCHECHTER: Well, I think that your studies
6 did show it went from 30.2 hours to over 80 hours and that
7 is what I wrote in the introduction.

8 DR. BRETTMAN: Yes, that data was actually from a
9 very limited number of patients with previously untreated
10 CLL. So, the N at baseline was 1 and then there were only 3
11 or 4 patients that were evaluated. But we can straighten
12 this out in discussions, I am sure.

13 DR. SCHECHTER: I think that is an excellent
14 question and I think it may help very much to reduce
15 toxicity.

16 DR. ALBAIN: What was the median time to maximum
17 response?

18 DR. SCHECHTER: Oh dear, Lee, do you know the
19 median time to maximum response? Well, yes, the median time
20 to objective response was 1.6 months. Yes, we have just a
21 little bit of difference. It was 1.6 months. It was longer
22 on the other two studies and it was probably a function of
23 the interval of assessment with the 6 week cycle and the 8
24 week cycle so that patients weren't assessed as often.

25 DR. NERENSTONE: Dr. Kelsen?

1 DR. KELSEN: There is a 10-15 percent treatment-
2 related mortality with this agent in this population, and I
3 know we don't have comparative data but could you give us a
4 feel or could the experts on the committee give us a feel
5 for what would the treatment-related mortality be expected
6 with other therapies as third-line treatment? In other
7 words, is this a striking thing to see in certain diseases.
8 Is this unusually out of the range?

9 DR. KEEGAN: Actually, we did look at the data
10 that supported approval of fludarabine for second-line
11 therapy which was based on two single-arm studies. One was
12 single center; one was multi-center and involved a total of
13 79 patients in those two single-arm studies. But there was
14 data summarizing 133 patients with CLL and it was reported
15 that there were 29 deaths on study. So, it is in a similar
16 range but it is very hard to predict because these are
17 obviously different studies at different times.

18 DR. KELSEN: I was going to ask about the
19 fludarabine data and then I realized that this is a
20 population that has already seen fludarabine so that 10-15
21 percent might not be so striking, and I am just trying to
22 get a feel for how much that affected your overview on this.

23 DR. KEEGAN: I think any death on study affects --

24 DR. SCHECHTER: I think I was really, really
25 concerned about deaths on study in patients who had no

1 evidence that they had progression of their disease but
2 their marrow was really hypoplastic. While somebody may have
3 assigned the progressive disease, I couldn't prove
4 progressive disease and I began to realize that there was
5 really a treatment-related mortality that is of concern, of
6 grave concern. After all, it isn't saying that, you know,
7 when you go from a single arm to a comparative study your
8 response will drop in half. We have a response rate of 33
9 percent and we have a mortality rate of 15 percent in a
10 single-arm study. I think that there is probably a reason
11 for concern.

12 DR. SIEGEL: Let me just clarify a couple of
13 numbers. The rate of death on this study was 28/93 or 30
14 percent. So, in terms of a comparison that you might make to
15 somewhat different populations --

16 DR. SCHECHTER: We can --

17 DR. SIEGEL: in the fludarabine study, that rate
18 was 29/133 or I get about 22 percent. This is 30 percent but
19 --

20 DR. SCHECHTER: We can --

21 DR. SIEGEL: Let me finish please. The 15 percent
22 is the proportion of deaths that the reviewer believes are
23 more likely treatment related than disease progression
24 related, and since many of the treatment-related deaths and
25 disease-related deaths are going to be from the same cause,

1 you might say that those numbers have to be looked at with
2 some question as to how precise or accurate they might be.

3 DR. NERENSTONE: Dr. Przepiorka?

4 DR. PRZEPIORKA: There is a statement in the
5 review indicating that there was perhaps an association
6 between toxicity and dose, cumulative dose. Could you speak
7 to that a little bit, or PK?

8 DR. SCHECHTER: There was no formal PK done on
9 this study. It did appear that toxicities do increase as
10 patients continue on study. I did include the number of
11 doses of Campath on the review sheet of serious adverse
12 events to show you there was a distribution, and certainly
13 there was an increased incidence of infections and adverse
14 events right after discontinuation from study. Does that
15 answer your question? I didn't have time to correlate -- it
16 would be possible to look at the number of doses and serious
17 adverse events but it is in there. I didn't do any formal
18 analysis.

19 DR. NERENSTONE: Dr. Redman?

20 DR. REDMAN: I have a question and I guess I am
21 going to direct this to Dr. Keating. It has to do with
22 toxicity and relating the opportunistic infections. In a
23 second-line treatment, if you want to choose fludarabine,
24 what is the incidence of opportunistic infections on
25 treatment?