

1 DR. KEATING: The number of opportunistic
2 infections depends a little bit on the stage of the patient.
3 The patient with Rai Stage III and IV would have a very
4 similar incidence of opportunistic infections that we see on
5 this trial. For example, we would find that probably 10-15
6 percent of patients will have Herpes zoster either while
7 they are on treatment or within the next 3 months after
8 coming off treatment. A number of patients will have fungal
9 infections if they start off with advanced stage disease,
10 and a decrease in the ANC as well.

11 So, this sort of spectrum of infections and the
12 deaths on study, this is not a surprising outcome for
13 patients at this stage of disease on any treatment protocol
14 that they would go on.

15 DR. NERENSTONE: Dr. Miller?

16 DR. SCHECHTER: I also think with regard to the
17 deaths that we did provide you with the stage because so
18 many of these patients did not have advanced Stage III/IV
19 disease.

20 DR. MILLER: I just want to make a comment about
21 the comparison between the third-line and the second-line. I
22 don't really think it is a very valid comparison because
23 they are completely different studies, and it is not like
24 people had one cycle of prednisone and then went to
25 fludarabine and then went to Campath. It is not clear to me

1 at all that Campath patients, the third-line Campath
2 patients were any more heavily pretreated than the
3 fludarabine patients in the initial Phase II trial that led
4 to the licensing of fludarabine. Since we don't really have
5 clear evidence in the data that it is fludarabine exposure
6 as compared to alkylating exposure that led to the increase
7 in toxicity. The fludarabine group of patients clearly got a
8 lot of alkylating agents because that is all there was and
9 we don't know which is worse, alkylating agents or
10 fludarabine, especially when you are talking about a
11 prolonged neutropenia. So, I do think that you have to be
12 very careful when you say this is a worse group of patients
13 because we consider this a third-line treatment as compared
14 to second-line. I want to make that very clear.

15 With all due respect about the issue of being able
16 to compare and, you know, do we need a comparison arm, I
17 think you don't need a comparison arm when you are not
18 looking at very much toxicity but I do agree it would be
19 very nice to have a good comparison arm when we are trying
20 to wrestle with is this toxicity disease related, which I
21 personally believe it probably is, or is it treatment
22 related. While it is hard to disconnect those two, it is
23 clinically important when you are talking about a drug that
24 is going to get approved and then be used not just in
25 patients who may be this heavily treated but, once it gets

1 out there, people could use if first line. So, that is what
2 I would like to know, and it would be nice if we knew a
3 little bit more about any comparison or any data on how safe
4 this is in other treatment groups. Somebody mentioned a
5 purging study, and I know there are other studies out there.
6 What is the risk of opportunistic infections in those
7 patients? Is it the drug or is it the disease? I personally
8 believe it is the disease but I wish I had more data, and if
9 anybody has that from the sponsor, I would love to see it.

10 DR. NERENSTONE: Dr. Keegan?

11 DR. KEEGAN: I don't know at how much of a
12 disadvantage the sponsor is. As you noted from the history,
13 this drug was initially developed by Burroughs Wellcome and
14 then when Glaxo acquired Burroughs, through Glaxo Wellcome.
15 So, most of that data that you would be interested in was
16 actually acquired by the first sponsor. I can tell you that
17 there was a large number of patients treated for non-
18 Hodgkin's lymphoma and there was also a series of studies
19 done in rheumatoid arthritis. Opportunistic infections were
20 seen in both those populations. In particular, the degree of
21 opportunistic infections which included PCP, viral and
22 fungal infections in rheumatoid arthritis was such that it
23 led to the cessation of that development program. So, I
24 think we feel fairly comfortable in saying that there are,
25 in fact, immunosuppressive effects of this that one can see

1 in a population that has a less noisy background but we
2 can't seem to come to grips with how much contribution it
3 adds in this background.

4 DR. NERENSTONE: I have a question for FDA. In
5 terms of accelerated approval, one of the considerations is
6 that a Phase III trial has to be under way. Is there a trial
7 that is either promised or under way at this time?

8 DR. SIEGEL: First, just to correct the premise,
9 the regulation says something to the effect that normally
10 the trial will be under way. So, it is not exactly a
11 requirement but it is an expectation. As Dr. Schechter noted
12 in her presentation, it was an expectation here. Having
13 attempted to work with the company to get a controlled trial
14 done, foreseeing the difficulties we are facing in
15 interpreting uncontrolled data and having heard repeatedly
16 that that couldn't be done, the plan as developed three
17 years ago was, in fact, to do a controlled trial. The
18 recommended trial was randomization with fludarabine in
19 patients who were not yet refractory to fludarabine, and
20 those data would then be supportive and confirmatory, with a
21 clear understanding that that trial should be under way and
22 with encouragement to get that trial under way. I do not
23 believe, unless I am mistaken, that that trial is under way.
24 I don't believe that that trial has been begun.

25 Coming back to the earlier issue as to how many of

1 these opportunistic infections are disease related versus
2 drug related, it may well be, as Dr. Miller hopes -- and, it
3 is very hard to know without a control that many of them are
4 disease related. I would just comment on two things. One is
5 that the CD4 count with this therapy does drop to a median
6 of 3, I believe, or we know that risks increase when you get
7 under a few hundred. So, it would be pretty hard to assume
8 that that isn't a strongly contributory factor.

9 The other thing I would point out is that we
10 talked about viral infections and we talked about fungal
11 infections. Amongst viral and fungal infections are those
12 that you see more commonly with neutropenia and those you
13 see more commonly with dysfunction of CD4 lymphocytes and
14 other cellular immune responses and, clearly, some subset of
15 these infections fall into the latter group, perhaps more so
16 than one would normally see in this disease population but,
17 again, it is very hard to be definitive about that.

18 **Questions from the Committee**

19 DR. NERENSTONE: Are there further questions for
20 the FDA presentation? If not, then I would like to turn our
21 attention to ~~the~~ questions for the committee. They should
22 have been distributed.

23 Actually, first of all I would like to open it for
24 general comments for ODAC. Dr. Berman and Dr. Miller, if you
25 would like to start us. Yesterday morning we started

1 alphabetically so, Dr. Berman?

2 DR. BERMAN: The first question addresses whether
3 for patients with fludarabine refractory CLL, although the
4 duration of response and clinical outcomes are reasonably
5 likely to predict clinical benefit, I would say
6 unequivocally yes. In the past, all of those have been used
7 as very reasonable and successful surrogates to predict
8 response. So my answer to that would be yes.

9 DR. MILLER: And I agree that the response rates
10 and duration of response and clinical outcomes in this study
11 are very consistent, and appear appropriate for a heavily
12 pretreated patient population with CLL. So, I do feel that
13 the efficacy endpoint is valid. Initial discussion was
14 whether response rate should be and I think they are valid
15 in this group of patients.

16 DR. NERENSTONE: Other comments from other members
17 of ODAC? Dr. Blayney?

18 DR. BLAYNEY: I would also like to say that for
19 several of these rare diseases, particularly the T-cell CLL
20 and T-PLL, this is a very rare disease, very difficult to
21 study but this is one of the few compounds that has efficacy
22 in this setting, and I think it would be a valuable,
23 although seldom used, addition to our armamentarium.

24 DR. NERENSTONE: Other comments? Looking at the
25 questions, the first part just goes over all the data that

1 we have seen. The FDA has stated in guidance for refractory
2 malignancies that the reduction in tumor volume can serve as
3 a surrogate for clinical benefit.

4 Then, I would like to take a vote. For patients
5 with fludarabine refractory CLL the response rate, duration
6 of response and clinical outcomes observed in these studies,
7 are these reasonably likely to predict clinical benefit? So,
8 the first question is just whether we think that using those
9 endpoints, that those endpoints are reasonably likely to
10 predict clinical benefit in this patient subgroup.

11 May I see a show of hands of people who say yes,
12 it is likely to show a clinical benefit?

13 [Show of hands]

14 And those who say no?

15 [No show of hands]

16 Abstentions?

17 [One abstention]

18 The vote is 14 yes, 0 no and 1 abstention.

19 The next question discusses that all of these
20 three studies are uncontrolled and single arm. In any study
21 it is difficult to determine the causal relationship of an
22 adverse experience to the study drug, other interventions
23 and the underlying disease. However, in an uncontrolled
24 single-arm study one also loses the ability to assess the
25 relative difference in toxicity between treatment groups. In

1 the primary efficacy study we discussed the toxicity
2 profile. In particular, 90 percent of patients had
3 infusional toxicity; 13 percent grade 3-4; 47 required
4 interruption of therapy; 24 percent of patients discontinued
5 treatment for adverse effects; 4 percent refused to
6 continue; and 67 percent of patients experienced serious
7 adverse experiences. Fifteen percent of the patients died
8 possibly or probably from toxicity related to the therapy.
9 In the absence of a well-controlled trial, the impact of
10 Campath on the overall survival cannot be determined.

11 Then it goes on and talks about the hematologic
12 toxicity which we have discussed, and the immunosuppressive
13 and infections toxicities. The question to the committee is,
14 is this toxicity profile of Campath acceptable in light of
15 the benefit that may be conferred? Discussion? Dr.
16 Przepiorka?

17 DR. PRZEPIORKA: I would like to start out by
18 answering that question with an unequivocal maybe.

19 [Laughter]

20 And, the reason I say that is I am not concerned
21 with the infusional toxicities. We see this with
22 amphotericin every day and have for the last 15, 20 years. I
23 am not concerned with the infectious complications seen. We
24 know it is an immunosuppressive drug. We know that patients
25 are immunocompromised just from their disease. This is a

1 problem that we have been fighting with CLL patients for a
2 long time, independent of the drug used. I am not concerned
3 with the hematologic toxicity. I would expect to see that in
4 patients with compromised marrow receiving a drug like this,
5 with immune reactions occurring in the marrow.

6 What I am concerned about is the 13 percent
7 treatment-related mortality in a population with a very
8 vague definition of eligibility. With fludarabine
9 refractoriness went from anything from a partial response,
10 which Dr. Keating indicated would have a median survival of
11 two years, meaning that half those patients would survive
12 longer than two years without therapy or with other therapy,
13 versus those with progressive disease and an expected
14 survival of six months. For those with a potentially short
15 survival, I would think that for palliative therapy this
16 type of a treatment might be worthwhile. For those with good
17 duration of survival, I would be concerned about giving a
18 treatment like this just for palliative care. Consequently,
19 I am not sure, based on some of the response data that we
20 saw by either by status at time of treatment or stage at the
21 time of treatment, that the response data is actually going
22 to hold up in the patients that would actually use a
23 treatment like this and risk those toxicities.

24 DR. NERENSTONE: Dr. Berman?

25 DR. BERMAN: I would disagree. I think that within

1 the context of treating patients who have had a median of 3,
2 range 1-10, prior treatments the fact that the response rate
3 was what it was and, in fact, higher than the 20 percent
4 which is what the FDA required, I think suggests that there
5 is some real activity. There is no question that this is a
6 potentially toxic regimen to be used. However, the benefits
7 in my mind, especially in terms of patients whose response
8 to this agent really, in fact, in some proportion of
9 patients was even longer than the response to prior
10 treatments.

11 I think that in the hands of responsible
12 oncologists, who presumably are the oncologists out there
13 practicing, and providing that the risks are well delineated
14 in the package insert, I think that this is a drug that is
15 acceptable.

16 DR. NERENSTONE: Dr. Blayney?

17 DR. BLAYNEY: I also speak in favor of the drug. I
18 think that when this trial was designed our experiences with
19 monoclonal antibodies and biologic therapy in the wide
20 practice community was limited. Subsequently, there are a
21 lot of monoclonals that we have become experienced with
22 treating both the acute and the long-term toxicities, and
23 this drug is likely to be relatively safe although it is not
24 for surgeons, fortunately.

25 Finally, the sponsor recognized that it does

1 produce the AIDS phenotype and when they recognized that
2 they put in PCP prophylaxis and dropped the number of
3 pneumocystis infections. Now that they see fungal
4 infections, I suspect they will want to add some anti-fungal
5 prophylaxis. And, I think the dose and scheduling will
6 probably be tweaked as well so that the toxicities can be
7 managed.

8 I respect Dr. Simon's view, as always, and I would
9 advocate that we do approve this Phase III trial. That was
10 the rule that was set. We should get that done, and also it
11 will help us to understand how to use this drug in our
12 armamentarium. Thank you.

13 DR. NERENSTONE: Dr. Lippman?

14 DR. LIPPMAN: In regard to Dr. Przepiorka's
15 comment, I had the same sort of concern and that is why I
16 asked the question about the response in patients who had a
17 prior response to fludarabine or who were resistant. I was
18 pretty impressed that the response was very high relatively
19 in the group that was resistant to fludarabine. So, in the
20 risk/benefit equation it seems as though those patients
21 derived quite a bit of benefit. So, again, that is why I am
22 in support of this.

23 DR. NERENSTONE: Ms. Lackritz?

24 MS. LACKRITZ: Again, I am talking from a
25 different point of view. I think that we tend to get too

1 caught up in the numbers and the figures and we forget that
2 this really is a two-arm trial. The other arm is death
3 because patients who are refractory to fludarabine and
4 refractory to the alkylating agents don't have a lot of
5 choice out there and we cannot lose sight of the fact that
6 something needs to be there for those patients. Is this a
7 perfect drug? No, not at all. Is this the silver bullet? Oh,
8 don't I wish! But this appears to offer hope in a situation
9 where there isn't a great deal of hope and researchers out
10 there, would you please go looking for something that will
11 give us what we need?

12 DR. NERENSTONE: Dr. Albain?

13 DR. ALBAIN: I would be in favor of having this
14 drug on the market but I would also like to echo a previous
15 comment that labeling needs to be very bold, in large
16 letters and underlined, and whatever else you can do to
17 caution the first time user of this compound about some of
18 these. I mean, we have heard from the world's experts on CLL
19 today who have experience with this compound and this their
20 toxicity data. I am just worried -- not worried but
21 concerned and want to encourage that the labeling be
22 incredibly specific about this.

23 Secondly, what Dr. Blayney said is so important.
24 We know that there is a commitment from the sponsor to do a
25 Phase III trial. I haven't quite heard that yet and I

1 realize there is another question yet to go on that. And, we
2 ought to look into this pharmacokinetic issue, in
3 particular, can the dose duration be decreased; the
4 frequency per week be decreased as the tumor burden
5 decreases?

6 DR. NERENSTONE: Dr. Miller?

7 DR. MILLER: Yes, I agree that the toxicity for
8 Campath is acceptable in this group of patients and I echo
9 the fact that we don't know if the toxicity profile will be
10 acceptable in other groups of patients. I would think a good
11 confirmatory study looking at the infectious complications
12 needs to be done.

13 DR. NERENSTONE: Does the FDA need us to vote on
14 the requirement to link this to a Phase III, or is the
15 sentiment of the committee, as you are hearing it, enough
16 for you?

17 DR. SIEGEL: I think we are comfortable with the
18 sentiment as experienced. I mean, most of this discussion
19 has been along the line that if there is an approval it be
20 an accelerated approval, and that would be linked to such a
21 commitment and we will, under question three, get some
22 discussion about the nature of that I think. I don't know
23 that I need an additional vote in that regard.

24 DR. NERENSTONE: Other comments? Then, the second
25 question, is the toxicity profile of Campath acceptable in

1 light of the benefit that may be conferred?

2 All those who say yes, please raise your hand.

3 [Show of hands]

4 All those who say no?

5 [No show of hands]

6 Abstentions?

7 [One abstention]

8 The vote is 14 yes, zero no and one abstention.

9 A third question which has actually been inserted
10 for the FDA is, is this enough data to qualify Campath for
11 accelerated approval? Would you like to just again
12 reiterate for us what accelerated approval means rather than
13 full approval, and what are the requirements?

14 DR. SIEGEL: Right. Well, accelerated approval is
15 full approval; the product is approved. It is different
16 from, I guess, what we probably most appropriately would
17 call conventional approval in the sense that it is based on
18 a regulation which permits us to make approvals based not on
19 direct evidence of clinical benefit but on either surrogate
20 endpoints such as tumor response, which are believed to be
21 reasonably likely to predict clinical benefit or, in some
22 cases although not highly relevant here, certain clinical
23 endpoints that might be surrogates for the more important
24 and more necessary clinical endpoints. With approvals under
25 that regulation there needs to be a request from the

1 sponsor, which I believe there is here.

2 The FDA may, and generally does as per the
3 regulation, impose a requirement to conduct a trial which
4 is, as noted, usually ongoing at the time of the accelerated
5 approval. In fact, it is often the same trial and the early
6 endpoints lead to accelerated approval and later endpoints
7 might be the confirmatory data, although in oncology it is
8 often a different trial. That trial the company must commit
9 to do prior to the approval -- must make the commitment
10 prior to the approval. It is usually, as I said, ongoing and
11 the agency has authority to withdraw the drug if the trial
12 either fails to confirm the efficacy of the drug or fails to
13 be conducted.

14 DR. NERENSTONE: Dr. Sledge?

15 DR. SLEDGE: If I could ask a question, has the
16 FDA ever withdrawn approval for a drug based --

17 DR. SIEGEL: I think we believe that that is not
18 the right remedy. If a trial doesn't get done, it is
19 generally our thought -- we still think it might be a valid
20 surrogate and we think ought to be taken against the
21 sponsor, not against the patients if you still believe that
22 the drug probably works. The problem is we don't have such a
23 remedy. We don't have, for example, simple money penalties
24 or other remedies, other than withdrawal.

25 The answer is though that, in fact, what we have

1 done in a number of cases is revised indications based on
2 such studies, or failure to do such studies. So, a drug has
3 gotten an indication that is more restrictive about its use
4 as first-line therapy or its use in certain combinations
5 based on a failure of a study to confirm that. In this case,
6 this is already likely to be approved for third-line so I
7 don't know the extent to which that would be relevant. But
8 the answer is that failed studies and failed commitments
9 have led to substantial relabeling of drugs but I don't
10 believe to a withdrawal of a drug.

11 DR. NERENSTONE: Other questions? Dr. Simon?

12 DR. SIMON: Well, it is not a question but you
13 invited some comments on that question, and I guess my
14 comment is I hate to see accelerated approval used as a way
15 of making it more different to do the clinical trial that
16 really should have been done. I can see the rationale for
17 accelerated approval when you have the trials ongoing and
18 you think that you have a surrogate endpoint that is going
19 to predict the results of those adequate trials that are
20 ongoing, and you want to make the drug available to patients
21 a little earlier. But to use it and then make the drug
22 available and then have that availability essentially make
23 it impossible or more different to actually do the trials
24 that you don't even have ongoing at that time I think is a
25 misuse of the mechanism.

1 Here, if you don't do the trial -- I guess we will
2 get into a discussion of which trials you do -- I really
3 feel that this drug was not available up till now. I don't
4 understand why the sponsor could not have gone to centers
5 who did not have access to this drug and say, look, for
6 third-line therapy, would you like to either continue not
7 having access to this drug or would you prefer to
8 participate in a randomized trial where half of your
9 patients would get this drug? I don't understand why it
10 would not have been possible, in which the competing arm of
11 the trial would have been physician's choice rather than any
12 placebo agent. I don't understand why you can't use placebos
13 or no treatment control arms. But I don't understand why
14 that trial would not have been possible, and I think that
15 that trial was actually the preferable trial than a
16 comparison to fludarabine as second-line treatment. And, I
17 think by accelerated approval you really make that trial
18 impossible.

19 DR. SIEGEL: Just to comment on how accelerated
20 approval is used within the agency and what this means, in
21 many disease areas the typical approach has been that
22 surrogates are generated from a trial; accelerated approval
23 occurs and the same trial is carried out longer to get
24 clinical benefit. This has been typical, for example, in
25 treatment of people with HIV where surrogates such as viral

1 load and CD4 cell counts have been used. They are confirmed
2 usually from the same trial and almost invariably from a
3 trial that has been fully enrolled and well on its way
4 toward completion at the time of the accelerated approval.

5 The agency has, in fact, determined in a number of
6 disease areas that if a confirmatory trial is necessary, if
7 there is a lot of uncertainty about the drug and if
8 accelerated approval would make such a trial impossible,
9 that accelerated approval may, on that basis, not be
10 appropriate.

11 As applied in oncology, however, a somewhat
12 different paradigm has often been in use and has been, I
13 believe, acknowledged in some FDA guidance documents, which
14 is that traditionally many drugs have been introduced
15 through trials in patients refractory to all other
16 therapies. In those cases, where there is an adequate
17 response rate, where response is seen particularly more
18 common or more durable than responses to prior therapy,
19 where responders do well with all these types of things
20 which, I agree with Dr. Simon, are different to draw
21 inference from lacking control, and where notably toxicities
22 are limited, let us say, the oncological community has
23 generally felt in many such cases that that is adequate
24 evidence for use in a Phase III trial and for use in a
25 refractory patient. In the early days of accelerated

1 approval we talked a lot about confirming in that population
2 with a control arm which, as I think you correctly pointed
3 out, is sort of a backward way to do it and perhaps
4 impossible.

5 But the other thing that was determined in many
6 such cases was that that study is perhaps interesting from a
7 scientific point of view but perhaps not the most important
8 study to be done in terms of understanding how best to use a
9 new agent and how it best will benefit people with the
10 disease and where it fits into the overall armamentarium.

11 So, in fact, what has evolved over the years and,
12 again, it is dependent upon the nature of the disease,
13 available treatments and the amount of toxicity with it is
14 appropriate, but what has evolved and been used in a number
15 of prior paradigms where the original trial is based on
16 response rates in a refractory patient population is that it
17 receives accelerated approval, followed by -- although as we
18 discussed earlier, hopefully accompanied rather than
19 followed by a randomized trial at an earlier stage of the
20 disease. Once you have done the open-label refractory trial,
21 such a trial is considered often to be more informative.

22 I guess I will just leave it at that, but that is
23 sort of the history of how our approach has evolved in this
24 area.

25 DR. NERENSTONE: Dr. Lippman?

1 DR. LIPPMAN: Yes, just to follow up on that, if
2 the confirmatory trial is done in an earlier stage or, let's
3 say, second line, which seems highly likely and we will get
4 into that, then the results of that, no matter what the
5 comparator -- could do much worse than fludarabine in that
6 trial, wouldn't change what we have seen here, having
7 another drug to give people who failed fludarabine. So, in
8 this sense I don't understand the accelerated mechanism.

9 DR. SIEGEL: Actually, you heard at the end of my
10 comment that I had lost my train of thought because that is
11 the last thing that I wanted to add, I think that is a
12 correct perception. In this particular case, one might argue
13 that it is somewhat different because what a controlled
14 trial will tell you, although at a different stage of the
15 disease it will not speak directly to the amount of benefit
16 that the surrogate predicts, it will potentially help weed
17 out how much of the toxicities of the agent are treatment
18 versus disease related, although, obviously, in comparing to
19 an active treatment that has its own toxicity that won't be
20 clear. But, such a controlled trial, as also Dr. Simon has
21 pointed out, is not the ideal trial. In a sense, the ideal
22 trial would have been, and might still be a controlled trial
23 in this population with alternate treatment if that were
24 feasible. But you are right, a failure of a trial second
25 line with fludarabine would not automatically lead to a

1 withdrawal of this, although were it to confirm and increase
2 some of the safety concerns it might lead to further
3 discussion about whether withdrawal is appropriate.

4 DR. NERENSTONE: Other comments? If not, we need
5 to vote on the actual question. So, is the information that
6 we have been given enough to qualify Campath for accelerated
7 approval?

8 All those in favor, please raise their hand.

9 [Show of hands]

10 All opposed?

11 [One person raises his hand]

12 Any abstentions?

13 [No show of hands]

14 So, the accelerated approval is recommended, 14
15 for, one against.

16 The last question, if Campath receives the
17 accelerated approval, please discuss the types of
18 confirmatory studies that should be conducted. Comment on
19 the following study designs:

20 The sponsor proposes a Phase IV study, multi-
21 center, randomized study of Campath versus no additional
22 therapy in patients who have received a CR or PR to
23 fludarabine. FDA recommends a Phase IV study, multi-center,
24 randomized study of fludarabine versus Campath in patients
25 with CLL who have not yet received fludarabine; multi-

1 center, randomized study of Campath versus supportive care
2 or no additional therapy in patients who have failed
3 fludarabine.

4 Then we need to comment on the preferred primary
5 study endpoint -- survival or progression-free survival.
6 Please comment on the acceptability of the criteria for
7 progression proposed by the sponsor versus the NCI Working
8 Group criteria. Dr. Berman?

9 DR. BERMAN: I think the first two studies ask
10 different questions and I think the second study should be
11 addressed first, and that is the randomized study of
12 fludarabine versus Campath in patients with CLL who have not
13 yet received fludarabine.

14 This is a little bit complicated by the recent
15 publication in this week's New England Journal by Dr. Rai,
16 who is the first author, stating that, in fact, fludarabine
17 is the generally preferred treatment of choice in patients
18 with CLL. So, it may be that this is a study that, in fact,
19 may not be able to be done as I think fludarabine will
20 replace chlorambucil for the majority of patients with this
21 disease.

22 DR. NERENSTONE: Other comments? Dr. Kelsen?

23 DR. KELSEN: The first two suggestions do ask
24 different questions. One is can you prolong maintenance in a
25 patient who is already in remission. It is a very

1 interesting question. It doesn't directly address the
2 accelerated approval. Certainly, I would be very interested
3 in that.

4 The last one, I wonder if we couldn't modify that
5 to accept Dr. Simon's suggestion because I don't think it is
6 going to fly if you give accelerated approval to the drug
7 because we think it has benefit, and then randomize people
8 to no treatment when the drug is available. I am skeptical
9 that you would have an awful lot of accrual. I wonder, if
10 that is pursued, whether it wouldn't be wise to accept the
11 physician's choice as the control arm, which may include
12 supportive care or no treatment.

13 I am also concerned that whenever you have the
14 drug available and you are offering it to patients as a
15 treatment that we think works versus not that treatment,
16 accrual will be difficult.

17 DR. NERENSTONE: I guess I would have a lot of
18 reservations about the first Phase IV study in a drug that
19 may have a 15-30 percent mortality rate, to take patients
20 who are in a CR in a disease that is not curable and subject
21 them to that kind of toxicity, and I think our whole debate
22 is really about toxicity, not about activity. I think before
23 we have further delineation of exactly what is the toxicity,
24 I think that that first trial, from my perspective, is
25 really inappropriate at this point in drug development. Dr.

1 Berman?

2 DR. BERMAN: The current trial that we were shown
3 today -- I would be in favor actually of the first trial
4 because I think it is a very interesting one, as Dr. Kelsen
5 pointed out. And, this is not a curable disease and we know
6 that CRs with fludarabine have a very short response even
7 for those patients who do achieve a complete remission.

8 But the group of people who were treated here,
9 again to emphasize the extensive disease these patients had,
10 there was a high proportion of patients who had over 98
11 percent bone marrow involvement and presumably people who
12 achieve a PR or CR -- obviously, these criteria will not
13 have anywhere near that degree of involvement so it is
14 likely, in fact, to be a safer compound in patients who have
15 less disease. So, I do think that the proposed Phase IV
16 study is an interesting one.

17 Just lastly, a word about the last suggestion
18 which was versus supportive care, I would echo Dr. Kelsen's
19 statement that I think that the accrual would be so slow as
20 to make this a meaningless trial.

21 DR. NERENSTONE: Dr. Lippman?

22 DR. LIPPMAN: I was going to make that same point
23 but in a different way. I don't think based on the data we
24 have seen today that you could do this last trial. I mean,
25 again we are coming back to the fact that the activity in

1 the fludarabine-resistant population was 28 percent. It was
2 very active. So, I think the only real option of the three
3 that we have potentially would be the first one.

4 DR. NERENSTONE: Dr. Miller?

5 DR. MILLER: Well, Dr. Berman brings up that
6 fludarabine is moving closer up into the front line, and so
7 I guess the question whether or not a second-line therapy
8 versus alkylating agents may be a trial that could be done.
9 If patients aren't getting alkylating agents for first line
10 but alkylating agents are clearly adequate treatment for
11 patients with CLL, the option of Campath versus second-line
12 alkylating agent therapy could clearly be done. You could
13 pick an alkylating agent therapy and truly define the
14 toxicity. So, I think as you move up to fludarabine the
15 alkylating agents go out with all the concerns we have about
16 alkylating agents, but it may be reasonable to do that type
17 of trial.

18 I also am concerned about that first trial, adding
19 the Campath on right after fludarabine in patients who are
20 already in a CR or PR. Maybe if they are already in a PR you
21 could measure additional response but I do have a little bit
22 of a concern about how valuable that study is going to be
23 and with or not there is going to be potential excess
24 toxicity in that group of patients.

25 DR. NERENSTONE: Dr. Kelsen and then Dr. Lippman.

1 DR. KELSEN: This is sort of a question to the
2 FDA. It seems to me that only option three, with or without
3 modifications, addresses confirmation of the accelerated
4 approval. The other options, which are valid ones -- you
5 know, I defer to the experts on the safety, etc., etc. --
6 don't really confirm what we are recommending today but they
7 sort of indirectly would say this is really an active drug
8 and what we did was right. So, I am asking not specifically
9 about this but sort of in a more procedural sense, any trial
10 after accelerated approval, a Phase IV study that shows that
11 it is a good drug; that it is useful is acceptable in
12 confirming the decision for accelerated approval?

13 DR. SIEGEL: This is what I was trying to get at
14 when I went through that brief history of this regulation.
15 That is, in fact, the current way this regulation is being
16 used and that is acknowledged in our guidance document. De
17 facto, what that means is that response rates are simply
18 accepted as adequate in refractory patients and don't get
19 directly confirmed. The reason, as I said, and a lot of it
20 evolved over discussions over the last decade with this
21 advisory committee, was that in many diseases having proven
22 good response rates in refractory patients, to then wind up
23 investing the company's money, the investigators' time and
24 efforts, the patients' dedication and contributions to
25 research on controlled trials in refractory disease has

1 often seemed not the wise way to proceed with development of
2 a drug when, knowing that it is active; knowing that it
3 causes tumor shrinkage, there are often far more important
4 questions to be answered about how, when and where to use
5 it.

6 So, I think one can, and often does look at the
7 regulation and look at that approach and see a little bit of
8 a disconnect, but the answer is, yes, this is in fact an
9 accepted approach that is acknowledged and supported in our
10 guidance documents as a way to use accelerated approval.

11 DR. NERENSTONE: Dr. Lippman?

12 DR. LIPPMAN: Coming back to the specific
13 questions that you have asked us, having heard Dr. Berman
14 and Dr. Miller, I would like to come back to Dr. Miller's
15 design because that actually is the most attractive to me.
16 Hearing just now that there is a paper, which I haven't seen
17 yet, that Dr. Rai is recommending fludarabine up front, then
18 that does set up the possibility of a randomized trial --
19 not exactly what Dr. Simon wanted but a randomized trial of
20 Campath versus alkylator therapy. So, I would like to ask
21 the experts, since we have the world's experts here with Dr.
22 Keating and Dr. Rai, whether that would be acceptable among
23 the people that treat this disease.

24 DR. RAI: My name is Rai, from New York, and I
25 have been very fascinated by this discussion going on. What

1 you are considering and hoping to achieve is to come up with
2 a front line, randomized trial which will do two things, one
3 is to demonstrate that ODAC and FDA were right in going
4 Campath approval in the accelerated phase and, number two,
5 to demonstrate more clearly the toxicity profile as well as
6 efficacy of Campath in a different population of CLL
7 patients.

8 Dr. Berman and Dr. Miller, who I know have more
9 experience with hematological malignancies, have made some
10 very important proposals. I still believe with due
11 humbleness that this is probably not the right forum to come
12 up with a proposal for a disease for which, for the last 40
13 years, no one has come up with a really exciting,
14 interesting, effective treatment. And, if you would charge
15 the company, if I may presume to suggest, to convene a panel
16 of CLL people who are not entirely full of themselves --

17 [Laughter]

18 -- but are interested in coming up with some
19 better treatment, and propose a randomized trial which will
20 satisfy the ODAC's concerns, which are very real and very
21 palpable, as well as the patients' needs, I think that can
22 be done. My own suggestion at this time would be to bring
23 Campath to front line and, even though Dr. Kelsen has not
24 seen today's New England Journal of Medicine, it does not
25 give any brand-new information. It is information that you

1 have known, that fludarabine is being used in the front
2 line, and this paper merely confirms in a randomized fashion
3 that it seems to be better than the standard, so-called,
4 gold standard.

5 You are not aware that redaximab, an anti-CD20
6 antibody, is entering the front line of treatment of CLL. It
7 surprised me when it was approved for lymphoma but I did not
8 expect its activity in CLL. If you would ask the company to
9 convene a panel of CLL experts to review such new entities,
10 such as Reduxan, fludarabine Campath, and come up with an
11 algorithm of a trial which will be acceptable by all,
12 including the patients, you will be doing a great service.

13 DR. NERENSTONE: Dr. Sledge?

14 DR. SLEDGE: After hearing those wise comments, I
15 hesitate to make any further remarks --

16 [Laughter]

17 -- that would reveal my great ignorance of this
18 disease though I will, as a fool, go where angels fear to
19 tread. I guess if the question is, is Campath a better or
20 worse drug or an equivalent drug to fludarabine, the
21 question I might ask is whether or not one might design a
22 trial in which one would allow patients to receive it as
23 first-line or second-line therapy versus fludarabine and
24 just simply stratify. It would take a larger number of
25 patients but, if that is an important question to answer, I

1 think that would be a reasonable approach to doing so.

2 DR. NERENSTONE: Dr. Simon?

3 DR. SIMON: I guess just to respond to Dr. Siegel,
4 whereas I think I understand what you are saying in terms of
5 the way that accelerated approval has been used, and I think
6 you are right in what you are saying, I think it is
7 basically an illogical strategy and may be based on
8 practical considerations. But to approve a drug accelerated
9 because you think it is likely to be effective, and then be
10 in a situation where you can't really evaluate whether it
11 really is effective for the indication for which you are
12 approving it is not a logical way to go about reviewing new
13 drugs.

14 First of all, I didn't understand that Dr. Lippman
15 was suggesting a first-line treatment. I thought he was
16 saying if fludarabine is going to be used first line, then
17 chlorambucil will probably be used second line and,
18 therefore, design two that was listed here, which would
19 actually potentially be a trial as Dr. Miller said, of the
20 antibody versus chlorambucil. I guess I think that, as has
21 been said, the best design that we could have had would have
22 been the antibody against physician's choice. I voted
23 against accelerated approval because I think accelerated
24 approval probably makes that trial impossible to accrue to.

25 I think that design one that was listed here is

1 the kind of design that appeals to investigators but it
2 provides practically no information in terms of the issues
3 that we have been struggling with in terms of relative
4 toxicity -- what is toxicity and what is disease effect, and
5 what is benefit and what is physiological reduction in
6 counts.

7 I think design two, possibly with fludarabine
8 replaced by chlorambucil as second-line treatment is
9 probably the closest we would get to it.

10 DR. NERENSTONE: Dr. Pelusi?

11 DR. PELUSI: Within this discussion, I think that
12 we need to, again, remember the patient's perspective in
13 terms of symptom management. I would hope that whatever type
14 of trial is done we look very closely at symptom management
15 and have that built into the trial because I think a lot of
16 times as we are worried about toxicities, once this goes on
17 the market the question is how do we manage it. That is one
18 of the things we never really see built into trials. So now
19 with this toxicity profile that we are seeing, if we could
20 just be mindful of that as the new trial goes forward.

21 DR. NERENSTONE: Dr. Lippman?

22 DR. LIPPMAN: Just to clarify, that is exactly
23 what I meant, as second line. Again, if fludarabine is being
24 accepted, as Dr. Miller said, as first-line therapy, then
25 one could, at that point, randomize to chlorambucil or

1 alkylator therapy of choice versus Campath with the same
2 definitions of fludarabine resistance as were used in the
3 current trial.

4 DR. SIEGEL: Or presumably you could use it in a
5 randomized trial compared to either second-line therapy and
6 stratify as to whether that second-line therapy was
7 fludarabine or not.

8 DR. NERENSTONE: Dr. Albain, and then we are going
9 to have to break for lunch.

10 DR. ALBAIN: Except that if what Dr. Rai said is,
11 indeed, correct -- and I am also not a liquid tumor
12 specialist, but if Reduxan is going to be used also now by
13 many up front -- I think the suggestion for a scientific
14 panel to convene and to debate the design of this trial,
15 with perhaps FDA collaboration -- we talked about such novel
16 ways of moving new designs forward, let's throw that out
17 there also.

18 DR. NERENSTONE: There is no question here so we
19 don't need a vote. I would like to break now for lunch.
20 Please, everyone, be back at one o'clock. We have a long
21 afternoon. Thank you.

22 [Whereupon, at 12:10 p.m., the proceedings were
23 recessed, to resume at 1:10 p.m.]

AFTERNOON PROCEEDINGS

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

DR. NERENSTONE: If the committee members could please take their seats, we would like to get started. We have somewhat of a tight schedule today and many people have planes to catch so I would like to start.

I would like to start first with going around the table and everyone introducing themselves. Dr. Pazdur, if you would like to begin?

Introductions

DR. PAZDUR: Dr. Richard Pazdur, Division Director, Oncology, FDA.

DR. WILLIAMS: Grant Williams, Medical Team Leader, Drugs, FDA.

DR. WEISS: Karen Weiss, Director of Division of Clinical Trials in the Center for Biologics.

DR. KEEGAN: Patricia Keegan, Division of Clinical Trials, Center for Biologics, FDA.

DR. REDMAN: Bruce Redman, medical oncologist, University of Michigan, Comprehensive Cancer Center.

DR. BLAYNEY: Douglas Blayney, medical oncologist, Wilshire Oncology Medical Group, Pasadena, California.

DR. PRZEPIORKA: Donna Przepiorka, Baylor College of Medicine, Center for Cell and Gene Therapy.

DR. KELSEN: Dave Kelsen, medical oncologist, Memorial Sloan-Kettering.

1 DR. SUGARMAN: Jeremy Sugarman, Director of the
2 Center for the Study of Medical Ethics and Humanities at
3 Duke, in the Department of Medicine.

4 DR. TAYLOR: Sarah Taylor, University of Kansas
5 Medical Center, medical oncologist and Director of
6 Palliative Care.

7 DR. TEMPLETON-SOMERS: Karen Somers, Executive
8 Secretary to the committee, FDA.

9 DR. NERENSTONE: Stacy Nerenstone, medical
10 oncology, Hartford, Connecticut.

11 DR. SLEDGE: George Sledge, medical oncology,
12 Indiana University.

13 DR. PELUSI: Jody Pelusi, oncology nurse
14 practitioner, Phoenix Indian Medical Center and consumer
15 representative.

16 DR. CARPENTER: John Carpenter, medical oncology,
17 University of Alabama at Birmingham.

18 DR. ALBAIN: Kathy Albain, medical oncologist,
19 Loyola University Chicago Medical Center.

20 DR. PELLEGRINO: Edmund Pellegrino, Professor of
21 Medicine and Medical Ethics at Georgetown University.

22 DR. LINDEN: Ruth Linden, Director of Curricular
23 Reformat, School of Medicine, Stanford and Department of
24 Family and Community Medicine at UC, San Francisco.

25 MS. PLATNER: Jan Platner, Director of

1 Administration and Programs, The National Breast Cancer
2 Coalition.

3 MR. ERWIN: Robert Erwin, Marti Nelson Cancer
4 Research Foundation.

5 MR. DIXON: Carl Dixon, President and Executive
6 Director of the Kidney Cancer Association.

7 DR. SPIEGEL: Dr. Robert Spiegel, Senior Vice
8 President of Medical Affairs and Chief Medical Officer at
9 Schering-Plough.

10 DR. KENNEALEY: Gerard Kennealey, Chief Medical
11 Oncologist, AstraZeneca Pharmaceuticals.

12 **Conflict of Interest Statement**

13 DR. TEMPLETON-SOMERS: The following announcement
14 addresses the issue of conflict of interest with regard to
15 this meeting, and is made a part of the record to preclude
16 even the appearance of such at this meeting. Based on the
17 submitted agenda and information provided by the
18 participants, the agency has determined that all reported
19 interests in firms regulated by the Center for Drug
20 Evaluation and Research present no potential for a conflict
21 of interest at this meeting, with the following exceptions.
22 In accordance with 18 USC Section 208(b)(3), waivers of
23 general applicability have been granted to all participating
24 committee members and consultants. A copy of these waiver
25 statements may be obtained by submitting a written request

1 to the agency's Freedom of Information Office, Room 12A-30
2 of the Parklawn Building.

3 With respect to FDA's invited guests, there are
4 reported interests that we believe should be made public to
5 allow the participants to objectively evaluate their
6 comments. Robert Erwin is the Director of the Marti Nelson
7 Cancer Research Foundation. He is founder of a large-scale
8 biology corporation and owns stock in the company. The
9 company conducts biotechnology research, including work that
10 is relevant to oncologist.

11 Carl Dixon is the President and Executive Director
12 of the Kidney Cancer Association. Jan Platner is the
13 Director of Administration and Programs of the National
14 Breast Cancer Coalition. Dr. Robert Spiegel is the Senior
15 Vice President of Medical Affairs at the Schering-Plough
16 Research Institute and, lastly, Dr. Gerard Kennealey is Vice
17 President, Clinical Research, Oncology at AstraZeneca
18 Pharmaceuticals.

19 In the event that the discussions involve any
20 other products or firms not already in the agenda for which
21 an FDA participant has a financial interest, the
22 participants are aware of the need to exclude themselves
23 from such involvement, and their exclusion will be noted for
24 the record.

25 With respect to all other participants, we ask in

1 the interest of fairness that they address any current or
2 previous financial involvement with any firm whose product
3 they may wish to comment upon. Thank you.

4 DR. NERENSTONE: We are going to begin, and we are
5 going to try and stick to the schedule that I think everyone
6 has, with the open public hearing part of this afternoon.

7 **Open Public Hearing**

8 DR. TEMPLETON-SOMERS: Due to the weather, people
9 have had changes in plans so I am going to be making a few
10 changes and be reading a couple of letters from my seat. The
11 first change will be that Bonnie Kroll will be the first
12 speaker, and she will be followed by James Roberto, and then
13 we will go on to Natalie Brainerd. Thank you.

14 MS. KROLL: Hello there. My name is Bonnie Kroll,
15 I am a patient. I am here today -- the purpose of being here
16 is to expose the misleading news and press releases by the
17 drug companies concerning their trial drugs.

18 I am 59 years old. I was diagnosed four years ago
19 with conjunctival melanoma, skin cancer of the surrounding
20 skin of the eye. Fourteen months ago it metastasized to the
21 liver and the bone. Three weeks ago it metastasized to the
22 brain. I began radiation treatment of the brain on Monday,
23 December 11 in hopes that in 30 days I will be able to be
24 put on a new drug for the metastasis to the liver.

25 I will be leaving these things here. These will be

1 the news releases -- and, by the way, I am speaking of the
2 Genta Corporation which has the new trial drug Genta-G31-39
3 out there, with some great news releases about how wonderful
4 it is. Attaches as part of my presentation are two news
5 articles, entitled, "Late-Breaking Research Results" from
6 the 91st annual meeting in San Francisco, April 1-5, 2000,
7 from the American Association for Cancer Research.

8 How excited I was to find out that there was a new
9 treatment for me since I had failed all others to date. This
10 new treatment was having amazing results for people with my
11 condition. According to the researchers, patients with
12 advanced melanoma received both G31-39 and anti-melanoma
13 drug dacarbazine. Most of the patients had failed to respond
14 to other treatment. The combination regimen produced
15 responses. Six of the 14 people got a 43 percent, evaluable
16 patients, some lasting more than a year. Of the responses,
17 one was complete, two were partial and three were minor. Two
18 other patients had evidence of antitumor activity. Antitumor
19 activity was even seen in some patients after failure of
20 DETEC.

21 How surprised I was to learn that actually the
22 response rate was only 20 percent because unless there is at
23 least a 50 percent response, it is my understanding, it
24 doesn't count as a truly effective response. The cancer news
25 hoopla in April of 2000 was extremely misleading when one

1 considers an actual response of 20 percent versus the
2 research claimed 43 percent. In any event, despite these
3 facts I still wanted to learn more about this new therapy
4 since my time was running out.

5 Also an article from MSMBC, attached here as a
6 press release, claimed that each of the 14 patients had
7 exhausted standard chemotherapy treatment for their disease
8 prior to taking G31-39. The average survival of the 14
9 patients in the study, all of whom had exhausted standard
10 chemotherapy treatment to control disease, is 9 months, said
11 the clinical investigator from Vienna, Dr. Janssen.
12 Typically, the advanced patient would be expected to die in
13 less than 6 months. This is a very exciting development,
14 said Dr. Peter Jones, Director of USC Cancer Center.

15 Last month additional information was released on
16 the 14 patients within the study, which revealed to my
17 doctor that only 5 of the patients had Stage IV melanoma.
18 The other patients had cancer of the skin. Two of the
19 patients had not received any prior chemo. The news releases
20 showed that all had exhausted standard chemo efforts. This
21 latest information is contradictory to the original release
22 information that is misleading as to the patients having
23 been treated prior to G31-39 with chemo or no chemo.

24 As a result of these studies, even though the
25 results were distorted, I began immediately to attempt to

1 obtain the drug through various means, including
2 compassionate use which will be addressed by my cousin,
3 James Roberto, who will follow me. My doctor, at Thomas
4 Jefferson University Hospital in Philadelphia, who has
5 handed my case since the initial diagnosis joined with me in
6 attempting to deal with the Genta Corporations
7 miscommunications, falsehood, etc. that culminated in him
8 writing a letter explaining to me what Dr. Finger of Genta
9 Corporation thought of his own trial.

10 Here is where I will take a moment to read this
11 letter: Dear Miss Kroll -- this is from my doctor to the
12 second person in command at Genta. I did talk to Dr. Finger.
13 He told me that in their clinical trials there had been no
14 response in patients who already failed the standard
15 chemotherapy -- zero response rate. This means that this
16 medicine will not work for the multi-drug resistant tumors.
17 He said he does not think it is ethical -- this is Dr.
18 Finger telling this to my doctor -- he does not think it is
19 ethical to give the medication to patients who already
20 failed the standard treatment. However, he admitted that the
21 number of their cases is not sufficient enough to publish
22 this fact. I did not say -- my doctor is saying that I did
23 not say that I agreed with him but I did not discuss any
24 further. Although it would be their excuse for not giving
25 you this medication, I felt that they probably know that

1 their medicine has only marginal effect on chemoresistant
2 tumors. I would blame them if they start a clinical trial
3 for the patients like you. In that case they are lying.
4 Based on a zero response rate, if that is what they are
5 saying, I do not think you should pursue further.

6 I am sorry to say, everyone, that I called Dr.
7 Finger the next day. I managed to get through to him on a
8 cell phone in a meeting. And, the sad ending of this story
9 is that when I personally confronted Dr. Finger, Dr. Finger
10 told me that Dr. Tikami Sato misinterpreted his information,
11 and I think he called it a breakdown of communications. This
12 has all been part of the lying and the media hoopla.

13 One more paragraph and I am done. As a result of
14 all this information, my family and I began to wonder if all
15 the efforts were really worth continuing since the Genta
16 information was so greatly distorted.

17 My condition continued to get worse and my doctor
18 advised me that I must go on some type of salvage drug as
19 soon as possible. The promise of this drug had greatly
20 heightened my desire to try something new that appeared to
21 be effective in fighting my melanoma and further prolonging
22 my life. In reality, it appears the published information
23 was more smoke screen. The reality -- maybe it is too late
24 for me at this point but I want to appear before you today
25 in hope that other people who might have some condition

1 where you would hold out false hope from misleading
2 published data, with their efforts directed in a more
3 effective direction. Please do something about the media
4 hype with these drug companies. Thank you for your time.

5 DR. NERENSTONE: Thank you very much. Mr. Roberto?

6 MR. ROBERTO: Thank you. My name is Jim Roberto. I
7 am, at least occupationally, the Chief Executive Officer of
8 Dow Systems which is a health care information and
9 technology company that serves the hospital and integrated
10 health care delivery network as part of our industry. It is
11 one of many positions of that nature that I have held on the
12 early stage developing side of health care, including
13 biotechnology.

14 I am a businessman in this area so I probably fall
15 under the heading of a little knowledge being more dangerous
16 than none at all, but because of my experience and
17 background, going all the way back to Pfizer in the '60's,
18 my cousin asked me if I would do the negotiating and dealing
19 with Genta Pharmaceutical in an effort to get her onto a
20 compassionate use program with G31-39, back when we were
21 just interpreting the success of this drug on the basis of
22 the statistics that we were seeing in the media.

23 I am here today to bring to this committee's
24 attention -- because I think what Genta did, although it
25 looks like we are picking them out and beating on them

1 today, is representative of what a lot of the emerging
2 biotechnology and pharmaceutical companies do to keep
3 patients from access to their drugs on compassionate use
4 while, meanwhile the FDA, which they use continually as the
5 whipping boy, has gone so far beyond them in listening to
6 the American public at large, and I think it is time for
7 them to get the message that the world has changed;
8 technology has changed the world; and it is time to look at
9 your old policies and procedures.

10 I made one of the first calls to Genta and I
11 spoke with their manager of clinical operations. I said,
12 gee, can't you get my cousin onto this program on a
13 compassionate use basis? And, she said, oh no, no, you just
14 don't understand. You say you have been in the business, you
15 know what the FDA is like; you know what the logistics of
16 getting this approval are like. So, I hang up the phone and
17 I say, hey, I do know what the FDA is like and I do know
18 what the logistics are. They are not as difficult as they
19 are being made out to be. So, there is hope for my cousin
20 and I tell her that. And then, I am fortunate enough to
21 meet, via the telephone, Terry Martin of the FDA who, after
22 listening to the background on it, says, look, you get the
23 necessary forms down here; we will get them processed. Thank
24 you, I said. And, back I go to Genta, saying, I've got
25 great news. The FDA is going to take care of this. I have

1 never seen such personal direct concern in my life for one,
2 single patient from a government organization. Oh, I was
3 told, you just don't understand. Do you know what the cost
4 would be to give your cousin compassionate use of this drug?
5 We are not exactly mass producing this you know. In
6 addition, the logistics of administering it to her are
7 something that we just cannot afford right now.

8 So, I hung up the phone again because I just
9 didn't quite understand, and I talked it over with her. She
10 is one of the most successful business women in the Delaware
11 Valley. She can afford it. She told them, whatever it costs
12 I will pay for. As a follow up, the hospital said we will
13 make our staff -- and, by the way, the hospital was a
14 clinical trial site for G31-39 -- we will make our hospital
15 facilities available to administer this drug.

16 So, once again I told her, just wait a while. I
17 think this is going to work out for you, and I called them
18 back and I said, I have great news. We can cover all those
19 costs and the hospital will take care of the administration.
20 To which the reply was, oh no, you just don't understand. If
21 we start giving this drug out on a compassionate use basis
22 we won't be able to draw anybody into our clinical trials;
23 they are all going to want to go onto a compassionate use
24 program because then they don't run a 50-50 chance of
25 getting a placebo.

1 For a minute there I thought this was the end of
2 the line until I came back and I talked to my cousin and she
3 said, wait a minute, I'm Stage IV. Stage IV patients aren't
4 eligible for this clinical trial.

5 So, back I went to Genta and I explained that to
6 them and they said, no, you just don't understand. There is
7 no evidence whatsoever that this drug will help a Stage IV
8 sufferer of metastasized melanoma.

9 That, ladies and gentlemen, is like telling me
10 that the reason that the reason that black people had
11 trouble in the South during the '50's was that they didn't
12 get out to vote. How can there be any examples of people
13 having succeeded on the drug when they are systematically
14 eliminated from the clinical trial? And, if my cousin is
15 willing to take the risks -- we talk so often about patient
16 empowerment -- if my cousin is willing to sign every release
17 document that is put in front of her that she will take the
18 safety risks, at her stage of the game why isn't that
19 acceptable?

20 The fact of the matter is I do understand. I
21 understand that the drug companies are behind the FDA in
22 terms of the rate of progress here. They still have this
23 mentality that every failure is a black mark against getting
24 their drug approved, even if it is a compassionate use that
25 is outside the context of a clinical trial. So they

1 systematically refuse, and what is unforgivable about that
2 is that it is their right to refuse. That drug is their
3 property but they do not have the right to systematically
4 give one story after another except for the real story
5 because every one of those times that I went back and I told
6 my cousin to wait two more weeks, her doctor was telling her
7 that she had to get on some treatment. So I don't know what
8 that did to her life. All I know is I made a very, very bad
9 judgment that I could reason with a pharmaceutical company,
10 when it was a PR move all along and they just don't want
11 unfavorable publicity so they won't accede to participation
12 in a compassionate use program with a late stage victim of
13 melanoma or a lot of the other potentially fatal diseases.

14 Last but not least, hopefully ending on a note
15 that doesn't sound like we are just beating up on Genta, I
16 think this committee ought to carefully consider
17 recommending to the FDA that we get rid of these double-
18 blind studies when we are dealing with potentially fatal
19 diseases. To want to measure for a placebo effect in a
20 situation like that -- I mean, if somebody is going to have
21 a placebo effect and it is going to save them their lives we
22 don't need to screen for it; let's just have it. There are
23 plenty of other examples where placebos are very, very
24 important for really measuring the true impact of a new
25 drug. With fatal illnesses that is just not an area where it

1 needs to be done. Then maybe we could get the "Gentas" of
2 the world to come around a little bit. Thanks for listening.

3 DR. NERENSTONE: Thank you. You have touched on
4 some very important issues. Natalie Brainerd?

5 MS. BRAINERD: Hello. Thank you. Good afternoon.
6 My name is Natalie Brainerd, and I am Director of Patient
7 Programs at the Angiogenesis Foundation, a global non-profit
8 organization dedicated to advancing research and medicine in
9 the angiogenesis field.

10 The Foundation is concerned with addressing
11 single-use IND access to antiangiogenic third-lines because
12 they are representative of a new class of cancer drugs
13 called cytostatic agents. Cytostatic third-line, unlike
14 standard cytotoxic chemotherapy, does not kill all
15 proliferating cells. Instead, most antiangiogenic third-
16 lines attack tumors indirectly by selectively targeting the
17 vascular endothelial cell, thus inhibiting new blood vessel
18 growth to cut off the tumor's blood supply.

19 Although neither safety nor efficacy has been
20 fully established for investigational drugs in our field,
21 cancer patients are increasingly motivated, as we just saw,
22 to seek antiangiogenic medicines that offer hope of benefit,
23 driven in part by media coverage, the internet and patient
24 advocacy groups.

25 As a rule, the Angiogenesis Foundation believes

1 that all cancer patients should benefit first from the best
2 available approved third-lines. When standard therapies are
3 ineffective for an individual cancer patient, we believe he
4 or she should seek a suitable clinical trial. Clinical
5 trials are the most effective tool we have to scientifically
6 validate new drugs, to advance the standard of care, and to
7 make safe and effective new pharmaceuticals broadly
8 available to the public. More than 50 trials are currently
9 in progress for antiangiogenic agents, and the Angiogenesis
10 Foundation has guided more than 2000 patients towards them.

11 When no trial protocols are suitable, Single Use
12 IND access may be a reasonable last resort. We have two
13 recommendations for FDA review of single use applications
14 with antiangiogenic agents: First, we believe the FDA
15 should limit single use access to drugs that have some
16 clinical evidence supporting benefit and, second, we believe
17 the FDA should consider the cytostatic paradigm in making
18 the risk/benefit assessment.

19 What do I mean by the cytostatic paradigm? In
20 terms of risk, Phase I clinical trials of more than 40
21 antiangiogenesis inhibitors have demonstrated that these
22 cytostatic agents are generally well tolerated and are much
23 less toxic than conventional chemotherapy. Thus, when
24 compared to a cytotoxic agent, antiangiogenic agents, in
25 general, appear less likely to cause harm to a cancer

1 patient even when their efficacy has not yet been fully
2 demonstrated.

3 With respect to patient benefit, antiangiogenic
4 agents are not designed to have the usual sought after
5 antitumor activity, i.e., reduction in tumor size. Instead,
6 antiangiogenic therapy is most likely to cause disease
7 stabilization. Phase II clinical trials of antiangiogenic
8 drugs provide ample evidence for this with few cases of
9 complete responders, but many patients experience stable
10 disease. Consequently, the benefits of an antiangiogenic
11 agent may be improved quality of life and lengthened
12 progression-free survival. These benefits may not be
13 captured by standard serial imaging.

14 So, in summary, when the FDA reviews single use
15 IND applications for cytostatic agents, such as angiogenesis
16 inhibitors, we feel it is critical for evaluators to
17 consider the unique features of these biological agents.
18 First, their cellular selectivity positions them to be safer
19 and better tolerated than cytotoxic agents and, second,
20 their benefits may include improved quality of life due to
21 stable disease and, thus, may be challenging to measure
22 using current standard instruments. Thank you.

23 DR. TEMPLETON-SOMERS: Next we have a letter from
24 Ann Fonfa, founder of the Annie Appleseed Project which
25 educates and informs cancer patients, health care providers

1 and others on issues of interest, especially complementary
2 alternative therapies.

3 This is an extremely difficult question to address
4 but I want to share with you some of my thoughts on the
5 issue of compassionate use of unapproved therapies. As a
6 woman who has had neither chemotherapy nor radiation, I am
7 not eligible for most trials. While I understand why there
8 are study entry criteria, I wonder if they are directed to
9 ease the approval of a particular drug and not so much
10 toward the benefits of the trial participants or the patient
11 population in general.

12 For patients who have been heavily pretreated, and
13 there are so many of these with metastatic breast cancer, I
14 wonder if the entry criteria have to be set up they way they
15 often are. If someone like myself, and I am not alone in
16 this category, wanted to enter a trial we could not. We
17 would have to have compassionate use approval. So, with
18 those most needing a new therapy, women who have tried just
19 about everything else first, in order to benefit these women
20 a drug may actually have to work in that patient population.
21 So, perhaps testing it on them is a good idea.

22 I recently read an article that stated drug
23 companies can increase the likelihood of a drug success by
24 using exclusion criteria, as one investigator told the
25 Inspector General's office, to enrich trials with patients

1 who are most likely to benefit. Yet, having attended two
2 meetings devoting to discussing how to promote clinical
3 trials, I understand that we need completed studies to
4 better aid us in discovering good treatment. Should
5 performance status be a standard for entry?

6 On the other hand, when I look at the actual
7 survival of most approved therapies I often fail to
8 understand their benefit to patients. At another ODAC
9 meeting I referred to continued approval of drugs with so
10 little better benefits to patients in existing drugs by
11 comparing the process to crawling on our hands and knees
12 through a field of broken glass.

13 Patients want to leap forward, yet we are
14 continually presented with tiny steps. Yes, they add up to
15 moderate gains, as has been stated at various medical
16 conferences I attend, but must we continue to crawl inch by
17 inch or is that simply an artifact of the current system?

18 So, part of my problem is continuing to doubt
19 whether we are using the best possible methods of finding,
20 testing and approving drugs at all. As a cancer patient
21 myself, I cannot imagine denying women with advanced disease
22 the opportunity to try one more conventional therapy even
23 when the end results will be two more months of survival
24 laden with the negative effects of the therapy.

25 I will end by urging everyone in this room to

1 consider the benefits of complementary natural therapies.
2 Studies in animals and cell cultures indicate benefits may
3 include better tolerance to cytotoxic regimens, support to
4 the host -- that is us, human beings -- and possibly
5 enhancement of therapy.

6 Please consider starting trials immediately that
7 will look at chemotherapy with the use of antioxidants.

8 Thank you for your attention. As you may imagine, no
9 pharmaceutical company has ever sponsored the Annie
10 Appleseed project. Ann Fonfa.

11 [Laughter]

12 DR. NERENSTONE: Our next speaker is going to be
13 Susan Weiner. Is Susan here?

14 DR. TEMPLETON-SOMERS: I haven't seen her.

15 DR. NERENSTONE: Then we will move on to Diane
16 Dorman.

17 MS. DORMAN: Good afternoon. I am Diane Dorman,
18 the Senior Director for Public Policy for the National
19 Organization for Rare Disorders. NORD is the unique
20 federation of voluntary health organizations dedicated to
21 helping people with rare orphan diseases and assisting
22 organizations that serve them. We are committed to the
23 identification, treatment and cure of rare disorders through
24 programs of education, efficacy, research and service.

25 I appreciate the opportunity to address publicly

1 the important topic of single-patient use of investigational
2 drugs in oncology. We applaud FDA's willingness to consider
3 providing promising treatments to patients living with the
4 unusual forms of cancer who have no other therapeutic
5 options.

6 I am happy to note that the rare disease patient
7 community insisted that access to investigational drugs be
8 included in the text of the Orphan Drug Act, which was
9 drafted between 1980 and '81 and was enacted in 1983.
10 Subpart (e), entitled, open protocols for investigations,
11 allows for an investigational orphan drug to be provided to
12 a patient outside a clinical protocol for the purpose of
13 treatment, not research. We are proud of this legacy,
14 grateful for your willingness to consider its implications
15 in oncology, and programs like these should be available to
16 all patients with life-threatening illnesses, including rare
17 disorders.

18 Why was the open protocol stipulation included in
19 the Orphan Drug Act? Historically, pharmaceutical companies
20 did not want to develop drugs and biologics for small
21 populations of people with rare diseases. The sponsor of an
22 investigational drug for breast cancer, for example, would
23 often not permit a doctor to obtain the compound under an
24 compassionate IND produced in a single-patient with bladder
25 cancer.

1 NORD knew then and knows now that the compound
2 would never be tested in bladder cancer because bladder
3 cancer is a rare and, thus, potentially unprofitable
4 disease. An NDA for bladder cancer would never be filled at
5 the FDA and use in bladder cancer would forever remain
6 investigational. We also knew that manufacturers chose not
7 to conduct pediatric studies. I would like to say that
8 today, however, I am happy to say that the new regulations
9 governing pediatric exclusivity are promoting trials in this
10 area.

11 The open protocol section of the Orphan Drug Act
12 removes these excuses and encourages sponsors to provide
13 investigational compounds to physicians who want to use it
14 with single patients outside of an existing protocol. It
15 also encouraged the FDA to quickly approve compassionate use
16 requests. In fact, the open protocol section was so
17 important to the rare disease community and so successful in
18 providing access to investigational drugs that former FDA
19 Commissioner, Dr. Frank Young, cited its success when he
20 proposed the treatment IND for all drugs, not just those for
21 orphan diseases. Institution of the treatment IND marked a
22 major turning point in the agency's recognition that patient
23 access to investigational drugs cannot be ignored.

24 We applaud the FDA, manufacturers and the advocacy
25 community which, together, have taken a next step in

1 building the single-patient IND program and expanded access
2 to protocols that ensure that promising compounds are
3 available to our constituents.

4 NORD administers several treatment IND programs to
5 provide a finite supply of experimental drugs to many
6 patients who are eager, if not desperate, to receive
7 experimental therapies. For example, we ran a computerized,
8 random selection program for Ralutec, a drug manufactured by
9 Aventis for Lou Gehrig's disease, and we are currently
10 administering a program for AstraZeneca's Iressa, a novel
11 agent for the treatment of non-small cell lung cancer. The
12 expanded access program we have instituted and will continue
13 to institute at NORD may very well serve as a model for the
14 distribution of limited supplies of drugs to a larger
15 population who want it, and that program takes an important
16 step forward in this search for appropriate access.

17 For many rare diseases, including some cancers,
18 small in numbers as they are, access to an investigational
19 drug outside of a controlled clinical trial can be the only
20 treatment option. For some cancer patients there are no
21 other therapeutic possibilities. Unfortunately, however, we
22 often hear from patients or families who have been trying
23 for weeks or months to obtain an investigational compound
24 through a single patient IND but are unable to do so. Most
25 of the time they blame the FDA simply because the agency

1 will not provide any valid reason for inaccessibility to a
2 compound.

3 We firmly believe that FDA does not serve the
4 public well when it refuses to respond honestly and openly
5 to inquiries about single-patient INDs. We believe that FDA
6 must remove its own gag rule and truthfully communicate with
7 patients and physicians so that they will know the specific
8 obstacles to access.

9 When a parent calls the FDA and asks why her child
10 cannot obtain a compound through a single-patient IND, the
11 FDA should be able to say, we have not received a request
12 from your child's physician, or, the pharmaceutical has
13 denied the physician's request, or, the physician's
14 paperwork cannot be processed until his or her institutional
15 review board grants permission for the doctor to use the
16 drug on your child. Doctors and patients need and deserve
17 these answers when their lives or the lives of their loved
18 ones hang in the balance.

19 NORD continues to get phone calls from cancer
20 patients as well as their mothers and fathers, friends,
21 cousins and spouses, which tell us that the FDA provides no
22 detail on their search for treatments. The agency's code of
23 silence does an injustice to these patients and their
24 families and even to the agency. Too often the blame for
25 inaccessible therapies falls back on the FDA even when it is

1 willing and eager to allow an experimental compound to be
2 given to a patient who is not in a controlled clinical
3 trial.

4 In closing, we applaud your willingness to
5 consider new means of ensuring access to promising cancer
6 therapies where no other adequate therapies exist. We also
7 ask that you keep ensuring that patients, their loved ones
8 and their doctors have all the information they need to
9 guarantee the access we want them to have. Thank you.

10 DR. NERENSTONE: Thank you. The next letter will
11 be read.

12 DR. TEMPLETON-SOMERS: This time I am reading this
13 for Lorelei Rosenthal. Thank you for giving me the
14 opportunity to address you today. I am not an activist, just
15 a wife, mother and working woman. I am also trying to
16 survive, as is my husband and our family, a devastating
17 illness, renal cell carcinoma because when an individual is
18 stricken it affects everyone.

19 My husband's illness was stable until a year ago
20 when conventional therapies failed him. We were advised to
21 look into experimental drugs or a clinical trial. With the
22 help of our physician, the Kidney Cancer Association, or
23 which we are active members, and the internet, we were able
24 to narrow down our options to a couple of experimental
25 therapies. We were not sure if my husband would be eligible

1 for a clinical trial so it was suggested that we try for
2 single-patient use of an investigational drug.

3 I was somewhat familiar with the process because
4 several years before our family was successful in obtaining
5 a protocol exception for a drug for my father who had
6 suffered a severe brain trauma. While the drug was of
7 marginal value, it did give some relief and hope to both my
8 father and our family. Our experience with the
9 pharmaceutical company was a positive one so I was
10 unprepared for the resistance that I met from the company I
11 was currently dealing with.

12 I had been told it would be difficult to find
13 anyone willing to talk and they would be less than
14 understand and, indeed, I met with resistance immediately
15 from the young woman who initially took my call, to the
16 researcher who told me in no uncertain terms that they would
17 not allow compassionate use of the drug. They went on to say
18 that they were not even sure that they would continue with
19 the production of this drug because they were not sure there
20 was a large enough population for this drug.

21 I was treated as though I wanted something that
22 was of a high priority and top secret, and I guess I did, a
23 drug to save my husband's life. I met people who had lost
24 loved ones because they could not access the drug and had no
25 other options. It became clear that this was the company's

1 attitude. It was the same attitude they had displayed with
2 the now well-known drug, successful in treating another form
3 of cancer.

4 Throughout all this I did not become embittered or
5 angered, I just became empowered. That is why we have
6 traveled here today at our own expense. Ultimately, my
7 husband entered a clinical trial and was stable for a year.
8 Now his disease is progressing and he is out of the trial.
9 The rules of clinical trials are black and white, I
10 understand. However, when one has a partial response when
11 you are dealing with a disease with a high mortality rate
12 there should be some latitude where compassionate use of the
13 drug comes into play.

14 The search is on again. This time we are traveling
15 to Europe. We have been encouraged to do so by a number of
16 U.S. oncologists. Ironically, the procedure was developed
17 here but is not yet available. Just imagine what it is like
18 if you do not have the resources, a support system or the
19 wherewithal. I understand that with some drugs there is a
20 risk but then just living is not without its dangers. I also
21 realize companies need profits to survive, that they must
22 protect their patents and have a fear of litigation, but
23 they must also show compassion and be helpful.

24 I also know that it is not just industry. Industry
25 and regulators must have a better mechanism with which to

1 deal with cancer drugs outside clinical trials. Lorelei
2 Rosenthall.

3 DR. NERENSTONE: Our next speaker Melissa Yazman.

4 MS. YAZMAN: Good afternoon. My name is Melissa
5 Yazman. I was diagnosed in May of 1997 with Stage IV
6 pancreatic cancer. I am here today, three and a half years
7 later, as a survivor who has battled to survive the odds. I
8 am a veteran of both standard treatment and clinical trials.

9 I have been blessed because I am here to fight
10 another day. Most people with pancreatic cancer are not so
11 fortunate. I am also here today as a representative of the
12 Pancreatic Cancer Action Network, called PANCAN. PANCAN was
13 founded in 1999 as the first and only national advocacy
14 organization for pancreatic cancer. Within a few short
15 months the ranks of our grassroots volunteers have swelled
16 to thousands, and today we work to increase awareness and
17 bring attention to the urgent need for well-designed,
18 medical research with positive outcomes.

19 Pancreatic cancer is the fourth leading cause of
20 cancer death for men and women in this country. The American
21 Cancer Society tells us that at the beginning of the year
22 2000 we will see 28,300 Americans diagnosed with pancreatic
23 cancer. One year later we will find that 28,200 people will
24 have died from pancreatic cancer. These are not good
25 numbers, folks. A typical pancreatic cancer patient is

1 diagnosed at Stage IV with metastatic disease, and has the
2 life expectancy of three to six months. There are no early
3 detection models out there. No PAP smears; no scans; nothing
4 to help us.

5 Our treatment options are severely limited. There
6 are only two FDA approved drugs for treatment of pancreatic
7 cancer. One of these drugs was only recently approved, in
8 the last three years, and the other drug, 5-FU, is a
9 standard oncological drug. Both are considered palliative.
10 No expectation of cure, merely palliative.

11 We want to thank FDA for initiating these
12 discussions on compassionate use. This is an issue that is
13 vitally important to the pancreatic cancer community because
14 we are desperate. We need access to as many options for
15 treatment as possible, and we are here today to listen and
16 to share our views in the hopes that this meeting is the
17 beginning of the process that will be productive for all us.
18 We believe that our starting point is to clearly define the
19 roles of all the agents, all the parties -- the patients,
20 the advocates, the FDA and the drug companies. I can assure
21 you that, as part of the advocate community, we want to be
22 part of your process.

23 The issues are clearly complicated and there are
24 more questions than can ever be answered in an afternoon's
25 hearing, but the Pancreatic Cancer Action Network feels that

1 this issue is important enough that the FDA should convene a
2 full-day meeting or a series of meetings with patients,
3 advocates, health and industry professionals to tackle these
4 questions head on. We strongly urge that a Part 15 hearing
5 take place. We believe that by working together and by
6 talking to each other we can all be an active part of the
7 solution for a situation that is clearly bigger than all of
8 us. We have no hidden agendas at PANCAN. Our bottom line is
9 simple. We must find a way to provide patients with access
10 to the best treatments, the most options and the greatest
11 hope without undermining the safety and efficacy of new drug
12 development. In today's age of discovery and application,
13 anything else is simply unacceptable. Thank you.

14 DR. NERENSTONE: Thank you. Chelsea Kidd?

15 MS. KIDD: Good afternoon. I am Chelsea Kidd,
16 Legislative Liaison for the National Patient Advocate
17 Foundation, and a breast cancer survivor. On behalf of NPAF,
18 I would like to thank you, all, for allowing us to share our
19 views with you on patient use investigational drugs.

20 NPAF is an organization that seeks, through policy
21 and legislative reform, to ensure individuals access to
22 health care. Our advocacy activities are influenced by the
23 information that we receive through the counseling and case
24 management activities of our companion organization, the
25 Patient Advocate Foundation.

1 The Patient Advocate Foundation is a national,
2 non-profit organization that serves as an active liaison
3 between the patients and their insurers, employers, and
4 health care providers to resolve matters related to access
5 to health care. PAF uses the services of professional case
6 managers and attorneys to guarantee that those with serious
7 and life-threatening illnesses, including cancer, receive
8 the care they need. The need for our services is great. In
9 1999, PAF handled over 29,000 patient calls, and we have on
10 more than one occasion been called upon to assist patients
11 in securing access to investigational drugs.

12 We strongly support the expanded access provision
13 that was included in the Food and Drug Administration
14 Modernization Act of 1997, and recommend that implementation
15 of that provision be made as simple and straightforward as
16 possible.

17 We would like to comment on the basic requirements
18 that must be met before a patient may receive an
19 investigational drug. FDAMA specifies that the patient's
20 physician has to determine that there is no comparable or
21 satisfactory alternative therapy to the investigational drug
22 the patient seeks. In the case of those who are seeking
23 access to an unapproved drug, decisions about the
24 availability of an alternative therapy should be made by a
25 specialist who is properly trained to offer such an opinion.

1 Under the statute, the FDA is required to
2 determine that there is sufficient evidence of safety and
3 efficacy to support the use of the investigational drug. We
4 recommend that this determination should include a
5 consideration of the professional qualifications of the
6 individual's physician, including his or her training to
7 assess the availability of alternatives to the
8 investigational drug.

9 Under FDAMA, the agency is also charged with
10 determining that provision of the investigational drug does
11 not undermine the ongoing clinical investigation. We agree
12 that efforts must be made to ensure that clinical trials are
13 not disrupted and that clinical research enterprise is not
14 hindered in any way. On occasion, our clients need
15 individual access to investigational drugs, however, all of
16 our clients benefit from the clinical research that leads to
17 improvements in medical care. NPAF believes that the agency,
18 relying upon the expert advice of medical specialists, can
19 balance the sometimes competing needs of the individual
20 patient and the need to ensure clinical research is not
21 interrupted.

22 We would like to thank you again for providing
23 this forum for consideration of an issue of great importance
24 to our organization and many others representing those with
25 cancer and other serious and life-threatening diseases.

1 Thank you for the opportunity to address the committee.

2 DR. NERENSTONE: Thank you. Martha Solonche?

3 MS. SOLONCHE: Good afternoon. I am Martha
4 Solonche and I serve on the Board of Directors of SHARE, and
5 I am here this afternoon to read a statement on behalf of
6 that organization. Please note that the opinions set forth
7 in this statement are those of SHARE and do not necessarily
8 reflect my personal sentiments, or the sentiments of any
9 organization or client I may serve.

10 SHARE, a non-profit organization located in New
11 York City and serving the tri-state area, offers survival
12 groups for women with breast or ovarian cancer and their
13 families and friends. SHARE also offers health and wellness
14 programs, educational programs, outreach training,
15 alternative and advocacy programs and three specialized
16 hotlines.

17 SHARE's participants continue to want to be able
18 to exercise all their options, including the use of
19 experimental drugs and treatments that may not ordinarily be
20 available to them, often because they have already been
21 treated with more than one chemotherapy regimen. At this
22 time, SHARE supports the proposition that non-approved
23 oncology drugs and biologics should be made available to
24 individual patients if, one, the patient's condition is
25 life-threatening and a request is made by the patient; two,

1 the patient is monitored in a structured, consistent manner;
2 three, there is sufficient drug safety information available
3 either from a completed Phase II trial of the drug or other
4 data that provide some basis for determining that the drug
5 may be efficacious; and, four, there is a set procedure and
6 record-keeping structure whereby all patients who receive a
7 non-approved oncology drug or biologic must provide a
8 specific set of information which may be similar to data
9 collected in existing trials, and such information must be
10 made available to specified researchers, and the clinician
11 treating the patient with the drug agrees to follow the
12 patient and provide data to the trial sponsors.

13 SHARE is aware of the problems that exist
14 regarding resources, allocation of supplies and the
15 possibility that such drug access may possibly undermine
16 existing trials and delay scientific conclusions. However,
17 we believe that a review can and should be made of the
18 current guidelines, and further recommendations can be made
19 to address some of these concerns.

20 SHARE is at the FDA today to hear all of the
21 dialogue that will be presented today regarding single-
22 patient use of investigational anti-cancer agents. We see
23 this as the beginning of an information gathering process.

24 On a personal note, as a survivor of two
25 concurrent primary cancers, Stage IIIc ovarian cancer and

1 Stage Ib uterine cancer, I am not eligible for any clinical
2 trial. Thank you.

3 DR. NERENSTONE: Thank you. Jennifer Bryson?

4 MS. BRYSON: Good afternoon. I am Jennifer Bryson,
5 an employee of Genentech, where I head up our efforts with
6 advocacy groups, which means that I work with advocates on
7 issues such as expanded access, clinical trial enrollment
8 and protocol design. By way of disclosure, Genentech pays me
9 a salary and also paid my travel expenses to be here today.
10 But I am glad to be here to share Genentech's perspective on
11 expanded access.

12 We have had experience with pre-approval access to
13 Herceptin, and we have adopted several key principles that
14 apply to our considerations of expanded access. Although we
15 believe strongly in these principles, we do not believe that
16 they are the only answer to this complex issue.

17 Our highest priority is to pursue high quality
18 research that advances our understanding of disease and our
19 ability to provide improved therapies. In order to preserve
20 this priority, we base the decision-making process about
21 access programs on specific factors related to each
22 product's development, such as safety data, efficacy data
23 and available drug supply.

24 Specifically, the decision about whether to create
25 an access program for a particular drug is based upon

1 impartial factors related to the suitability of that drug
2 for a specific patient population, as opposed to criteria
3 related to any individual patient such as who they know, how
4 much money they have, or how much media attention they can
5 achieve.

6 In fact, when an access program is appropriate,
7 Genentech is completely committed to providing that access
8 in a fair manner. Therefore, we cannot support or allow
9 individual patient exceptions or single-patient INDs.
10 Because drug supply is usually very limited during
11 development, the size of access programs may necessarily be
12 small and, therefore, we may not be able to meet the
13 tremendous and urgent demand by individual patients, and we
14 recognize that is very real. But we use the system that
15 randomly selects eligible patients without regard to any
16 subjective factor that could influence the selection
17 process.

18 We will only consider an access program when an
19 investigational agent has shown adequate safety and efficacy
20 data at the completion of Phase II. We believe these
21 criteria must be met in order to justify the risks of
22 administering an unapproved agent into a very ill patient.

23 We will provide access in a way that does not
24 interfere with the accrual or retention of patients into
25 controlled clinical trials that will determine an agent's

1 potential benefit and safety. Therefore, eligibility
2 criteria for access programs must not compete with the
3 eligibility criteria for controlled clinical trials, which I
4 think is a point that has been made here before. Patients
5 must also have immediately life-threatening disease for
6 which no other appropriate treatments are available.

7 In addition, we have learned a lot from our
8 Herceptin experience and we believe strongly in working with
9 the affected community to work out a specific program that
10 addresses the needs of that population. So, we are committed
11 to going forward and working with advocates in any future
12 access programs that we design, to work with the affected
13 community to make sure that we meet their needs. Thanks.

14 DR. NERENSTONE: Thank you. Gayle Tibbett?

15 DR. TEMPLETON-SOMERS: Gayle Tibbett is another
16 victim of the bad weather. This letter is from Gayle
17 Tibbett. I am appreciative of the invitation to write to you
18 regarding the experience my husband has had since he was
19 diagnosed with colorectal cancer in 1997. His experience is
20 very common. Thus, as I write to you of our personal
21 situation, I know that I speak for a much larger population
22 of cancer patients and their families.

23 After my husband's initial diagnosis in 1997, his
24 oncologist recommended standard treatments. Two years later
25 he experienced recurrence to the liver. All first-line

1 treatments failed. He began trial drugs. They failed. In
2 spite of this, today he is healthy and works full time.
3 Unfortunately, we find ourselves at a place where there are
4 almost no options remaining for him. We discovered there are
5 three drugs now in the process that are in his best
6 interest, but he cannot qualify for these drug trials
7 because he has too much pretreatment. Thus, the only option
8 for us is really no option at all. Phase I drugs are out of
9 the question. Remember, he is healthy; he works full time.

10 As I explained, this treatment cycle is very
11 common. When the initial diagnosis is given the patient is
12 encouraged by their oncologist to take first-line drugs.
13 When they fail, the patient begins trial drugs. Then the
14 trial drugs fail or are exhausted. At this point, if more
15 trial drugs become available the patient is disqualified
16 from participation in these trials because the protocols
17 established by the pharmaceutical companies discriminate
18 against individuals who have already participated in a
19 standard treatment process. Essentially, the patient's
20 earlier treatment prevents them from participation in
21 additional drug trials. When they reach this point, most
22 patients give up. Their only choice is the risky Phase I
23 trial. The reason this is their only choice is because very
24 few compassion trials are available.

25 The simple solution the this treatment dead-end is

1 to make more compassion trials available, especially for
2 drugs that are showing potential. However, I know this
3 solution is not simple for pharmaceutical companies or the
4 drug approval process. Compassion trials stir up many fears.
5 One of these fears is that the compassion trials will pull
6 candidates from Phase II and III drug trials, creating a
7 potential competition between compassion trials and other
8 standard trials. Another fear associated with compassion
9 trials is the creation of negative data that might be
10 damaging to a drug's approval process. These fears are
11 legitimate in that they are directly related to the process
12 of drug approval. However, there is a way compassion trials
13 could be established which would address these fears.

14 Guidelines could be created which we make
15 compassion trials a real solution for cancer patients as
16 well as manageable venture for the institutions that oversee
17 them. First, open compassion trials only to individuals who
18 have exhausted all other options, those patients who are
19 unable to meet protocol and thus are disqualified from
20 standard Phase II and III trials. In other words, create a
21 protocol that requires all standard treatment to have failed
22 before a patient could participate in a compassion trial.
23 With this guideline compassion trials would be unable to
24 detour qualified patients from participation in standard
25 trials. Second, allow compassion trials to be controlled by

1 the same institutions that control the Phase II and III
2 trials. Hold the compassion trials at the same location as
3 the standard trials so the possible candidates for either
4 trial could participate in the most scientifically
5 appropriate trial, rather than selecting a trial based
6 solely on geographic convenience. Third, consider the data
7 received from the compassion trials as valid data. While
8 this data would be of a different nature than Phase II and
9 Phase III trial data, the distinctive qualities of this
10 information could be a source of valuable information and
11 helpful in reaching the goal of drug approval and possibly a
12 cure.

13 A compassion trial is the only hope for my husband
14 and many more like him. His local oncologist and physicians
15 at NCI agree that the three drugs available would be in his
16 best interest, but he cannot qualify for those drug trials.
17 He has already contributed to scientific research and is
18 ready to contribute to further studies. Please move quickly.
19 My husband is not an isolated case; many people's lives are
20 at stake.

21 Thank you again for the opportunity to communicate
22 our experience. Gayle Tibbett.

23 DR. NERENSTONE: Karen Doran is our last speaker.

24 MS. DORAN: Good afternoon. I would like to take
25 the time to thank the FDA for permitting me to be here today

1 to discuss four issues regarding my mom and gene therapy.
2 First of all, mom had been approved for gene therapy through
3 the University of Pennsylvania, in Philadelphia. It was the
4 only source of hope in fighting her rare, deadly lung
5 cancer, classified as mesothelioma. We know that gene
6 therapy was a trial treatment with no guarantees, but the
7 family agreed that it was the best option she had. We were
8 told treatment could begin as early as January 2000, and we
9 thought it was a great way to begin the New Year.

10 Secondly, after weeks of waiting with no word from
11 Philadelphia, we contacted them and received no firm data
12 for mom's therapy to begin. This was a very stressful time
13 for everyone, especially since she was receiving no
14 conventional medical therapies pending the start of gene
15 therapy.

16 Third, it was only through the news media that we
17 found out that the gene therapy was put on hold due to the
18 death of one of the participants.

19 Finally, we believe all patients should be able to
20 make their own decisions in regard to taking gene therapy
21 after being fully informed of all the potential risks and
22 benefits. Because of an incident that took the life of a
23 young man who voluntarily underwent the treatment, fully
24 knowing the risks, my mother and countless others were
25 denied their best fighting chance against cancer. So, I am

1 here today to talk about decision, choice and being
2 informed.

3 Here is a full account of our story. In October,
4 199, our lives changed drastically. That is when my mother
5 was diagnosed with late-stage mesothelioma which us a rare,
6 deadly form of lung cancer caused by exposure to asbestos. I
7 want to emphasize that my mother was a non-smoker. Up until
8 her diagnosis, mother was vibrant and energetic, very active
9 in the community, home and church. We believe she was
10 exposed to asbestos as a young adult from washing her
11 father's clothing.

12 At the time of the diagnosis, we were told there
13 were very limited treatment options. Our only hope for any
14 cure was a gene therapy clinical trial being done in
15 Philadelphia at the University of Pennsylvania. We learned
16 of this gene therapy through a local pulmonologist, Dr.
17 Michael Wei, who suggested we take mom for evaluation.

18 The appointment was scheduled for Friday, November
19 the, 1999. Mom and I flew to Philadelphia on Thursday. This
20 was mom's first flight and she was given a first-time
21 flyer's certificate from the flight cres. We were both
22 excited and hopeful.

23 Mom was evaluated by Dr. Daniel Sterman, Assistant
24 Professor of Medicine, and Clinical Director of the
25 Mesothelioma Gene Therapy Program. It was determine that she

1 was a candidate for gene therapy. Loaded with much
2 information about gene therapy and possible side effects, we
3 returned home to permit mom time to consider whether or not
4 she wanted to do this as it was a non-proven therapy that
5 could have serious consequences. Dr. Sterman stressed that
6 this had to be mom's decision, no matter how much her family
7 wanted her to try this gene therapy. After much thought,
8 prayer and careful consideration with her family, mom
9 decided to undergo gene therapy. Mom did not want to die and
10 was willing to take this chance so that she might live.

11 Dr. Sterman was contacted and mom was in line to
12 start the gene therapy in January, 2000. We received this
13 news on December 20, 199 and thought what a Christmas
14 present. Mom was very excited and, at the same time, was
15 anxious to get started since she would have to spend several
16 weeks in Philadelphia undergoing treatment. Many of mom's
17 family and friends had already offered to go with her. She
18 was told not to take any chemotherapy as it would interfere
19 with the gene therapy.

20 We waited patiently to find out when she would be
21 going to Philadelphia. She even had her bag packed. However,
22 the FDA put a hold on the gene therapy trial when a
23 participant passed away in September, 1999. When the FDA was
24 contacted, I was told the reason for the gene therapy
25 clinical trial being put on hold was confidential due to

1 pharmaceutical stock prices being affected. After a follow-
2 up telephone call to the University of Pennsylvania we
3 learned that mom would not be able to start gene therapy as
4 planned. We were advised to seek other treatment options.

5 Mom's medical records were sent to cancer centers
6 all across the United States but to no avail. Her cancer had
7 rapidly progressed since her evaluation in November. We were
8 told there was really nothing that could be done except some
9 chemotherapy, which might make her more comfortable but have
10 minimal impact on the disease.

11 Mom passed away on May 26, 2000 at the age of 72.
12 She was denied a possible cure for her disease by a group of
13 people who did not know her. They apparently did not
14 understand that mom knew her options and was willing to take
15 a chance. My mother knew she might die with this treatment
16 but she also knew she was going to die without it.

17 My family and I strongly believe mom should have
18 been given the opportunity to try gene therapy. She had full
19 faith in Dr. Sterman and the gene therapy and should have
20 been permitted to receive it. Her strong Christian beliefs
21 would not permit her to be afraid of death, and her love for
22 her family and friends would not permit her to give up
23 either. Mom was surrounded by numerous devoted family
24 members and friends. She was extremely active in the church
25 and community and still had much to give. When a person is

1 told they are going to die from a devastating disease such
2 as mesothelioma, and that the might die with an experimental
3 treatment, then there should be a choice.

4 If my mother had undergone gene therapy in January
5 2000 as planned, and if she had died as a result of the
6 treatment, what would she have missed in the last remaining
7 months of her life? She would have missed seven hospital
8 stays. She would have missed having a feeding tube inserted
9 on three separate occasions because she could no longer
10 swallow. She would have missed an extremely painful bed
11 sore, loss of hair and sickness brought on by chemotherapy.
12 She would not have had a Foley catheter or lost control of
13 her bowels. She may not have suffered as much both mentally
14 and physically. Mom was never one to depend on others for
15 her personal needs. In fact, it was just the opposite as she
16 was always caring for others. So, becoming increasingly
17 dependent and less functional was a terrible hardship for
18 her. If she had undergone gene therapy, I know she would
19 have still experienced hospitalizations and pain and
20 uncertainty, but at least she, and all of us, would know
21 that we were fighting cancer with the best weapon available
22 instead of being empty-handed with no weapons at all. And,
23 if we had known in November that gene therapy had been
24 cancelled we would have put mom on conventional therapies,
25 possibly extending her life and making her final days more

1 comfortable.

2 It is felt there is a higher ethical need for the
3 patient to understand why they have been denied access to
4 treatment than the need for confidentiality due to
5 pharmaceutical stock prices.

6 My family and I believed, and still believe, that
7 mom should have been permitted to receive this gene therapy.
8 We know that it is too late to save my mother but it may not
9 be too late to save someone else's loved on. Thank you.

10 DR. NERENSTONE: On behalf of ODAC, I would like
11 to thank all the speakers for taking their time in
12 addressing this committee.

13 Dr. Williams is going to give us an introduction
14 now on this topic.

15 **Single-Patient Use of Non-Approved Oncology Drugs**
16 **and Biologics**

17 **Introduction**

18 DR. WILLIAMS: Madam Chairman, committee members,
19 ladies and gentlemen, first of all, I would like to express
20 my thanks to the many speakers that we have heard today,
21 your stories, your issues. I think you have raised several
22 issues that I really think we should look into. I really
23 appreciate your input.

24 Today I will briefly provide the regulatory
25 background for discussion of single-patient use of

1 investigational drugs and biologic products. When I speak
2 today of drugs, please understand that I am referring to
3 both drugs and biological products. I am from the Division
4 of Oncology Drug Products for drugs at FDA, but our Division
5 works closely with the Center for Biologics and oncologists
6 there, and today we have Karen Weiss and Pat Keegan at the
7 table with us. As you know, this committee commonly reviews
8 applications from both drugs and biologics.

9 So, what are the objectives for our meeting today?
10 One objective is to educate the public on the many issues
11 surrounding the treatment use of experimental drugs. I think
12 you have been educated already from the patients that have
13 spoken today, and we will be hearing from many others.

14 A second objective is to get the advice and input
15 on when it is appropriate to allow experimental drugs to be
16 used for the treatment of individual cancer patients.

17 To accomplish these goals today, we plan to hear
18 from a number of individuals who may have a variety of
19 perspectives on this issue. First, I will make a few
20 introductory remarks about the law and about FDA experience.
21 Next, we will be hearing from ethicists who will provide us
22 with principles and language that will be useful when having
23 our dialogue. Then, we will be hearing from the perspective
24 of industry, from two individuals representing drug
25 companies involved in studying cancer drugs. Finally, we

1 will hear the perspective of patients as communicated by
2 representatives from three patient advocate groups.

3 After hearing these presentations and the
4 perspectives that they represent, the committee will be
5 asked to discuss the relevant issues. We are looking forward
6 to these discussions and to your advice, and we will
7 consider them very carefully as we evaluate our approach to
8 single-patient use of investigational drugs.

9 First I want to begin with a few definitions. All
10 use of experimental drugs is regulated by FDA under an IND.
11 An IND is an investigational new drug application. There are
12 several different individuals that may be involved in an
13 IND. First, there is the IND sponsor. The sponsor is the
14 individual, company or institution that assumes
15 responsibility for overseeing the study for assuring that
16 the regulations are followed and for reporting to FDA on the
17 progress of the study. The sponsor may or may not be the
18 manufacturer of the drug.

19 Next, there is the investigator. The investigator
20 is the individual that actually performs the trial or
21 administers the drug. At times the investigator and the
22 sponsor are the same person.

23 The regulations stipulate that a sponsor shall
24 select only investigators qualified by training and
25 experience as appropriate experts to investigate the drug.

1 For most cancer drug applications, we expect the
2 investigator to be a licensed physician and to have training
3 and experience in treating cancer.

4 FDA may receive a request for treatment at any
5 stage in the process of drug development. So it is important
6 to understand something about the process. The stage of
7 development tells you how much information there is about a
8 drug. For those of you that are not oncologists, I will
9 briefly outline the drug development process in oncology.

10 The first stage is the preclinical stage before a
11 drug has been studied in humans. We may have data from
12 laboratory studies or from animal studies. These data allow
13 investigators to select a cost for the first studies in
14 humans and to identify toxicities caused by the drug in
15 animals.

16 The sponsor subsequently files an IND. This IND
17 contains, among other things, a clinical protocol for a
18 Phase I study. Phase I studies in oncology are generally
19 small studies, done carefully in just a few patients to
20 determine what is an acceptable dose of drug for future
21 study, and to determine the most obvious toxicities of a
22 drug.

23 The next phase of the cancer drug development is
24 Phase II. Separate Phase II studies are performed in
25 different types of cancers. Generally one or two studies

1 usually totaling 30-100 patients are evaluated in each
2 disease. The purpose of a Phase II study is to see if there
3 is preliminary evidence that the drug might work. Such
4 evidence might be tumor shrinkage or often known as tumor
5 response.

6 Finally, the last stage of development before drug
7 approval is Phase III. Phase III trials are larger trials,
8 designed to demonstrate whether the evidence of drug
9 activity noted in Phase II actually translates into clinical
10 benefit. These are usually randomized trials in hundreds or
11 thousands of patients comparing the experimental drug to a
12 standard therapy.

13 So, that is a brief overview of the development of
14 cancer drugs. The stage of development is one important
15 consideration in evaluating the request for treatment use of
16 an experimental drug.

17 The usual purpose of an IND is to allow for
18 clinical investigators to determine whether a drug is safe
19 and effective. If the findings from the studies are
20 favorable, the sponsor will submit all of the data from
21 these investigations to FDA to determine whether the drug
22 can be approved for marketing. In this way, the drug becomes
23 widely available to the American public.

24 The FDA strongly endorses participation in
25 clinical trials because it is in the best interests of the

1 American public to determine whether a drug is safe and
2 effective. Individual patients also benefit by participating
3 in cancer clinical trials. The best treatments available are
4 selected for testing in these trials.

5 However, there are times when it may be
6 appropriate to test make an investigational drug available
7 primarily for treatment rather than for the usual purpose of
8 investigating the drug's safety and effective. Generally,
9 this unusual step of authorizing such use is warranted only
10 for patients with serious diseases and conditions, such as
11 cancer, and for whom there are no remaining satisfactory
12 treatments.

13 The terminology surrounding treatment use of
14 experimental drugs can be confusing because the regulations
15 do not explicitly describe all of the practices. Different
16 terms are frequently used for the same practices. Treatment
17 use of experimental drugs can be divided into two main
18 groups, single-patient treatment use and expanded access
19 treatment use. Expanded access refers to the fact that
20 multiple patients are being treated under a single protocol,
21 whereas for single-patient use individual type treatment
22 plans are drawn up for individual patients.

23 I will briefly describe expanded access. In
24 oncology, historically there are two well-defined procedures
25 for expanded access. Since the 1970's NCI has worked with

1 FDA to provide investigational treatment for use under a
2 mechanism called Group C. In 1987 regulations were adopted
3 that formalized this process and extended it beyond the
4 treatment of cancer to all diseases that are serious and
5 life-threatening. The name of this mechanism of expanded
6 access is the treatment IND or treatment protocol. Both
7 Group C and treatment IND are intended to allow for
8 widespread distribution of a drug that is nearing marketing
9 approval.

10 Over the years expanded access protocols have also
11 been approved for promising drugs not yet at this stage of
12 development, that is, near marketing approval stage and
13 treatment IND. The requirements and format for these other
14 expanded access protocols are not really described in the
15 regulations as a separate section, but the considerations
16 for their approval are similar. In a little while you will
17 be hearing from Dr. Linden and Dr. Kennealey about their
18 experiences with such protocols.

19 Now I will describe single-patient use. In single-
20 patient use treatment plans are drawn up individually for
21 each patient. There are two mechanisms for handling single-
22 patient use. In the first mechanism, the single-patient IND,
23 a new sponsor files separate IND. We know that hundreds of
24 patients per year receive drug under single-patient INDs. In
25 general, this process is less desirable and involves more

1 paperwork for everybody. Also, there is not a single sponsor
2 who communicates with all the physicians treating patients.
3 Generally there is one sponsor per IND.

4 In the second mechanism, called single-patient
5 exception, there is already an existing IND, an existing
6 sponsor and an existing investigational protocol. Under the
7 single-patient exception mechanism a patient who is
8 ineligible for an investigational protocol is treated under
9 a plan that is a slight modification of the existing
10 protocol. The same IND and the same sponsor are used. This
11 is a more efficient mechanism for single-patient treatment.

12 In summary, investigational cancer drugs are
13 provided for treatment use by a variety of mechanisms. Over
14 the years many thousands of patients have received
15 investigational cancer drugs through treatment IND or Group
16 C mechanism, by other expanded access mechanisms or by
17 single-patient treatment use.

18 So, what are the legal requirements? Legal
19 requirements for single-patient use are basically the same
20 as those for any IND. There must be a drug manufacturer that
21 will supply the drug. There must be a sponsor who reports to
22 FDA. There must be a medically trained investigator and,
23 again, sometimes the sponsor and the investigator are the
24 same person. There must be informed consent and IRB
25 approval, and there must be concurrence by FDA that there is

1 sufficient evidence supporting the drug's safety and
2 efficacy.

3 Please note, however, that FDA cannot initiate
4 this process even after a request from a patient or a
5 patient's doctor. The FDA does not produce drugs and the FDA
6 is not a sponsor.

7 You should be aware that if there is a problem
8 with a requirement for treatment use, FDA may not always be
9 able to directly communicate the reason for the problem, and
10 I think you have heard that earlier. Legally, much of the
11 information generated under an IND is proprietary and
12 confidential and it cannot be communicated by FDA without
13 permission of the sponsor. But we did find interesting the
14 comments earlier, and we will look into what we are legally
15 able to communicate in the future.

16 When evaluating any requirement for treatment use,
17 these are the items one must consider: whether evidence
18 suggests that the drug is active or toxic; whether patients
19 have other acceptable treatment options; whether the sponsor
20 is conducting clinical trials needed for marketing of the
21 drug; and whether the proposed treatment is likely to
22 interfere with clinical studies needed to prove whether the
23 drug is safe and effective. These latter two issues may be
24 less important for single-patient use, especially if such
25 use is infrequent.

1 In summary, when evaluating a requirement for
2 single-patient use of an investigational drug these seem to
3 be the central issues: First, what evidence do we have
4 regarding the drug's effect in people? One aspect of this
5 question is to consider the stage of drug development. Do we
6 have data from Phase I studies, Phase II studies, Phase III
7 studies?

8 Then we need to consider the results of the
9 studies. Are there data suggesting that the drug has
10 activity or that it is toxic? The other important
11 consideration is whether there is available standard therapy
12 for the patient's cancer. For diseases where there is no
13 standard therapy or where standard therapy is not
14 satisfactory, FDA has usually permitted single-patient
15 therapy if data suggests that experimental treatment is
16 relatively safe.

17 Later in this session the committee will be asked
18 to discuss when single-patient use of investigational
19 treatment is appropriate. You will be asked to consider
20 basically these three factors: Evidence of anti-tumor
21 activity, evidence regarding toxicity and adequacy of
22 available therapy.

23 We look forward to your advice. I will be glad to
24 take any questions.

25 DR. NERENSTONE: Does the committee have any

1 questions? Dr. Przepiorka?

2 DR. PRZEPIORKA: Two quick questions. First of
3 all, I know the FDA can, because I have read the warning
4 letters on the web site, take action against drug companies
5 when they are advertising does not comply with labeling
6 requirements. But what about press releases prior to
7 approval? Do you have any purview there in taking action
8 against drug companies when press releases are not accurate?

9 DR. WILLIAMS: Well, first of all, we have a
10 department that deals with press releases and a department
11 that deals with advertising who I would consult with. But we
12 do have interest in press releases and we are often asked to
13 clear them. I don't know if Rachel has any other comments
14 about that.

15 DR. BEHMAN: In our discussion and between the
16 Division of Advertising and General Counsel, it is not
17 considered advertising and many in industry have, therefore,
18 stated their position that we cannot take action on press
19 releases.

20 DR. PRZEPIORKA: My second question is do you have
21 a guidance document for use of a treatment IND for the
22 treating physicians?

23 DR. WILLIAMS: The terminology is very confusing.
24 We have regulations that discuss treatment INDs. The word
25 treatment IND is a very special word in the regulations. No,

1 we do not have a guidance document, that I am aware of, that
2 discusses what we are talking about today, that is,
3 treatment use, no. But that could always be considered, and
4 input from meetings such as these could always help in that
5 process.

6 DR. NERENSTONE: I have a brief question. Do you
7 have any idea of the magnitude of the number of requests
8 that you might get if this were opened up?

9 DR. WILLIAMS: Well, you know, it depends on what
10 you mean by "opened up." We get hundreds and thousands of
11 treatment use every use clearly. It is hard to look at
12 statistics because they come in so many different forms.
13 Some of them under the INDS don't jump as treatment use but
14 there is a lot of it and I have no idea if we did everything
15 possible to make it easy how many there would be.

16 DR. NERENSTONE: Other questions from the
17 committee?

18 [No response]

19 Thank you. Our next speaker is going to be Dr.
20 Sugarman.

21 **Ethical Considerations**

22 DR. SUGARMAN: Thank you for the invitation to
23 talk about this very important and vexing topic. As the
24 stories we heard so far make clear, the stakes here are
25 enormous.

1 What I have been asked to do is to provide an
2 ethical framework, not to provide with the ethical answers
3 from the outset. So, my task is still different because
4 there are multiple ways of approaching ethical problems in
5 research and health care. I am going to do my best to
6 provide a framework so that at least we are sharing a
7 language as we discuss some of these issues.

8 What is interesting about this topic is that it
9 overlaps areas of traditional medical ethics with areas of
10 research ethics, and the driving force behind the regulatory
11 approach has been one that focuses primarily on research
12 ethics. So, we need to understand what some of the competing
13 claims are in those areas.

14 Scientific success has certainly change the
15 calculi that we use in ethical decision-making and the
16 implications of it have been social, political, commercial,
17 personal, medical, etc., and need to be weighed in. Then,
18 finally I will discuss what some of the ethical obligations
19 are for the multiple players in this field.

20 Well, here is the medical ethics 101 course, with
21 apologies to folks who have spent years of their life going
22 through this one slide. But there is a new medical ethic
23 that emerged over a couple of thousand years. As you
24 probably are aware, the Hippocratic ethic, exemplified in
25 the Hippocratic oath lasted from the 4th century B.C. up

1 until probably the 19th century. Now, in the Hippocratic
2 oath, remember, there is the Hippocratic physicians practice
3 on the Isle of Cos, off of Greece. They were Pythagoreans,
4 the same people who brought you the triangle. Okay? They
5 were an odd set of physicians, a weird group who had some
6 strange notions about the way to practice medicine, but they
7 were deeply committed to it. When one was a Hippocratic
8 physician one swore an oath by Aesculapius, and Hygeia and
9 Panacea -- the gods that were important in dealing about
10 what these physicians did.

11 Now, one central notion of the Hippocratic oath
12 has persisted today, the notion of beneficence; the notion
13 of doing good by your patients; the obligation to help and
14 at least not to harm. This persisted. There were changes in
15 the way healthcare was delivered and, by the 18th and 19th
16 century there was a series of writers, especially in
17 Scotland, who came up with the notion of what it meant to be
18 a professional; what being a physician meant, as having a
19 fiduciary obligation, an obligation to look out for the
20 rights and interests of the person who was sick. And, there
21 was some competition around, and there was a need for
22 doctors to take a hard look at what kind of competing forces
23 were at work as they went about taking good care of their
24 patients.

25 Go forward another 150 years or so and we begin to

1 have, especially in the '60's and '70's, problems with
2 technologies. The one that is often cited is the
3 availability for the first time of dialysis machines, or for
4 the first time we have the ability to save people with the
5 use of hemodialysis and there were only a couple of those
6 machines around.

7 Groups tried to decide, with this now very
8 promising, very early treatment, how to allocate it. There
9 just weren't enough to go around. Committees were set up in
10 different parts of the country, and Shana Alexander, in
11 1962, in a famous Time magazine piece, witnessed the
12 deliberations of committees, especially in Seattle. As this
13 committee of good deed doers stuck around and tried to make
14 a decision about who should receive this dialysis machine,
15 some were horrified by the ways they were making decisions.

16 What was the best way to allocate it? Was it the
17 person that looked like the good deed doers on the committee
18 or was it someone who looked very different? One woman was
19 quoted as saying, when a decision was made to give the
20 business man with then, I guess, four kids and a station
21 wagon, the dialysis machine and a guy who lived in the woods
22 alone was not given the machine -- she said that Henry David
23 Thoreau couldn't have gotten dialysis.

24 These kinds of questions, questions about the
25 beginning of live, questions at the end of life, famous

1 cases, the "baby Doe" cases, the Karen Ann Quinlan cases,
2 all argued that we needed something more. We needed an
3 approach besides doctors trying to do good things, and there
4 were several approaches that came to bear, some religious,
5 some regulatory and some philosophical.

6 Here, what we would describe as ethics is the
7 systematic examination of, the moral life, a way of looking
8 at competing moral claims so that there will be morality
9 within medicine; there is a common morality. Those
10 moralities may differ. It makes sense if you come and see me
11 in my clinic, you tell me what is wrong as the first thing
12 you tell me; what is the matter; and then you take your
13 clothes off. The same thing doesn't happen when you are
14 shopping. It is a different interaction, different
15 expectations, different rules govern that approach. So,
16 clearly there was something special going on in this field
17 of medicine.

18 The ethical principles -- and this is only one of
19 many approaches but it is a common one that was brought to
20 bear on this problem, are four: respect for autonomy,
21 beneficence, non-maleficence and justice.

22 Respect for autonomy -- "auto," self; "nomos,"
23 rule -- the right to self-legislate, critical component for
24 the American health care system. It is based on the notion
25 of liberty. Don't tread on me. Tax us, we throw your tea

1 overboard. Leave me alone. Our social distance in this
2 country is extraordinary. We don't sit very close. We don't
3 touch each other very much -- very different from when I was
4 practicing medicine in Tanzania where we would hold hands on
5 rounds to show that we were a team of physicians. It doesn't
6 work with my medical students at Duke.

7 [Laughter]

8 I didn't try it either.

9 [Laughter]

10 The notion of autonomy, this liberty interest, the
11 right to be left alone translates into medical practice of
12 that ability not to be touched without permission, not to
13 have medical care done on one without permission. Don't do
14 that to me unless I say it is okay.

15 Beneficence -- second principle; some would argue
16 the first principle. Anyway, Latin, good; "fica," do or to
17 make. The obligation here is to make things good. It is not
18 "volo, volare" to wish or to want. It is not a Hallmark
19 greeting card. It is not that you want it to be better; you
20 have an obligation as a health care provider, as a
21 clinician, to make it better. It is a moral obligation; it
22 is a deep-seated moral obligation. And, the notion of what
23 counts as good is where we get into trouble, but the notion
24 of good is generally measured in medical goods, the things
25 that doctors can know about not the thing they can't know

1 about or change.

2 Non-maleficence -- "Non" is not; "male" is bad;
3 "fica" do or make -- see, ethics is pretty easy; we have big
4 words to describe simple concepts. Not bad make." Okay? Now,
5 this comes out as do no harm and it goes towards minimizing
6 risks.

7 This Hagar cartoon -- he is a famous medical
8 ethicist, said you should trust doctors more. The first rule
9 is do no harm.

10 Second slide -- it worries me that they needed a
11 rule to figure that out.

12 Now, there is that notion that people say, well,
13 that is "primum noli nocere," first do no harm. Well, I told
14 you what was in the Hippocratic oath, to help and at least
15 not to harm. Hagar is right here. Where did "primum noli
16 nocere" come from? That is Latin. They didn't have that yet.
17 So, with the best we can tell, unless Dr. Pellegrino
18 corrects me, it was a lying monk somewhere in the Middle
19 Ages who changed this around and changed the whole ethical
20 scheme. But, first do no harm is sort of an adage we use
21 about not wanting to hurt and to minimizing risks to people
22 in the process.

23 Finally justice -- one word, not two; fairness;
24 equal access, treating equals as equals; knowing how, if
25 there aren't morally relevant differences among different

1 individuals who need that dialysis machine, how to allocate
2 those.

3 So goes this framework for ethical principles. All
4 come up in different cases in health care. Autonomy is
5 informed consent. Beneficence is giving treatments that are
6 helpful. Non-maleficence is not giving treatments that are
7 harmful or untested. Justice is treating people fairly.

8 There can be competing in different cases. In
9 ethics we use the term "prima facie," first glance binding.
10 They all matter; not just one, not just another. When they
11 come into conflict that is when you have ethical problems
12 and you need to weigh them and balance them. Switch gears.

13 Research ethics emerged out of a different
14 history. Hippocratic physicians didn't really do randomized
15 clinical trials; they weren't invented yet. Unfortunately,
16 part of the history of human experimentation, early history
17 of human experimentation derives from tragic stories. Our
18 regulatory approach and the way we approach the ethics of
19 research ethics traditionally has been one which Carol
20 Levine has said was born in scandal and reared in
21 protectionism. We draw this from the horrible experiments
22 that the Nazi doctors conducted. These were clinicians who
23 somehow kept wearing their white coat but forgetting what it
24 meant. They began to do human experiments that served no
25 useful purpose, brought patients or subjects to death

1 leading to sort of political learning and not necessary
2 medical learning. At the end of World War II the United
3 States government, in a court case known as the United
4 States versus Carl Brandt, announces the first ten
5 principles of the Nurenburg Code in a court case. Nazi
6 doctors were hung; some of the rest are in jail for the rest
7 of their lives for the kinds of experiments they did.

8 We move forward. Doctors in the United States and
9 Europe did not see themselves as Nazi doctors. We were very
10 different. Now, as an aside, I should say that I had the
11 opportunity to work on staff on the White House Advisory
12 Committee for Human Radiation Experiments. In this country
13 physician investigators and investigators conducted over
14 4000 human radiation experiments without consent, after the
15 Nurenburg Code was put into place and during the time of the
16 Declaration of Helsinki was put into place.

17 Now, what they did, unlike the Nazis, was they
18 paid exquisite attention to the risk/benefit ratio. Even
19 though there were thousands of radiation experiments, it was
20 very difficult to find any evidence that anyone was harmed.
21 They were wronged in the sense that their autonomy wasn't
22 respected but they weren't harmed.

23 The thalidomide tragedy is another research ethics
24 scandal. It is a call for better research. It fueled the
25 amendments for the Food, Drug and Cosmetics Act and said,

1 you know, we want to show safety and efficacy in drugs. And,
2 we have an elaborate process in place to provide protections
3 so that drugs that come to market can protect patients who
4 buy this stuff or who use this stuff. So, it is not a
5 scandalous notion but it is a protective notion, protective
6 of the customer.

7 In the clinical center there are also other types
8 of scandals, U.S. scandals where researchers didn't
9 necessarily respect the rights and interests of vulnerable
10 subjects. Very famous research conducted in institutions,
11 hepatitis experiments in which retarded children at the
12 Willowbrook School were injected or inoculated with
13 hepatitis to figure out what the natural history of the
14 disease was, and then to figure out how it might be treated.
15 Elderly patients at the Jewish Chronic Disease Hospital, in
16 New York, injected with live cancer cells without their
17 consent to see if cancer was infectious.

18 This clearly said that doctors had overstepped
19 their boundaries. The world, through the '60's, was not
20 willing to accept that sort of behavior on the part of
21 clinicians even in the name of advancing science, even
22 though the hypotheses were good.

23 There was an initials et of rules put into place
24 at the clinical center by Dr. Shannon, who ran the place,
25 and then the revelation of the Tuskegee syphilis study,

1 probably the most embarrassing moment in federally funded
2 research in this country, was revealed, not because it had
3 been kept secret by the scientific community but because a
4 journalist thought to tell the story as an ethics story.
5 Tuskegee is revealed and there is a national commission to
6 look into that, and then the formulation of the National
7 Research Act in 1974.

8 In 1974, we have the promulgation of what are the
9 basic federal regulations which we still use today,
10 something that becomes the common rule and, you know, policy
11 types -- this is fun stuff to talk about; I will spare you
12 at this hour of the day. But, the National Research Act also
13 brought you the National Commission for the Protection of
14 Human Subjects and Biomedical and Behavioral Research. What
15 the national commission did is find that the use of
16 philosophical principles could also be helpful in research.

17 The national commission issued what is known as
18 the Belmont Report. The Belmont Report is so named because
19 it happened at the Belmont Conference Center in Maryland. In
20 writing a government report you don't have to have a great
21 title. No fancy advertising; people are still buying it.

22 They announced three ethical principles -- sounds
23 familiar, doesn't it? -- respect for persons, basically
24 autonomy; beneficence and the corollary principle of non-
25 maleficence is there; and justice.

1 Well, scientific success changed this need to
2 protect people from research. There was the availability now
3 of effective therapeutic agents that bolstered a
4 considerable amount of trust in scientific enterprise.
5 Protectionism began to seem inappropriate, especially
6 through the '80's with the advent of the AIDS epidemic where
7 folks with AIDS lobbied hard and basically came to
8 scientific meetings. I remember presenting at a 1990
9 international AIDS conference and people were protesting,
10 not my talk, the ethics talk, but plenty of people's talks.
11 Scientists didn't get it. Why are you protesting? We are on
12 your side.

13 The argument went the other way. We want access.
14 We are dying; we have nothing to help us. Let us have it. We
15 don't care about Phase this or that, we care about access.
16 Martin Delaney of ACTUP says that clinical research is
17 treatment too.

18 This then fueled a series of debates. Cancer
19 activism followed and now just about folks with every
20 disease that is devastating have felt the rally cry, and a
21 pendulum of justice -- this is still a question of justice;
22 it is about the ethical principle of justice but it is no
23 longer protecting vulnerable populations, the pendulum now
24 is towards access.

25 Well, we now need to understand one piece. It is