

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PSYCHOPHARMACOLOGICAL DRUGS
ADVISORY COMMITTEE

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service; the Food and Drug Administration makes no representation as to its accuracy.

Thursday, March 9, 2000

9:00 a.m.

Holiday Inn
2 Montgomery Avenue
Gaithersburg, Maryland

P A R T I C I P A N T S

Carol Tamminga, M.D., Chair
Sandra Titus, Ph.D., Executive Secretary

Members:

Roberto A. Dominguez, M.D.
Andrew Winokur, M.D., Ph.D.
Robert M. Hamer, Ph.D.

Consultants:

Eric Caine, M.D.
Jiska Cohen-Mansfeld, Ph.D.
Jeff Cummings, M.D.
Michael Grundman, M.D.
Dilip Jeste, M.D.
Barry Lebowitz, Ph.D.
Barry Reisberg, M.D.
Lon Schneider, M.D.
Pierre Tariot, M.D.
Peter Whitehouse, M.D., Ph.D.

Guardia E. Banister, Ph.D., Consumer
Representative

C O N T E N T S

Call to Order, Carol Tamminga, M.D.	5
Conflict of Interest Statement, Sandra Titus, Ph.D.	6
Welcome, Russell Katz, M.D.	8
FDA Overview of Issues, Thomas Laughren, M.D.	9
Presentations by Consultants:	
Psychosis of Alzheimer's Disease: A Distinct Syndrome Dilip V. Jeste, M.D.	20
The Concept of Secondary Mania in Dementia Pierre Tariot, M.D.	30
The Conceptualization of Behavioral and Psychological Symptoms Associated with Dementia: Issues Related to the Development of Pharmacologic Interventions Jiska Cohen-Mansfeld, Ph.D.	38
Classifying the Manifestations of Alzheimer's Disease in DSM-IV-TR Eric D. Caine, M.D.	50
Criteria for Psychiatric Symptoms in Alzheimer's Disease Clinical Trials Jeffrey Cummings, M.D.	64
BPSD and the Psychosis of Alzheimer's Disease Treatment Possibilities Barry Reisberg, M.D.	74
Open Public Hearing:	
Christopher Colenda, M.D., American Psychiatric Association	88
Jacobo Mintzer, M.D., American Psychiatric Association, Council on Aging	92
Constantine Lyketsos, M.D., Johns Hopkins Medical Institution	97
D.P. Devanand, M.D., American Association of Geriatric Psychiatry	109
Rick Martinez, M.D., Janssen Pharmaceutica	119

C O N T E N T S

Sanford Finkel, M.D., International Psychogeriatric Association	126
Alan Breier, M.D., Eli Lilly	132
Judith Saxton, Ph.D., University of Pittsburgh Medical Center	147
Mary Sano, Ph.D., Columbia University	153
Committee Discussion	166

P R O C E E D I N G S**Call to Order**

1
2
3 DR. TAMMINGA: We will start this meeting now, the
4 Psychopharmacology Drug Advisory Committee. The topic for
5 today is the regulatory issues in the development of drug
6 treatments for psychiatric and behavioral disturbances
7 associated with dementia.

8 My name is Carol Tamminga, and I am from the
9 University of Maryland and the chair of this committee.
10 What I would like to do is ask people to just to go around
11 the table and identify themselves, and, Dilip, I think we
12 will start with you.

13 DR. JESTE: I am Dilip Jeste, from University of
14 California San Diego.

15 DR. TARIOT: Pierre Tariot, University of
16 Rochester, in New York.

17 DR. SCHNEIDER: Lon Schneider, University of
18 Souther California.

19 DR. COHEN-MANSFELD: Jiska Cohen-Mansfeld,
20 Research Institute of the Hebrew Home of Greater Washington
21 and George Washington University.

22 DR. CAINE: Eric Caine, University of Rochester in
23 Rochester, New York.

24 DR. LEBOWITZ: Barry Lebowitz, National Institute
25 of Mental Health, Bethesda.

1 DR. REISBERG: Barry Reisberg, New York University
2 School of Medicine.

3 DR. WHITEHOUSE: Peter Whitehouse, Case Western
4 Reserve University.

5 DR. TITUS: Sandy Titus, FDA. I am the Executive
6 Secretary of the committee.

7 DR. GRUNDMAN: Michael Grundman, University of
8 California, San Diego.

9 DR. CUMMINGS: Jeff Cummings, UCLA School of
10 Medicine, Los Angeles.

11 DR. DOMINGUEZ: Roberto Dominguez, University of
12 Miami School of Medicine.

13 DR. HAMER: I am Robert Hamer. I am from Robert
14 Wood Johnson Medical School.

15 DR. BANISTER: Guardia Banister, Providence
16 Hospital, Washington, DC.

17 DR. WINOKUR: Andy Winokur, University of
18 Connecticut Health Center.

19 DR. LAUGHREN: Tom Laughren, Team Leader for
20 Psychopharm. at FDA.

21 DR. KATZ: Russ Katz, Division Director in
22 Neuropharm. Drugs, FDA.

23 **Conflict of Interest Statement**

24 DR. TITUS: I am going to read the conflict of
25 interest statement into the record for this meeting. The

1 following announcement addresses the issue of conflict of
2 interest with regard to this meeting, and is made a part of
3 the record to preclude even the appearance of such at this
4 meeting.

5 Based on the submitted agenda for the meeting and
6 all financial interests reported by the committee
7 participants, it has been determined that all interests in
8 firms regulated by the Center for Drug Evaluation and
9 Research present no potential for an appearance of a
10 conflict of interest at this meeting, with the following
11 exceptions: Since the issues to be discussed by the
12 committee at this meeting will not have a unique impact on
13 any particular firm or product but, rather, may have
14 widespread implications with respect to an entire class of
15 products, in accordance with 18 USC 208(b), each participant
16 has been granted a waiver which permits them to participate
17 in today's discussions.

18 A copy of the waiver statements may be obtained by
19 submitting a written request to the agency's Freedom of
20 Information Office which is located in Room 12A-30 of the
21 Parklawn Building.

22 In the event that the discussions involve any
23 other products or firms not already on the agenda for which
24 an FDA participant has a financial interest, the
25 participants are aware of the need to exclude themselves

1 from such involvement and their exclusion will be noted for
2 the record. With respect to all other participants, we ask
3 in the interest of fairness that they address any current or
4 previous financial involvement with any firm whose product
5 they may wish to comment upon.

6 DR. TAMMINGA: The topic of today is a very
7 interesting and rich topic, and an opportunity for the FDA
8 to get input and perspective from people in the field in
9 order to form their decisions. I think the field has
10 responded in a very rich way, and we will have a very
11 interesting day. Next, I think we will hear from Dr. Katz.

12 **Welcome**

13 DR. KATZ: Thanks. I really just wanted to say
14 welcome back to the committee, and a particular welcome and
15 thanks to our invited consultants and experts who have
16 willingly given their time to come and share their views on
17 this topic.

18 We recognize that we are putting to you, the
19 committee members, a particularly difficult task. Unlike
20 the typical task where we bring to you a particular
21 application and ask for your views, this is a much larger
22 task, and we recognize that an attempt to bring some order
23 and possibly some consensus in an area where there is not
24 unanimity and where we are breaking new ground is always
25 difficult. So, I wanted to thank you for your thoughts. We

1 will be listening very carefully, and I want to welcome you
2 again. I particularly want to thank Tom Laughren who has
3 done a tremendous amount of work in making this meeting a
4 reality. So, welcome. Thanks very much. And, I think I
5 will turn it over to Tom at this point.

6 **FDA Overview of Issues**

7 DR. LAUGHREN: Good morning, and I would also like
8 to welcome everyone and thank you all for coming.

9 [Slide]

10 The topic today is discussion of regulatory issues
11 in the development of drug treatments for various
12 psychiatric and behavioral disturbances in dementia. In
13 particular, what we would like to focus on is the problem of
14 how to identify and define those specific clinical entities
15 under this broad category for drug development. This is a
16 very important topic and we hope to have a full discussion
17 of all aspects of it today.

18 At the end of the day, it would be nice to be able
19 to reach consensus on some issues. That may not be possible
20 on all. At the very least, we would like to be able to
21 identify those issues and areas that need further work.

22 [Slide]

23 I think there has been some concern that FDA has
24 not paid enough attention to this aspect of dementia, and I
25 want to just clarify in this slide that we do think this is

1 very important. Obviously, there is an increasing
2 prevalence of various dementias, in particular Alzheimer's
3 disease. The clinical spectrum includes not only the
4 cognitive impairment but also various psychiatric and
5 behavioral disturbances, and we recognize fully that both
6 aspects are important and represent a burden for patients,
7 families and the community.

8 In terms of drug development, I think the primary
9 emphasis in the past has been on treatments for the
10 cognitive impairment. Obviously, there is also a need to
11 look at treatments for the psychiatric and behavioral
12 disturbances. This is an important target.

13 [Slide]

14 We think that an important obstacle in drug
15 development programs for the psychiatric and behavioral
16 disturbances has been this difficulty in identifying,
17 defining and naming the different clinical entities that
18 fall under this rather broad umbrella.

19 [Slide]

20 Now, how does this translate into a regulatory
21 problem? In order for FDA to approve an NDA there are a
22 number of requirements, but among the clinical requirements
23 is a need to show efficacy for some indication, to show
24 safety, reasonable safety for that same indication, and to
25 have acceptable labeling.

1 [Slide]

2 Now I am going to give you some language that
3 comes right out of the Food, Drug and Cosmetic Act under
4 which we operate and that lays out the labeling
5 requirements. An NDA must have labeling proposed to be used
6 for such a drug, and that would include language describing
7 the indication. The Secretary may refuse to approve an
8 application if, based on a fair evaluation of all material
9 facts, such labeling is false or misleading in any
10 particular. In this context, we would argue that a poorly
11 defined indication is potentially misleading since, in that
12 situation, it would not be possible to inform prescribers
13 about how to use the drug if we can't define what the
14 indication is.

15 [Slide]

16 Traditionally what kinds of clinical entities are
17 considered for indications? There are basically two. Most
18 drugs are approved for either specific diseases or
19 syndromes. Examples of that would be an entity like
20 congestive heart failure or something like rheumatoid
21 arthritis. That is the usual approach to getting an
22 indication.

23 An alternative approach is, rather than focus on a
24 specific disease, to focus on some non-specific sign or
25 symptom, in other words, something that is not unique to a

1 specific disease, something that cuts across diseases.
2 Examples of that would be something like pain or fever.

3 [Slide]

4 In either case, whether you are looking at a
5 disease, syndrome or a non-specific sign or symptom, the
6 next question is what is required for a particular clinical
7 entity to be considered an acceptable indication? We think
8 there are at least these three things: In the first place,
9 it has to be an entity that is reasonably accepted in the
10 clinical and academic community that is involved. Secondly,
11 it should be operationally definable. Third, it should
12 identify a reasonably homogeneous patient group. Again,
13 this all relates back to the issue of labeling. We have to
14 be able to describe the indication in labeling.

15 [Slide]

16 In the next few slides what I am going to try and
17 do is to clarify what I think is a misunderstanding about
18 psychotropic labeling. This is a bit of an aside, but I
19 think it is important for this discussion. I think it is
20 directly relevant to what we will be talking about later.

21 The misunderstanding is that psychotropic claims
22 appear to be broader than they are in fact. This has to do
23 with somewhat dated language that gets carried forward by
24 precedent, and the reason it gets carried forward is that it
25 is very difficult to change this language because of the

1 effect it has on other drugs in the class. And, this is
2 something that we are working on trying to fix, but it is
3 hard to change these kinds of precedents but it does lead to
4 a misunderstanding.

5 [Slide]

6 Some examples of that are the claims for
7 depression, for psychosis and anxiety. The standard
8 antidepressant claim is that drug X is indicated for the
9 management of depression. For anxiety -- and this is old
10 language now, drug X is indicated for the management of
11 anxiety disorders or the short-term relief of the symptoms
12 of anxiety. Finally, for psychosis, drug X is indicated for
13 the management of the manifestations of psychotic disorders.

14 [Slide]

15 For those three broad categories, the actual claim
16 is the disorder that was studied in getting that claim.
17 That is specified after that general claim is given in
18 labeling. In fact, promotion in those areas is limited to
19 that specific entity, not the broad category and the actual
20 claims, in fact, in those three areas are for depression,
21 major depressive disorder, because for all currently
22 approved anti-depressants that is the entity that was, in
23 fact, studied. The older drugs that have that general anti-
24 anxiety claim, by and large, what we consider to have been
25 studied is the entity generalized by anxiety disorder. So

1 that, in fact, is the claim. For psychosis, in all cases
2 thus far, what has been studied is schizophrenia. So, in
3 fact, schizophrenia is the actual claim.

4 [Slide]

5 So, what is the correct interpretation of the
6 broad categories that are used in psychotropic labeling? I
7 would argue that it should be the same interpretation as is
8 applied to other broad categories of drugs, like
9 antiepiletics, antibiotics, antirheumatics, antineoplastics.
10 In all cases, drugs that are approved in those cases are not
11 approved for every subtype within that category; they are
12 approved for specific diseases under that broad umbrella.

13 [Slide]

14 In one way I think we have successfully
15 transitioned into this current way of thinking, and that is
16 the area of anxiety disorders. We now have drugs that are
17 specifically approved for obsessive-compulsive disorder,
18 panic disorder, social anxiety disorder, post-traumatic
19 stress disorder and generalized anxiety disorder. These are
20 all categories in DSM that fall under the general category
21 disorders. We now have drugs that are specifically approved
22 for those specific diseases. We are no longer approving
23 drugs using that old language for anxiolytics.

24 [Slide]

25 In general, our intention from this point forward

1 is to approve drugs for specific indications, in other
2 words, the subtype not the broad category. We will no
3 longer be using the previous language that I gave earlier
4 for anxiolytics, antidepressants and antipsychotics.

5 [Slide]

6 Earlier on I laid out the two possible ways of
7 getting an indication, either for a specific disease or
8 syndrome or a non-specific symptom or sign. The question
9 is, is there a basis for targeting non-specific psychiatric
10 signs and systems as an indication? What I am laying out
11 here is what I think are the ideal criteria that one would
12 have met in order to support that kind of a non-specific
13 claim.

14 Ideally, that entity would have a universal
15 definition wherever it happens to appear, in other words,
16 with whatever specific disease symptom it is associated it
17 would be universally defined. There would be commonly
18 accepted approaches to assessment and measurement. Again
19 ideally, you would have some understanding of the
20 pathophysiology of that symptom. You would hope that it
21 would be equally responsive to treatments regardless of the
22 disease with which it is associated. And, you would hope
23 that you would be able to establish that claim in several
24 disease models.

25 [Slide]

1 Now I want to turn back to the topic for today,
2 which is psychiatric and behavioral disturbances with
3 dementia. This is a list of some of the kinds of specific
4 signs and symptoms that are seen in this population:
5 delusions, hallucinations, paranoia, depression, mania,
6 anxiety, anger, aggression, labile mood, sleep disorders,
7 eating disorders and a variety of other behaviors --
8 wandering, pacing and so forth. This is not a comprehensive
9 list, but it gives the flavor that this is a broad array of
10 findings that occur in this population.

11 [Slide]

12 Up until now what are the approaches that have
13 been proposed for looking at this? Now what I am talking
14 about is what we, at the agency, have been confronted with
15 in our discussions with industry. Again, this is not a
16 complete list but these are the two major approaches that we
17 have seen in recent years. Probably three to four years
18 ago, when we began having conversations with companies about
19 this problem, there was a focus on the broad category.
20 First it was behavioral disturbances; more recently it has
21 shifted to this BPSD, the comprehensive term to identify a
22 patient having dementia and having any of this wide variety
23 of psychiatric signs and symptoms. In the last couple of
24 years the focus has shifted to specific entities. In
25 particular, companies developing antipsychotic drugs have

1 focused on something called psychosis associated with
2 Alzheimer's disease.

3 [Slide]

4 First I want to talk about the concept of BPSD,
5 and first of all make the point that we do think this is a
6 useful concept in the sense that it focuses attention on
7 this important aspect of dementia. Secondly, it identifies
8 dementia as a population with possibly unique psychiatric
9 disturbances, most of which remain to be defined. So, we
10 think it is a useful concept. However, we are not so
11 convinced that it is a useful indication. The reason that
12 we don't think it is a useful target is that it, again, is
13 too broad. It refers to multiple clinical entities. Again
14 getting back to my earlier point, this could lead to
15 labeling which is potentially misleading because it is
16 unclear which of those many entities is responsive to
17 treatment.

18 [Slide]

19 The other approach for looking at psychosis in
20 dementia, from our standpoint, makes more sense. In a
21 sense, it is attempting to borrow somewhat from the claim in
22 schizophrenia, however, there is still the same regulatory
23 concern. The concern is that you have to adequately define
24 that population. You have to say what you mean by psychosis
25 in dementia and get some agreement on that, otherwise the

1 claim is still potentially misleading if you can't tell
2 prescribers what you mean.

3 [Slide]

4 The two approaches -- again, this gets back to the
5 earlier standard approach for indications -- the two
6 approaches we think are reasonable are, first of all, to try
7 and define whatever unique psychiatric behavioral syndromes
8 might exist in this population, and a unique psychosis would
9 be one example of that. The second possibility is, again,
10 to try and discover whether or not there is some non-
11 specific sign or symptoms that might exist in this
12 population and might be teased out as an indication. I am
13 just proposing agitation as one possibility. I am not
14 promoting that as a possibility, but it seems to me that it
15 is one thing that might be discussed as a non-specific
16 symptom.

17 [Slide]

18 The focus of this meeting, again, is on trying to
19 discover what entities to focus on in drug development
20 programs. But I did want to have at least one slide raising
21 the concern about safety from a regulatory standpoint but we
22 have not looked at a lot of data. I realize a lot of
23 studies have been done. Our focus has been fairly limited
24 but I can say that from what we have seen, it seems clear to
25 us that the tolerability profile of these drugs in this

1 fairly elderly and frail population is not as good as in
2 younger populations, and not even as good in older
3 populations with other illnesses. So, that is a concern.
4 In our mind, it raises the concern of having some kind of a
5 uniform policy for evaluating risk as we move into a lot
6 more studies in this population and deciding exactly what it
7 is that you want to look at in terms of risk in this
8 population. I hope there will be some time for discussion
9 of this issue later on.

10 [Slide]

11 Finally, this is the agenda for today's meeting.
12 My comments will be followed by comments from our invited
13 guest speakers. We will then have a very extended open
14 public session. Most of the afternoon will be taken up with
15 discussion and, hopefully, at the end of the day there will
16 be time for some summary comments. Thank you.

17 [Applause]

18 DR. TAMMINGA: Thank you, Dr. Laughren for a lucid
19 presentation of the FDA position and certainly clarification
20 of the questions that the committee and the committee guests
21 need to address today. This is a particularly exciting
22 topic, a topic that is certainly important to dementia but
23 even important more broadly to other psychiatric syndromes
24 in psychotropic development.

25 The committee is fortunate today to have a group

1 of guests that are experts in the area. Each of these
2 experts will present a particular position. We have a
3 number of experts so I am going to ask that each of the
4 people making presentations will take care to stay within
5 their ten-minute time period. Because these presentations
6 may stimulate some questions from the committee, we will
7 have a short period of time directly after each presentation
8 to address questions to the particular person but then,
9 remember, for any more general questions or integrative kind
10 of comments we certainly have the afternoon to pull all that
11 together.

12 So, I think we will start now with Dr. Dilip Jeste
13 from the University of San Diego. Dr. Jeste will talk about
14 the psychosis of Alzheimer's disease: a distinct syndrome.
15 All the speakers will notice that there is a timing box
16 right on the podium, and the audience can see the timing box
17 as much as you can.

18 **Presentations by Consultants**

19 **Psychosis of Alzheimer's Disease: A Distinct Syndrome**

20 DR. JESTE: Thank you, Carol, and good morning.

21 [Slide]

22 First of all, I want to thank the FDA for giving
23 me the opportunity to participate in this very important
24 meeting. I am going to address the question whether
25 psychosis of Alzheimer's disease is a distinct syndrome.

1 [Slide]

2 This question can really be subdivided into three
3 sub-questions: One, is there public health importance to this
4 entity?

5 Two, is this really a distinct entity? Now, how do
6 we know it is a distinct entity? We need to show that
7 patients who have Alzheimer's disease and psychosis are
8 different from Alzheimer's patients without psychosis, and
9 also they are different from non-Alzheimer's patients with
10 psychosis. The best prototypical psychosis for this purpose
11 is schizophrenia.

12 Lastly, if it is a distinct entity we need to have
13 diagnostic criteria, and we need to see if there is some
14 neurobiological basis and whether there are measurement
15 tools that can assist in a reliable fashion. So, I will go
16 through these three one by one.

17 [Slide]

18 The first aspect is public health importance. In
19 this slide I will show the incidence of psychosis of
20 Alzheimer's disease. Secondly, studies by the Columbia and
21 Pittsburgh groups have shown that the psychosis of
22 Alzheimer's is not a very short-lasting or transient
23 syndrome, but it is chronic or recurrent. Thirdly, there is
24 excellent evidence showing that psychosis of Alzheimer's
25 produces functional disruption and requires ongoing

1 treatment. I will come back to that a little later.

2 [Slide]

3 There have been studies on prevalence of
4 Alzheimer's psychosis. This is a study that we just
5 completed that looked at the cumulative incidence of
6 psychosis in patients with Alzheimer's. These are the
7 studies done at UCSD Alzheimer's Disease Research Center.
8 It is in press in Neurology.

9 We found that patients who presented at the
10 Alzheimer's Disease Research Center for the first time had
11 an average Mini-Mental score between 20 and 21. We followed
12 these patients longitudinally and we found that 20 percent
13 developed psychosis within one year; 36 percent within two
14 years; 50 percent within three years; and after that the
15 incidence seemed to plateau. So, it looks like the
16 cumulative incidence of psychosis of Alzheimer's over a
17 three-year period is about 50 percent.

18 [Slide]

19 It is estimated that there are about four million
20 people with Alzheimer's disease in the U.S.A. today, and if
21 that figure of 50 percent were accurate, one would assume
22 that there would be about two million people with
23 Alzheimer's plus psychosis -- two million people with
24 Alzheimer's or psychosis at some point in the course of
25 their illness.

1 Going to the question of whether psychosis of
2 Alzheimer's is a unique syndrome, do Alzheimer's patients
3 with psychosis differ from Alzheimer's patients without
4 psychosis? May studies done by people in this room have
5 shown that Alzheimer's psychosis patients have significantly
6 more agitation and aggression. A number of studies have
7 shown there is more rapid cognitive decline in this group.
8 There is greater caregiver distress which leads to earlier
9 institutionalization. That is why there is greater cost of
10 care. And, last but not least, there are different
11 treatment considerations. I will talk about that shortly.

12 [Slide]

13 The second part of the question about this being a
14 distinct syndrome is whether psychosis of Alzheimer's is
15 different from psychoses that occur in patients without
16 dementia. The best prototypical example for primary
17 psychiatric disorder is schizophrenia. There are a number
18 of differences between psychosis of Alzheimer's and
19 schizophrenia in the elderly population. The prevalence in
20 most of the reported studies of psychosis of Alzheimer's is
21 30-50 percent, whereas the prevalence of schizophrenia in
22 the elderly is less than one percent. Bizarre delusions
23 that have no realistic basis or complex systematized
24 delusions are common in schizophrenia, very rare in
25 Alzheimer's patients. The typical delusions in Alzheimer's

1 patients are simple delusions such as somebody stealing
2 things; hiding things; the caregiver is an impostor, and so
3 on. Usually hallucinations are much more common in
4 Alzheimer's patients with psychosis, whereas schizophrenic
5 patients tend to have auditory hallucinations.

6 [Slide]

7 Symptoms such as voices talking or voices
8 commenting on the patient's actions are frequent in
9 schizophrenia, very rare in Alzheimer's patients.
10 Alzheimer's psychosis patients may wish that they were dead,
11 but active suicidality is extremely rare. In contrast, 50
12 percent of patients with schizophrenia attempt suicide and
13 10 percent die from suicide. Past history of psychosis is
14 quite rare in Alzheimer's patients, whereas most of the
15 elderly schizophrenic patients have had many episodes of
16 psychosis in the past.

17 [Slide]

18 Schizophrenia in most cases is a life-long
19 illness, whereas psychosis of Alzheimer's tends to remit as
20 the senility of dementia increases. It is not clear whether
21 it is a true remission. It is possible that some neuronal
22 entity is necessary to have delusions/hallucinations and
23 when it is no longer there the patients cannot have
24 psychosis. It is also possible that the remission is not
25 true remission but, as patients become more severely

1 demented and aphasic, they will not be able to articulate
2 their delusions and hallucinations. Nonetheless, the point
3 remains that whereas patients with schizophrenia need
4 treatment for years and years, and sometimes for their
5 entire life, Alzheimer's patients with psychosis need
6 treatment for a much more defined time period.

7 Also, the average dose of neuroleptics required
8 for Alzheimer's psychosis patients is significantly lower
9 than that in patients with schizophrenia. In addition, even
10 when we use lower dosages there are different treatment
11 considerations. We have found that the cumulative incidence
12 of tardive dyskinesia in Alzheimer's psychosis patients who
13 are starting treatment with conventional neuroleptics is 25-
14 30 percent, whereas in a schizophrenic patient who is in the
15 very early stages of treatment -- these are mostly young
16 schizophrenics, the incidence of tardive dyskinesia is about
17 5 percent per year. So, there is a high risk of tardive
18 dyskinesia with conventional neuroleptics even at very low
19 dosages.

20 [Slide]

21 Is this a distinct syndrome and can we define it?
22 Sandy Finkel and I published criteria for Alzheimer's
23 psychosis which are modeled after DSM-IV criteria for
24 schizophrenia. At the outset, I should say that these are
25 not perfect criteria. I don't think we have perfect

1 criteria for any psychiatric disorder. Even after a hundred
2 years we are still struggling with the right criteria for
3 schizophrenia. So, we will continue to make some
4 modifications in those criteria.

5 Nonetheless, one can say that psychosis of
6 Alzheimer's patients should have delusions or visual or
7 auditory hallucinations. Obviously, the primary diagnosis
8 has to be Alzheimer's. One should show, in terms of
9 chronology, that the onset of symptoms of dementia preceded
10 the onset of symptoms of psychosis. The duration should be
11 at least a month, although the symptoms may be intermittent.
12 The severity should be such that it should cause functional
13 disruption. Obviously, one has to exclude other causes of
14 psychosis, such as schizophrenia, delusional disorder and
15 other primary psychiatric disorders. Delirium is an
16 important exclusion although delirium and psychosis of
17 dementia can coexist for a period of time; and other causes
18 of psychosis such as different types of encephalopathies
19 also need to be excluded.

20 [Slide]

21 There are some important associations. These
22 include agitation, negative symptoms and depression, and
23 each of these has its own therapeutic concentrations.

24 [Slide]

25 Is there evidence for a neuropathological basis?

1 At the outset I should say that the evidence is not well
2 established, but again I want to say that even after a
3 hundred years of work on schizophrenia we still do not know
4 the exact neuropathology of schizophrenia. So, our
5 expectations in that regard have to be lower than for some
6 other aspects of the disease. However, there are a number
7 of studies looking at neurobehavioral, neuropsychological,
8 brain imaging, EEG and postmortem aspects that have
9 suggested that there is a frontal temporal involvement in
10 the psychosis of dementia. By frontal temporal I also
11 include prefrontal and hippocampal regions.

12 [Slide]

13 There is some suggestion, more indirect than
14 direct but some suggestion that dopamine, norepinephrine,
15 serotonin and acetyl choline are involved in psychosis of
16 dementia.

17 [Slide]

18 There are excellent measurement tools, however,
19 they are broad -- and Barry Reisberg will talk about
20 behavior and Jeff Cummings will talk about NPI -- some ideas
21 like BPRS to look at a number of items, however specific
22 items from those scales can be used for measuring psychosis
23 of Alzheimer's.

24 [Slide]

25 This is my last slide. I think there is good

1 enough evidence to suggest that psychosis of Alzheimer's is
2 a unique syndrome, accepted by much of the relevant
3 clinical-academic community. I thought it was quite
4 gratifying that the three major geriatric psychiatry
5 organizations have all endorsed these criteria. It is
6 operationally definable. It identifies that there is a
7 homogeneous patient group, and it has major public health
8 significance. Thank you.

9 [Applause]

10 DR. TAMMINGA: Thank you, Dr. Jeste. Are there
11 any specific questions by the committee or its guests for
12 Dr. Jeste? Dr. Caine?

13 DR. CAINE: Dilip, why did you pick the dementia
14 before psychosis criterion in your set? What was your
15 thinking behind that?

16 DR. JESTE: The thinking behind that was to
17 exclude patients with primary psychotic disorders who may
18 then go on to develop dementia. For example, patients with
19 schizophrenia in the later stages may have what looks like
20 dementia and be excluded. I see your point, which is that
21 sometimes Alzheimer's patients may present with psychotic
22 symptoms before they have cognitive impairment.

23 DR. CAINE: Sure.

24 DR. JESTE: That is possible, however, that is in
25 general quite uncommon. Psychosis is usually more likely to

1 occur not in the very early stages of dementia but more in
2 the middle or more severe stages. So, I agree with you that
3 this may exclude a few patients, however, I think from the
4 point --

5 DR. CAINE: So, as I understand, you are using it
6 as a safeguard convention as opposed to something based on
7 huge data sets.

8 DR. JESTE: I guess the only point in terms of
9 data sets is that most Alzheimer's psychosis patients have
10 dementia first and then psychosis.

11 DR. CAINE: That is because they were selected
12 with the dementia first at the beginning of the study.

13 DR. JESTE: I haven't seen, I must say, too many
14 reports of patients who first presented with psychosis.

15 DR. CAINE: I am just bringing it up because if
16 you look at the literature, it is a skewed literature
17 because people get into the Alzheimer trials, natural
18 history studies, etc., on the basis of not of having had a
19 psychosis with the emergence of a dementia but, rather,
20 having a dementia with the emergence of a psychosis. So, I
21 think it is really important, and we can come back to that
22 later but I think it is an important point.

23 DR. JESTE: Sure, it is an important point.

24 DR. TAMMINGA: This may be a good topic for some
25 general discussion in the afternoon. Are there any other

1 specific questions? If not, we will move on. Thank you very
2 much, Dr. Jeste.

3 DR. JESTE: Thank you.

4 DR. TAMMINGA: The next person to make a
5 contribution is Dr. Pierre Tariot from the Monroe Community
6 Hospital in Rochester, New York. The concept of secondary
7 mania in dementia. Dr. Tariot?

8 **The Concept of Secondary Mania in Dementia**

9 DR. TARIOT: Thank you, and I want to thank the
10 FDA for focusing so thoughtfully on a matter of great public
11 health significance, and I want to thank Dr. Laughren and
12 Dr. Tamminga for the invitation to speak.

13 If I understood the disclosure request properly, I
14 should indicate that I have received honoraria and
15 consultant fees from manufacturers of several mood
16 stabilizing agents and, in particular in my discussion today
17 that will be true of Novartis and Abbott Laboratories.

18 [Slide]

19 I am going to make a couple of comments about DSM,
20 the application of DSM criteria to patients with dementia,
21 especially but not exclusively in Alzheimer's disease; talk
22 about some phenomenology and treatment data and wrap up.

23 [Slide]

24 You will note that, in my manic preparation phase
25 for this talk, I prepared more slides for your handout than

1 I am going to present on the screen. DSM gives us clear-cut
2 guidelines for the definition of a manic episode, basically
3 a period of persistently abnormal mood associated with
4 several other features. Note that the mood can include
5 irritable mood. Note that some of the features include
6 sleep disturbance, talkativeness and psychomotor agitation -
7 - features that are very common in dementia.

8 [Slide]

9 Although mania is most frequently or classically
10 associated with bipolar disorder, we can see it in
11 association with other general medical conditions, and DSM
12 allows us to have ways of describing that.

13 [Slide]

14 In the spirit of what Dr. Jeste just talked about,
15 can we make a case that the secondary manias somehow
16 characterize a distinct population? The answer may be
17 partially yes. These folks with secondary mania tend to
18 have a later age of onset; are less likely to have a family
19 or personal psychiatric history; don't respond nearly as
20 well to lithium as young patients with bipolar disorder; and
21 very frequently have neurologic diseases. Probably up to
22 half of older people with secondary mania have some kind of
23 brain injury, particularly in key brain areas. However,
24 this connection that has been partially mapped out in
25 traumatic brain injury is not so clear in dementia.

1 [Slide]

2 This is really the point that I just made, so can
3 I go on to the next slide?

4 [Slide]

5 So, if you apply rigorous syndromal criteria to
6 patients with Alzheimer's disease what do you see? Dr.
7 Lyketsos is here, one of the investigators who has addressed
8 this question. Basically, what you see is a prevalence of
9 syndromal mania in patients with Alzheimer's disease that is
10 roughly what you see in the general population. The numbers
11 range from 2-5 percent. The 5 percent, however, is from a
12 skewed sample of psychiatric inpatients in a hospital.

13 This is the paper of Holm, et al. Holm also
14 generalized the discussion a little bit, I think, in a way
15 that is useful for me rhetorically by saying, well, 5
16 percent met DSM-IV criteria for a manic syndrome but nearly
17 20 percent had features of bipolar disorder. So, what I
18 want to talk about now is what those features might be.

19 [Slide]

20 As is often the case, they may be in the eyes of
21 the beholder or at least influenced by the scale that is
22 used, for instance, Dr. Reisberg's well-known BEHAVE-AD --
23 this is from his first publication; he has done many others.
24 If you look at some of the items that are frequently
25 endorsed in outpatients with Alzheimer's disease, you see

1 features that are reminiscent of the kinds of features you
2 can see in patients with mania.

3 [Slide]

4 I could go through lists of other scales and make
5 the similar point, but let me go on to data that Marshall
6 Folstein has allowed me to present. These are unpublished
7 data. He has carried the argument, I think, further than
8 anybody by applying a uniform behavior rating scale, the
9 Psychogeriatric Dependency Rating Scale, to three
10 populations, all older folks, with mania, Alzheimer's
11 disease and depression.

12 [Slide]

13 The essential finding is that when one looks at
14 behaviors that are frequently abnormal in patients with
15 mania, the profile in patients with mania is remarkably
16 similar in many cases to the patients with Alzheimer's
17 disease, whereas both appear to be different from the
18 patients with depression.

19 So, these are unpublished data, not peer-reviewed,
20 but they are probably the best case that the phenomenology
21 of Alzheimer's disease overlaps with the phenomenology of
22 syndromal mania.

23 [Slide]

24 So, by way of summary of that portion of the
25 literature, I think these are the specific features that one

1 sees in mania that one can see in dementia.

2 [Slide]

3 Of course, there are features that are lacking in
4 dementia. If Dr. Folstein were here, I think he would say
5 the common indifference to cognitive deficits might be
6 construed as grandiosity in some cases, but most of us I
7 think would accept that these are not found in patients with
8 dementia.

9 [Slide]

10 What about treatment? This slide makes the point
11 that therapies that are used to treat mania, namely
12 anticonvulsants, are actually used by clinicians in the
13 field. I won't go through the details here. It is just
14 that simple point. It doesn't prove anything about
15 specificity.

16 [Slide]

17 What about trials that are published? There are
18 controlled and uncontrolled studies with the anticonvulsant
19 carbamazepine in patients with agitation and, of course,
20 that is different from syndromal mania. In the aggregate, I
21 would argue that the data suggest but don't prove that
22 agitation can be relieved by carbamazepine, and the level of
23 evidence is probably not sufficient to dictate clinical
24 practice.

25 [Slide]

1 Valproate, divalproex sodium is approved for
2 treatment of acute mania associated with bipolar disorder in
3 the U.S., and its congeners have been looked at in agitated
4 patients with dementia in uncontrolled studies. We have
5 unpublished data from one controlled study indicating a good
6 effect on agitation. Abbott Laboratories has conducted, but
7 not presented, a very interesting study looking at patients
8 who were selected for having manic features. Those data
9 have not been presented publicly yet and I can't comment on
10 them.

11 [Slide]

12 I can say something about a meta-analysis of our
13 own anticonvulsant studies in these agitated patients, what
14 symptoms responded, and you see them here. Again, there is
15 a partial overlap with the features of a manic syndrome but
16 what is lurking in my comments is that the notion of
17 specificity here is suspect so far.

18 [Slide]

19 On the other hand, there are symptoms that we see
20 in these agitated patients that don't seem to respond very
21 well to anticonvulsants in our hands in placebo-controlled
22 studies, and perhaps notable on this list is irritability,
23 one of the key features of the definition of a manic
24 syndrome.

25 [Slide]

1 So, in an effort to wrap-up on time, here are two
2 last slides giving how I make sense of this literature and
3 this phenomenon. Is there a distinct idiopathology of mania
4 in Alzheimer's disease or in other dementias? I would have
5 to say, as far as I see the literature right now, the answer
6 is we don't know; it is not very compelling.

7 Are the clinical features of mania in dementia --
8 let's say Alzheimer's disease is the paradigm -- well
9 defined? I think it is fair to say yes for the rarely
10 occurring full-blown manic syndrome, but not so well for
11 this more heterogeneous group of manic features.

12 Do these features identify a homogeneous patient
13 group? Yes, for the rare full-blown manic syndrome but not
14 for the more diffuse features.

15 Are there appropriate instruments that the
16 academic community has agreed on to assess these clinical
17 features? Not particularly. I don't think this is an
18 insurmountable barrier but we are not there yet. For
19 instance, the application of well-known mania rating scales
20 has just been attempted in this population and the results
21 are still open for discussion.

22 Are antimanic drugs specifically effective for
23 these clinical features? The answer is not known yet. The
24 Abbott results will be interesting in this regard, however,
25 one could make the case that whether the answer is yes or no

1 that doesn't cinch the argument. Of course, safety is a
2 major consideration. Are these drugs proven to be safe?

3 [Slide]

4 So in final summary, I would say that a full-
5 fledged manic syndrome is rare in Alzheimer's disease and
6 other dementias but that these appealing manic features
7 certainly overlap with a manic syndrome and the features of
8 agitation that we see. But, at this point, we don't have
9 sufficient evidence to achieve a broad consensus that there
10 is syndromal significance of these manic features in
11 dementia. Thank you.

12 [Applause]

13 DR. TAMMINGA: Thank you, Dr. Tariot. Dr. Katz?

14 DR. KATZ: Yes, if I remember one of your earlier
15 slides, I think you said that the incidence of perhaps full-
16 blown mania in this population was about the background rate
17 in the general population. But then you said, I think it
18 was 17.6 percent, or something along those lines, had these
19 manic features.

20 DR. TARIOT: Right.

21 DR. KATZ: Does anybody have any information about
22 what the background rate in the general population would be
23 for these manic features?

24 DR. TARIOT: Dr. Lyketsos will address that later
25 on in his talk. If we use the Folstein data from his

1 Horizon sample as any indicator, that would be a much lower
2 level. Also, the Alzheimer's Disease Cooperative Study has
3 published results with behavior rating scales in older
4 normal subjects and they are far lower, almost an order of
5 magnitude lower in terms of the hit rates for these
6 behavioral items.

7 DR. TAMMINGA: Any other questions or comments for
8 Dr. Tariot?

9 [No response]

10 Thank you very much, Dr. Tariot.

11 DR. TARIOT: Thank you.

12 DR. TAMMINGA: Next we will hear from Dr. Cohen-
13 Mansfeld from George Washington University. Her
14 presentation will be on the conceptualization of behavioral
15 and psychological symptoms associated with dementia: issues
16 related to the development of pharmacologic interventions.
17 Dr. Cohen-Mansfeld?

18 **The Conceptualization of Behavioral and Psychological**
19 **Symptoms Associated with Dementia: Issues Related to the**
20 **Development of Pharmacologic Interventions**

21 DR. COHEN-MANSFELD: Thank you very much for
22 inviting me here.

23 [Slide]

24 I addressed myself more directly to the questions
25 in the original concept paper, and probably addressed too

1 many questions but I will try to skip through it.

2 [Slide]

3 In relation to the position paper of the FDA, I
4 tried to address whether BPSD is one entity; whether it is
5 part of the diagnosis of dementia; or whether there are
6 symptoms that cut across diagnoses, etc. We will quickly go
7 through these one by one.

8 [Slide]

9 Is it one entity? My answer is quickly no.
10 Different behaviors that were listed before, some of them
11 occur in some of the people who have Alzheimer's disease but
12 most of the time most of the people don't have most of these
13 syndromes, and even though there are some correlations I
14 believe those are too low to be considered one entity.

15 [Slide]

16 When we look at the relationship of these
17 different syndromes or behaviors in Alzheimer's disease
18 patients we find that they relate differently to the
19 different stages of dementia. Here I am citing four
20 independent studies that all have similar results.

21 [Slide]

22 This relates to three syndromes of agitation --
23 physically non-aggressive behaviors which can also be called
24 motor restlessness; physically aggressive behaviors and
25 verbal vocal agitation and their relationship to stages of

1 dementia. So, we see that motor restlessness increases
2 linearly with stages of dementia. Physically aggressive
3 behaviors occur at late stages; and verbal vocal behaviors
4 occur more in the middle to late stages and then decrease,
5 and that was found in a number of different studies.

6 [Slide]

7 Is BPSD part of the diagnosis of dementia? As I
8 said before, I believe most people don't have most of these
9 symptoms most of the time, so I would not call it an
10 essential part of the diagnosis even though many of those
11 symptoms obviously are in dementia.

12 [Slide]

13 Are these symptoms which cut across diagnoses?
14 This was partly addressed in the two previous presentations.

15 [Slide]

16 If we look at the agitated behaviors, as I
17 mentioned before, some of them occur some of the time in
18 normal people and there are quite a few diagnoses if you
19 look at DSM-IV where people have motor hyperactivity,
20 aggression, repetitive movements. However, are these the
21 same in terms of their underlying causes, either
22 behaviorally or neurologically? We really don't know.

23 [Slide]

24 We already heard about how delusions in
25 Alzheimer's disease are different. But I would like to say

1 an additional word about this. They are different in all
2 the features that we heard about before by Dr. Jeste but the
3 content of these delusions is different. If we notice what
4 are these delusions, many of them are "someone is stealing
5 from me." That is frequently related to memory impairment,
6 not being able to find the object. Another common one is
7 that their relative is an impostor. That may be related to
8 not being able to recognize your regular caregiver, the
9 hired help or even your relative and, again, may be related
10 to cognitive problems.

11 [Slide]

12 Hallucinations have been related to visual
13 impairments, and I will get to that later.

14 [Slide]

15 Sleep problems also appear in either DSM-IV
16 diagnoses and their relationship to sleep problems in
17 dementia would take a whole other presentation but, again,
18 we don't know.

19 [Slide]

20 As I said before, delusions are an interpretation
21 of the memory problem. As someone said, the experience of
22 having a severe memory problem can affect people more than
23 we give it credit and, therefore, delusions when you can't
24 find something and you attribute it to stealing may be more
25 similar to confabulation or to misinterpretation than to

1 delusions. Again, there are many studies that show
2 hallucinations to be related to visual sensory deprivation
3 and that has to be taken into account when we speak about
4 hallucination. Other types of hallucinations are when
5 people talk to loved ones who have been gone for a long
6 time. Is that wishful thinking? Is that a memory problem?
7 I am not sure but it is not exactly the hallucinations that
8 we are used to in schizophrenia.

9 [Slide]

10 Which syndromes have been reliably identified in
11 BPSD? Again, that is a longer presentation than I have time
12 for but I will show some.

13 [Slide]

14 These are a number of studies in different
15 populations, Dutch, Chinese, Japanese and United States, all
16 of which found the same syndromes of agitation, these three
17 syndromes I mentioned before -- aggressive behaviors, motor
18 restlessness and verbal behaviors.

19 [Slide]

20 So, I believe they are pretty reliable three
21 syndromes. There are also over 40 assessments that can
22 assess those syndromes of agitation. In terms of psychotic
23 symptoms, again, we have consistent results, however, I
24 believe those consistent results are based on our
25 definition. If we call a person who says people are

1 stealing from them delusions, we have consistent results and
2 we have assessment instruments that give those reliable
3 results but what this means is a different issue.

4 In terms of depression, in our findings we found
5 that the affect is unrelated, not correlated necessarily to
6 appetite or sleep problems and I believe those have to be
7 looked at separately.

8 [Slide]

9 Should drugs be developed for BPSD? I said we
10 have some reliable syndromes that we can assess pretty well
11 but what does that mean? What I would like to say is that
12 some behaviors do not have to be treated at all. When a
13 person is talking to their loved one, even though that loved
14 has died years ago, and that is some kind of a
15 hallucination, who cares? Some behaviors seem to be related
16 to loneliness, physical pain, boredom and other causes and,
17 indeed, these etiologies have been shown in different
18 treatment studies to respond to non-pharmacological
19 therapies. These are examples of four studies that have a
20 reasonable success rate in reducing different agitated
21 behaviors.

22 [Slide]

23 I just want to go into some more detail as to what
24 these results may mean and how we might go about this. This
25 is an going study. It is a small N but it is just to show

1 the thinking about it. In this ongoing non-pharmacologic
2 study we started with 12 residents. We identified needs of
3 the kind that I mentioned before in 9 of them. We had full
4 success so that anybody can clearly see that these people
5 are doing better. With 5 we had partial success. Then we
6 had failures. We had 3 failures. One had terrible tardive
7 dyskinesia and akinesia that interfered with everything they
8 did. One had severe infection so that we couldn't do any
9 treatment. The third is where I think possibly a
10 pharmacologic study can be used. It is important to know
11 most of these are the people with severe dementia who are
12 usually excluded from such studies.

13 [Slide]

14 There are two issues that I just want to quickly
15 mention that need to be addressed. One is sample
16 representativeness. In one pharmacologic study that we were
17 involved in, we pre-screened 400 people and got 4 in. We
18 lose most of the important population. Most people who I
19 think are really the aggressive and the more demented are
20 lost in the real trials. Just as important is looking at
21 the impact on non-target symptoms. Even if we can decrease
22 the negative behaviors, we might decrease all functioning
23 and that has to be looked at. Thank you.

24 [Applause]

25 DR. TAMMINGA: Thank you, Dr. Cohen-Mansfeld. Are

1 there any questions?

2 DR. WHITEHOUSE: Jiska, your scale, the Cohen-
3 Mansfeld Agitation Inventory, has been used in a variety of
4 studies and it is fair to say we have some consistency of
5 use and some consistency of understanding agitation in
6 dementia. But, I guess, in terms of this being a non-
7 specific symptom that we might look for treating in other
8 conditions, and you listed them on your slide -- pediatric
9 and adult conditions, has your scale been used in other
10 conditions, or are there other scales to assess agitation in
11 some of these other conditions that are accepted in those
12 fields? Does the structure of agitation look similar in
13 these other conditions? I mean, how much do we know about
14 this non-specific syndrome in other areas besides dementia?

15 DR. COHEN-MANSFELD: I don't know of any in
16 children besides my kid suggesting that it would be
17 appropriate for assessing him. I have no idea.

18 DR. TAMMINGA: Yes, Dr. Schneider, go ahead.

19 DR. SCHNEIDER: Jiska, on one of your early slides
20 it looked like it was with Brief Cognitive Rating Scale data
21 showing aggression increasing with severity of dementia.
22 For clarity, those were cross-sectional data or were those
23 longitudinal data?

24 DR. COHEN-MANSFELD: Well, three of these studies
25 were cross-sectional and, actually, two had also

1 longitudinal data in them. Those support it. Now, I don't
2 think these are necessarily the final word but the fact that
3 these different studies that were conducted by different
4 groups have similar results makes me believe that it is
5 probably correct phenomena. The aggression starts towards
6 the end of dementia and that is where the increase occurs.

7 DR. SCHNEIDER: Yes, I think my question is really
8 going to your definition of syndrome. A little bit later
9 you showed syndromes of agitation or psychosis based on
10 factor analysis, and my general questions are whether, when
11 you identify aggression or physical aggression, there is a
12 natural history to it in particular patients where it is
13 maintained over a period of time, or whether what is
14 described in the cross-sectional data is just an increase in
15 prevalence of, for instance, aggressive behaviors with
16 severity of illness.

17 DR. COHEN-MANSFELD: Well, the research is sort of
18 divided. That means there are a couple of studies that
19 suggest that people who develop aggression in dementia were
20 somewhat more likely to have had aggressive episodes or more
21 aggressive personality before. These are not very strong
22 data but they do exist. In addition, we think that the
23 prevalence of aggression increases in late stages of
24 dementia.

25 Again, what I really wanted to say is that we need

1 a more thoughtful process here because even in aggression a
2 lot of the issue has to do with our communication with the
3 person who has dementia, with misunderstanding -- let's say,
4 a lot of aggression occurs in ADLs. The person who has
5 dementia does not understand the person is coming to help
6 them; they are scared, and that is the process that is going
7 on. So, it is not always necessarily a drug study that is
8 needed but it has to do actually with the decreased
9 cognitive ability.

10 DR. TAMMINGA: A last question from Dr. Cummings.

11 DR. CUMMINGS: Jiska, you raised a very important
12 issue of the interplay between the cognitive deficit and
13 particularly the psychotic disorder, and you are willing to
14 attribute some of the delusions to the memory impairment.
15 Now, memory impairment is ubiquitous and often severe across
16 the population whereas psychosis occurs in 25 percent and
17 perhaps as many as 50 percent. We have done one study where
18 we could not find a difference in the severity of memory
19 impairment between psychotic and non-psychotic patients. I
20 am wondering what the evidence is linking memory impairment
21 and delusions.

22 DR. COHEN-MANSFELD: Well, I think Barry has some
23 data on this, and I have basically the same data from a
24 different study. I hope I am quoting you correctly, but I
25 think it is your stage 5 or so, which is very interesting

1 because those are the stages when you are really very
2 cognitively impaired so you might not understand what is
3 going on, or you might misinterpret it, but you can still
4 communicate that you think they are stealing. Later on, at
5 stage 7 of Barry's scale we don't know what a person with
6 dementia is thinking. So, actually the staging does fit
7 this but also we were just analyzing a study and there was a
8 delusion of an impostor and I asked, well, what is actually
9 going on with this person, and in this case it was in the
10 morning, when the nurse comes to dress the resident -- this
11 was in a nursing home, they don't recognize her; they think
12 that she is coming to harm them. Well, is that a delusion
13 or not? Even more than that, is it helpful to use the term
14 delusion in this context, or is the label misleading us in
15 terms of treatment? Those are things that I think we need
16 to think about.

17 DR. TAMMINGA: Dr. Hamer, one more comment?

18 DR. HAMER: I am going to play the role of the
19 pedantic statistician. In the transparency you showed that
20 had three plots on it, first of all, the vertical axis
21 wasn't labeled at all. I have no earthly idea what those
22 three plots mean. Second of all, the curves on them were
23 smooth. It would be an amazing coincidence if those were
24 actually data as opposed to some sort of idealized or fitted
25 curve. So, I am not sure just what I learned from them.

1 DR. COHEN-MANSFELD: Okay, these are fitted curves
2 and they fit data from the different studies, and I will be
3 glad to show you the studies and the detail. Because I only
4 had ten minutes I didn't have really time to get into much
5 of the data.

6 DR. HAMER: But you could have labeled the
7 vertical axis.

8 DR. COHEN-MANSFELD: The vertical axis was the
9 actual behavior, the physical aggressive behaviors, the
10 verbal behavior and the motor restlessness. The horizontal
11 axis was the stage of dementia.

12 DR. HAMER: And, in your discussion of factor
13 analyses you had one slide in which you presented factor
14 analyses and then the next slide talked about syndromes. I
15 just want to make sure that we understand that merely
16 because you can group items into factors doesn't necessarily
17 imply at all that you can group people based on those
18 factors into clusters. Simply the presence of factors does
19 not imply clusters of people with specific characteristics.

20 DR. TAMMINGA: Thank you for your comments, Dr.
21 Hamer. I think that will carry on with discussion more this
22 afternoon since there are a lot of additional things here to
23 address. Thank you, Dr. Cohen-Mansfeld. I appreciate your
24 contribution.

25 DR. COHEN-MANSFELD: Thank you.

1 DR. TAMMINGA: We will next hear from Dr. Eric
2 Caine from the University of Rochester. Dr. Caine will
3 discuss classifying the manifestations of Alzheimer's
4 disease in DSM-IV-TR.

5 **Classifying the Manifestations of Alzheimer's**
6 **Disease in DSM-IV-TR**

7 DR. CAINE: Thank you for the opportunity to speak
8 here today. I do want to mention that over the years I have
9 provided consultative services to a number of companies that
10 are representing themselves here today.

11 [Slide]

12 DSM-IV-TR, the first thing I will say is that TR
13 stands for text revision and that, indeed, the rules of this
14 game were that I wasn't allowed to change criteria, nor was
15 anyone else, albeit as you will see, I sort of stretched the
16 rules a bit without changing any criteria.

17 Whenever you do psychiatric classification -- and
18 I have been thinking about psychopathology in the context of
19 neurodegenerative diseases for about twenty-five years --
20 whenever you do this kind of classification you are sort of
21 in a middle position. How do you communicate effectively?
22 How do you understand what is in the field? How do you not
23 push the field too far but, at the same time, how do you try
24 to make the language and the common knowledge a bit clearer
25 and more useful?

1 So, part of what you are seeing is a transitional
2 process going back to DSM-I, II, III and trying to undo some
3 of what we might call the institutional inertia and,
4 remember, inertia is not only sitting still; sometimes it is
5 moving -- directionless perhaps but moving, and how to
6 change that direction such that it begins to communicate
7 what we know about disease processes and make clear what we
8 understand is the underpinning of those?

9 [Slide]

10 Now, if we think about a disease process, you
11 know, we talk about fundamental pathobiology; we can talk
12 about pathomolecular or biochemical processes. In the
13 language of people outside of psychiatry, the primary
14 manifestations of disease are not used as they are in the
15 DSM but, rather, as the fundamental histopathological kinds
16 of things that they looked at in the 1850s. Psychiatry may
17 one day catch up with what they were looking at in the 1850s
18 but, nevertheless, outside of my editorial comments you will
19 see that the secondary manifestations are what we call
20 symptoms and signs.

21 [Slide]

22 Indeed, we can look at something like Huntington's
23 disease which used to be called Huntington's chorea. Of
24 course, in 1872 George Huntington described the choreiform
25 movement disorder and then, lo and behold, some of us

1 started to look at it and say, gee, the neuropsychological
2 impairment is as prominent and disabling, if not more
3 disabling at times than the choreiform movement disorder.
4 The psychopathology may precede the choreiform movement
5 disorder, but for terms of convention before there was an
6 understanding of the molecular biology, and it is still an
7 incomplete understanding of the molecular biology but,
8 indeed, the convention said until the movement disorder
9 shows we will not diagnose Huntington's disease even though
10 you have a variety of psychiatric and cognitive impairments,
11 and even though you have a positive family history.

12 Indeed, now we have a molecular mechanism. It is
13 not fully understood yet. We understand that there are
14 nuclear inclusions in the neostriatum in the cortex of
15 protein-protein aggregations that probably lead to premature
16 cell death. We are actually, I think it is fair to say,
17 paying less attention to the clinical symptoms and more
18 attention to the pathobiologic mechanisms. We don't wait
19 for the motor signs anymore to say it is Huntington's
20 disease. What we say is, ah, here's a person who is at risk
21 on the basis of their genetic predispositions. Then we see
22 the disease manifest itself in a variety of ways, all of
23 which we might call co-equal manifestations, albeit the
24 psychopathology is much more variably present than the motor
25 pathology; that the neuropsychology is present usually

1 before the motor pathology; that the neuropsychology or
2 cognitive impairment has one kind of course and the motor
3 signs and symptoms another kind of course. They are
4 dynamic. They are changing over the course of the disease.

5 [Slide]

6 So, Alzheimer's disease -- well, we know that it
7 has multiple unknown molecular mechanisms. It does have a
8 characteristic primary presentation which we would call its
9 primary histopathology, and it has a variety of cognitive --
10 we call them dementia, but cognitive and psychiatric
11 symptoms and signs. So, as we started to think about how to
12 modernize the DSM, we certainly decided to change the way we
13 used to do it and to move ahead toward a more common
14 language, understanding that future psychiatric
15 classifications have to grapple with the notion that there
16 are going to be fundamental mechanisms, physical
17 manifestations, as well as psychiatric behavioral and
18 cognitive manifestations.

19 [Slide]

20 The past DSM classification had dementia of the
21 Alzheimer's type focused on the dementia as the cardinal
22 feature. It had early and late onset, and then it had
23 issues of subtypes. There were a number of problems with
24 this. It turned out, of course, that the subtypes didn't
25 include all the manifestations of Alzheimer's disease.

1 There were no rules of when to use one subtype versus
2 another. A subtype with delirium -- in fact, if you look at
3 the literature carefully, it is not clear that Alzheimer's
4 disease causes delirium at all. And, it wasn't clear when
5 you used the subtype versus when you used a secondary mental
6 disorder due to diagnosis, secondary meaning that it is a
7 disorder due to a specific etiology. So, in reworking the
8 DSM we basically said get rid of this. So, I stretched the
9 rules there a bit.

10 [Slide]

11 The transitional coding, and I say transitional,
12 in DSM-IV-TR is dementia due to Alzheimer's disease. Of
13 course, you can also have dementia due to Parkinson's
14 disease, dementia due to Huntington's disease, dementia due
15 to HIV, dementia due to severe head trauma. So, there are,
16 or will be, two subtypes.

17 Now, this coincides with the coding that will be
18 in ICD-9-CM which is the official classification used by the
19 U.S. government and all record departments and Medicare and
20 anyone else that really counts, like people who pay the
21 bills, and it will be without behavioral disturbance and
22 with behavioral disturbance, fundamentally recognizing that
23 there are people with dementia who become agitated, or
24 wandering, or other kinds of behavioral problems -- and I am
25 using the word behavior, not just emotional or psychiatric

1 but behavior as in manifest behaviors -- behavioral problems
2 that are going to be a lot more difficult to take care of
3 than people without those complications.

4 [Slide]

5 There will be a variety of mental disorders due to
6 Alzheimer's disease. Dilip Jeste, in his previous
7 discussion, actually captured many of the features that are
8 right there in the DSM chapter saying how you decide that
9 something is due to something else. Quite frankly, this
10 has been in the medical literature, and in the environmental
11 medicine literature, the toxicology literature, or other
12 literatures for 30 to 40 years and is really well described.
13 You know, if you want to go to Feinstein's book on clinical
14 epidemiology, these kinds of things are not new. They may
15 be new to psychiatry but they are not new to the rest of
16 medicine.

17 So, there are a number of things and Dilip
18 captured them quite well -- prevalence, phenomenology, the
19 company it keeps, treatment responsiveness, course,
20 characteristics and the like. So, the DSM will have already
21 present in DSM-IV, except cleaned up, mental disorders due
22 to psychotic disorder, mood disorder, anxiety, personality
23 and sleep disorders. Sleep includes, of course, the diurnal
24 rhythm disturbances.

25 [Slide]

1 Coming down the road towards ICD-10-CM -- CM
2 stands for clinical modification. It is the U.S. version of
3 the ICD-10 -- will be dementia due to Alzheimer's disease
4 using a "G" code which is for neurological or brain-based
5 degenerations, and not an "F" code which is mental
6 disorders. Of course, if we look out over the horizon we
7 know that a number of currently called psychiatric disorders
8 are going to end up in "G" codes. Of course, as
9 psychiatrists, neurologists and neuropsychiatrists we are
10 going to continue to treat their behavioral disturbance.
11 So, we are talking about dementia due to Alzheimer's disease
12 with early onset or late onset, with and without behavioral
13 disturbance. Behavioral disturbance can be
14 present when someone does not have a specific psychosis due
15 to Alzheimer's disease or mood disorder due to Alzheimer's
16 disease. It may also be present when someone does have
17 psychosis or mood, or the like. It is a non-specific
18 subtype, very clearly defined that way; very clearly
19 intended that way.

20 There is no data to suggest that the agitation in
21 Huntington's disease is different than the agitation in
22 Alzheimer's disease, or late stage Parkinson's disease or
23 other kinds of things, except that Parkinsonian patients
24 can't act on it as much when they are frozen.

25 So, indeed, what we are trying to do is move the

1 field ahead; improve the language and the communicative
2 abilities; make things clearer. Clearly, issues such as
3 time of onset for purposes of FDA type studies for something
4 like psychosis or depression due to Alzheimer's disease, by
5 convention we may say, oh, it has to be after the onset of
6 dementia in the same way that once upon a time by convention
7 the onset of chorea was a useful marker. But we understand
8 that that is a conventional use, not necessarily
9 scientifically based on any preordained emergence of signs
10 or symptoms.

11 So, this is where we are going. I would be glad
12 to answer questions now or this afternoon.

13 [Applause]

14 DR. TAMMINGA: Thank you, Dr. Caine. Dr.
15 Lebowitz?

16 DR. LEBOWITZ: I am trying to understand how you
17 made the transition from the slide on the expression of
18 disease, where you make the point that the primary
19 expression of disease is histopathologic and all clinical
20 signs and symptoms are secondary --

21 DR. CAINE: Right.

22 DR. LEBOWITZ: -- going from that to then talking
23 about dementia due to Alzheimer's disease as the condition
24 of interest, where then behavioral disturbance is either
25 accompanying or not accompanying the dementia due to

1 Alzheimer's disease. Would it not be consistent to have the
2 Alzheimer's classification be Alzheimer's disease with or
3 without dementia, with or without behavioral disturbance?

4 DR. CAINE: You are jumping ahead to DSM-V because
5 basically you have certain limitations in terms of -- how
6 shall I say? -- the politics in power of the National Center
7 for Health Statistics and what we can negotiate with them or
8 what we can't. Clearly, some day there will be Alzheimer's
9 disease, 331 or a "G" code in the future. Some of those
10 Alzheimer patients won't have any signs or symptoms that we
11 can detect as manifest. They will simply have this gene or
12 pathobiology or multiple genes. They may already be
13 expressing themselves at a neuronal level but not yet
14 manifest. We know that in order to manifest Parkinson's
15 disease you probably have to lose 80 percent of your neurons
16 before they manifest as a disease process. Clearly, if you
17 have the kinds of tests that are sensitive to that you may
18 treat that pre-symptomatically.

19 The issue, as we saw it, Barry, was that right now
20 there are people with dementia as in a clinical
21 presentation, some of whom have behavioral disturbances such
22 as agitation that grow out of that dementia process, not as
23 a separate entity, such as Dr. Cohen-Mansfeld was saying
24 when a person doesn't understand something and is, in fact,
25 suspicious transiently, irregularly, and they haven't risen

1 to the level of disorder. Remember, the other things are
2 disorders and disorders have to rise to a threshold of
3 symptomatic severity such that they can stand on their own
4 as a disorder. So, dementia is one disorder; mood disorder
5 when it arises to a level of severity; psychosis when it
6 arises to a level of severity -- these are all disorders due
7 to Alzheimer's disease.

8 DR. LEBOWITZ: Yes, but that is not where the
9 criteria is.

10 DR. CAINE: Actually, the criteria says that.
11 What we are saying at this point is that behavioral
12 disturbance can be a subtype of some people with dementia.
13 Down the road behavioral disturbance will be freed up. I
14 can't fee it up now.

15 DR. TAMMINGA: Dr. Caine, I have a question for
16 you about the term behavioral disturbance. While behavioral
17 disturbance may be a useful clinical concept and may be
18 useful for clinical diagnosis and categorization, it may not
19 be specific enough for labeling according to the criteria
20 and in the way of thinking that Dr. Laughren presented this
21 morning. I know we will discuss more about that this
22 afternoon.

23 DR. CAINE: I think that is true, and I think it
24 is very clear that behavioral disturbance is a generic,
25 broad thing for the DSM which could include wandering,

1 agitation, and those types of on-the-unit type of behavioral
2 disturbance that get people in trouble. That is quite
3 different from what we see as mood disorder, psychosis, or
4 sleep disorder which we see as much more explicit, discrete
5 and definable, as Dilip talked about it. Within the cluster
6 of behavioral disturbances I think certainly one could then
7 carve out something such as agitation, define it, shape it,
8 make it specific enough to be measured, and then study it.
9 But this is clearly a transitional issue, which I said at
10 the beginning. Barry would like me to transition faster but
11 I can't outrun the National Center for Health Statistics.

12 DR. SCHNEIDER: Eric, one quick question. I
13 probably don't understand ICD-10-CM, but it looks as though
14 in order to diagnose psychosis associated with Alzheimer's
15 disease first you would be diagnosing something like
16 dementia due to Alzheimer's disease with behavioral
17 disturbances and somewhere else you would have to specify
18 psychosis. Is that correct?

19 DR. CAINE: In the DSM-IV that has existed for
20 much of this decade --

21 DR. SCHNEIDER: Right.

22 DR. CAINE: -- we have always had the ability to
23 diagnose dementia and psychosis "due to." It hasn't been
24 used, and that has been part of the confusion. Clearly,
25 there is one axis, two diagnoses. So, all you are saying

1 is, look, someone has a dementia diagnosis as we are talking
2 about it -- dementia due to Alzheimer's disease. By
3 convention, we say clinically that Alzheimer's disease isn't
4 present unless dementia is there. We know that is a
5 convention. The second would be psychosis due to
6 Alzheimer's disease -- second axis, one diagnosis. I don't
7 know if I am being clear with you or not.

8 DR. SCHNEIDER: ICD-10-CM --

9 DR. CAINE: It will be the same way until such
10 time as we can get rid of certain things.

11 DR. SCHNEIDER: Could you have psychosis due to
12 Alzheimer's on the one hand, and dementia due to Alzheimer's
13 without behavioral disturbance on the other?

14 DR. CAINE: Yes.

15 DR. SCHNEIDER: It seems that your description of
16 behavioral disturbance, as you are representing it in ICD-
17 10-CM, is something other than psychosis or depression.

18 DR. CAINE: Clearly, this is both a technical and
19 a conceptual problem that both you and Barry are hitting on,
20 and I certainly don't disagree with it. What we tried to do
21 in the writing of DSM-IV in the late '80s and in the early
22 '90s was begin to capture those people who had behavioral
23 disturbances which were sucking up extra time and attention
24 and were clinically significant in treatment settings.
25 These could be things that don't fit into any neat psychosis

1 or other cluster. When someone is agitated it can be quite
2 non-specific. When someone is wandering it can be quite
3 non-specific.

4 The issue you are saying is can someone have
5 psychosis and not be behaviorally disturbed? That gets to
6 the point of can someone have quiet significant
7 hallucinations and delusions but be tractable clinically,
8 manageable clinically and not be the subject of treatment
9 for those things? And, the answer is sure. That is not a
10 big deal. It is just that right now we are moving through
11 the stage of language inadequacy to capture clinical
12 reality.

13 DR. TAMMINGA: Dr. Laughren has a question.

14 DR. LAUGHREN: Yes, I have a couple of questions.
15 Actually, I share the concerns that have been raised about
16 the non-specificity of the behavioral disturbance qualifier.
17 Again, from a regulatory standpoint that would be difficult
18 to grapple with.

19 I think it is very useful to come up with the
20 names for the different possible syndromes that you have
21 identified -- psychotic syndrome with dementia, affective,
22 and so forth. Is there a plan at some point to come up with
23 fairly distinct diagnostic criteria because again, from a
24 regulatory standpoint, that is what we rely on, I mean, the
25 same kinds of criteria you have for other syndromes like

1 schizophrenia and major depressive order, and so forth?

2 DR. CAINE: Sure. I think it is quite clear that
3 that is the next evolutionary step but, given that there was
4 a ban on criteria, then there are no criteria. It is very
5 clear that the kinds of things that Dilip laid out this
6 morning are just the sorts of things that one would look for
7 because they deal with the common parameters of how you
8 establish due to a fundamental disease process relative to
9 prevalence, to phenomenology, to course, to treatment
10 responsiveness, to what company it keeps in terms of other
11 manifestations of the same pathobiologic process. So that
12 very much follows exactly where we want to go to. I
13 wouldn't have some of the subtypes because that gets
14 confused with subtypes again. Certainly, one could get into
15 a discussion of how one sets time of onset. We would
16 probably go with what I might call a peri-onset, you know,
17 within a zone -- 2 years before or 18 months before.
18 Again, those are by convention at this point. But, clearly,
19 that is exactly where the field should go but, clearly, we
20 are also limited by what you can do with the text revision
21 and what you can do relative to the regulatory agencies that
22 classifiers deal with, which is called the National Center
23 for Health Statistics.

24 DR. LAUGHREN: Yes, and I think this can all be
25 discussed this afternoon, but I think what people are very

1 interested in knowing is what sort of a time frame we are
2 looking at for evolving all of this.

3 DR. CAINE: I don't think DSM-V is going to emerge
4 very quickly. So what I think is going to happen, quite
5 clearly at least in terms of my own way of thinking, is that
6 once we have this structure in IV-TR, then we fill in, in
7 the academic field, what is already being filled in. To me,
8 in terms of the kind of data that exist, I would certainly
9 use psychosis as a good exemplar of, hey, this is a good
10 standard for the kinds of things we can then compare mood,
11 sleep, diurnal and other things to in the sense that there
12 has been data presented about prevalence, about
13 responsiveness, about natural history. You know, there are
14 data out of the U.K. as well as the U.S. about these things.
15 So, I think this is something that can fall into place quite
16 neatly.

17 DR. TAMMINGA: Thank you, Dr. Caine. Next we will
18 hear from Dr. Jeffrey Cummings from UCLA. He will present a
19 talk titled criteria for psychiatric symptoms in Alzheimer's
20 disease clinical trials.

21 **Criteria for Psychiatric Symptoms in**
22 **Alzheimer's Disease Clinical trials**

23 DR. CUMMINGS: Thank you very much, Carol, and
24 thanks to the FDA for inviting me to be part of this panel.

25 [Slide]

1 I would also like to disclose a relationship to
2 several companies that have a financial interest in these
3 criteria and the development of drugs relevant to
4 psychiatric symptoms in Alzheimer's disease.

5 [Slide]

6 I think it is reasonable to start by acknowledging
7 what we do not know. this is kind of a Cartesian slide of
8 getting down to basic doubt. We do not know the
9 pathophysiology of the psychiatric symptoms of Alzheimer's
10 disease. This, of course, does not distinguish this
11 condition from our lack of knowledge of the pathophysiology
12 of the psychiatric symptoms of schizophrenia, depression or
13 any other major mental illness.

14 We do not know whether there is a similarity to
15 the pathophysiology of the psychosis of Alzheimer's disease
16 and that of schizophrenia and I think there is substantial
17 reason to doubt a similarity. That is, the cerebral
18 environments differ and these could affect the response to
19 therapy. In Alzheimer's disease we have plaques, tangles
20 and a marked cholinergic deficit that may create a hostile
21 environment for the usual conventional psychotropic
22 medications.

23 [Slide]

24 For these reasons, I believe that separate trials
25 are required for the treatment of symptoms or syndromes in

1 each disorder, that is, schizophrenia versus Alzheimer's
2 disease. It is reasonable to try established agents as
3 first approach to therapy. That is, since we know that
4 antipsychotics work for the psychosis of schizophrenia, it
5 is reasonable that we would test antipsychotics for the
6 psychosis of Alzheimer's disease but it is not necessary to
7 limit our considerations to that class of drugs. For
8 example, there is evidence that cholinergic agents may have
9 a benefit to psychiatric symptoms in Alzheimer's disease or
10 we would not expect these agents to be useful in other
11 neurobehavioral and neuropsychiatric syndromes such as
12 schizophrenia. So, while it is reasonable to start with the
13 established drugs, it is important that we not limit our
14 thinking to those established drugs when we cross disease
15 categories.

16 [Slide]

17 We need specific criteria for each target symptom,
18 and I think there is a consensus on that point. The
19 behavioral and psychological symptoms of dementia, the BPSD
20 concept, recognize the common occurrence in Alzheimer's
21 disease and are useful in that regard but are not
22 sufficiently precise to guide clinical trials or diagnostic
23 considerations.

24 [Slide]

25 Dilip and Sandy I think have brought us to a new

1 point in this discussion, and I think it is an important
2 advance in terms of crystallizing the criteria for psychosis
3 in Alzheimer's disease.

4 I have two additional recommendations for these
5 draft criteria. One is that I think psychosis must be
6 operationalized. In the Jeste and Finkel criteria
7 psychosis is defined as the presence of delusions and
8 hallucinations. That is essentially to define psychosis as
9 a presence of psychotic symptoms, and I think we must go
10 beyond that in order to allow clinicians to be able to
11 select patients specifically for clinical trials.

12 The other part of the criteria that I would revise
13 and recommend revision on is that distress to others is in
14 the criteria a sufficient indication for treatment. I think
15 that opens up the possibility of abuse. That is, a staff
16 member in a nursing home who is distressed by a behavior
17 would be sufficient to allow treatment of that patient, and
18 I think that that needs to be narrowed.

19 [Slide]

20 Therefore, I would propose more operationalized
21 definitions, such as psychosis manifested by delusions, and
22 I would define delusions as false beliefs not directly
23 attributable to memory or cognitive abnormalities such as
24 disorientation, such as delusions of theft, delusions of
25 infidelity, phantom borders and active misinterpretations.

1 Let me comment on several of these. Jiska has
2 already raised the problem of the interface between the
3 cognitive deficit and the psychosis. Now, if you say to a
4 patient what year is it and the patient says it is 1963, he
5 holds a false belief which is resistant to evidence to the
6 contrary and, therefore, meets broad criteria for delusion.
7 We clearly want to avoid including that patient in this kind
8 of classification. So, what I would say is that we need
9 false beliefs that are not directly attributable to memory
10 and cognitive abnormalities. For example, if the patient
11 experiences misplacement and interprets that as theft, that
12 is already an active misinterpretation because I would
13 suggest that everybody in this room has at one time
14 experienced enough of a memory abnormality to misplace
15 something and, yet, you have not assumed that somebody stole
16 it from you so you did not have the active misinterpretation
17 that is required for the presence of a psychotic syndrome.
18 So, I would move towards these kinds of delusions as
19 required for the presence of a delusional disorder.

20 Note that I have excluded the misinterpretation
21 syndromes here. The misidentification syndromes, and
22 Barry's paper addresses this very directly, because they are
23 too ambiguous for inclusion at this point in the diagnosis
24 of a delusional disorder.

25 [Slide]

1 I would also include hallucinations as part of the
2 definition of psychosis and, again, I would operationalize
3 the hallucinations as, for example, talking with individuals
4 unseen by the observer, or responding to voices and sounds
5 not heard by the observer. Again, a report that I saw my
6 mother this morning would not be adequate because that can
7 be a memory abnormality, but the active discussion with a
8 non-seen person is sufficient. It also implies that the
9 individual endorses that hallucination as real, meaning that
10 psychosis is present not just a benign hallucination such as
11 might occur in migraine or visual impairment.

12 [Slide]

13 Then I would go on basically to mimic Dilip and
14 Sandy's criteria -- onset of psychosis after onset of
15 dementia. You would exclude only a very few patients by
16 that criterion -- no other Axis I diagnosis, disabling or
17 distressing to the patient. I would not go on to the
18 patient or someone else; and not present exclusively during
19 a delirium. It could be defined with agitation, with
20 irritability, etc.

21 [Slide]

22 I believe that agitation should be seen as a
23 separate identifiable syndrome in Alzheimer's disease. For
24 example, it does not always occur with another type of
25 psychopathology. We have now done a series of studies in

1 which we matched groups of patients for delusions,
2 hallucinations, irritability, anxiety, depression, euphoria
3 -- all of the elements of the Neuropsychiatric Inventory,
4 but one group had high agitation and one group had low
5 agitation. So, we controlled for all of the other
6 psychopathology and could still see two groups of patients,
7 one with agitation and without. We then showed that they
8 have SPEC scans and that they have contrasting brain
9 pathology in terms of the neurofibrillary tangle burden of
10 the frontal lobes.

11 So, I think that this is in some cases a separate
12 syndrome and should be a separate target for drug
13 development. In addition, I am worried that the agitation
14 of Parkinson's disease may well be different from the
15 agitation of Alzheimer's disease. One with a dopaminergic
16 deficit, one with a cholinergic deficit may differ from the
17 agitation of schizophrenia, and I believe that agitation
18 will have to be studied separately in each disorder to be
19 assured that it will have a replicable drug response. These
20 are criteria that I would suggest, modeled after Jiska's
21 criteria as she has been very influential in this area.

22 [Slide]

23 Finally, I would use the same kind of model for
24 depression in which we would need to define specifically the
25 kind of depression that one sees in Alzheimer's disease,

1 depression manifested by tearfulness attributable to
2 sadness. Why do I say that? Because in neurological
3 illnesses one may see tearfulness without sadness in a form
4 of pseudobulbar palsy. So, you must make these criteria
5 definitely mappable to neurological disease. Statements
6 reflecting sadness, hopelessness, worthlessness,
7 helplessness; statements of burden; statements concerning
8 death -- I agree with Jiska completely that the
9 neurovegetative signs can occur in dementia without
10 depression and, therefore, should not be part of these
11 criteria. Also, apathy occurs frequently in dementia
12 without depression and should not be part of the criteria
13 for depression.

14 [Slide]

15 So, I would suggest that the general approach that
16 we are moving towards is that, first, we would diagnose a
17 patient with Alzheimer's disease. We would then use
18 criteria for a specific syndrome such as those suggested by
19 Dilip and Sandy, maybe with some modifications for
20 psychosis, or agitation, or depression. We would decide
21 whether those are sufficiently severe to require treatment
22 on the basis of the distress to the patient. We would then
23 use a rating scale to decide on the severity of the symptoms
24 since it is very rare for a drug to eliminate the syndrome.
25 So, you must have a quantification so that you can see

1 whether it has been reduced but not eliminated. You would
2 then have treatment and an outcome assessment. Thank you.

3 [Applause]

4 DR. TAMMINGA: Thank you, Dr. Cummings. Comments
5 or questions? Dr. Jeste?

6 DR. JESTE: This is more a comment than a
7 question. I agree with the suggestion that you made, Jeff.
8 However, I want to distinguish between criteria for a
9 clinical diagnosis and the criteria for a clinical trial.
10 The criteria for a clinical diagnosis is step one and then
11 step two is criteria for a clinical trial. For example, if
12 somebody meets criteria for schizophrenia, he may have
13 residual schizophrenia and may not be appropriate for a drug
14 study. So, then we have to have a criterion of a certain
15 BPRS cut-off score on something.

16 The same thing applies to tardive dyskinesia.
17 They may have minimal dyskinesia which is sufficient to
18 warrant a clinical diagnosis but they may need to meet
19 Schooler Ken criteria in order to participate in a study.

20 So, I think the modifications you are proposing
21 are a step two. Once they meet the criteria for psychosis
22 or Alzheimer's or psychosis due to Alzheimer's, then they
23 need to have certain amount of severity to be eligible for a
24 clinical trial.

25 DR. TAMMINGA: Dr. Schneider?

1 DR. SCHNEIDER: Jeff, I do think we are now
2 turning the discussion to criteria for clinical trials and I
3 wanted to just endorse Dilip's comment. But moving on to
4 your comments about agitation, it seems everything depends
5 on definition, and the slide that you put up defined
6 agitation on two components. One was threatening vocal
7 behaviors and the other was threatening physical behaviors.
8 To me, you could also call that aggression and distinguish
9 at least those components from overall agitation and, hence,
10 be more specific. So, I am interested in your comments on
11 that. Essentially, it seems there is a distinction here
12 between aggression and agitation.

13 DR. CUMMINGS: I think that is a great idea, Lon.
14 I think anything we can do to narrow the definition of
15 agitation to specific target symptoms will advance our
16 cause, and aggression is the set of behaviors that is of
17 greatest concern in terms of managing these patients. So, I
18 think that would be a very reasonable kind of relabeling of
19 this area.

20 DR. TAMMINGA: Dr. Winokur?

21 DR. WINOKUR: With regard to your discussion of
22 depression in the context of dementia, would there be any
23 qualification with regard to chronology that we talked about
24 with psychosis or past history?

25 DR. CUMMINGS: That is a very difficult issue and

1 I am not the best one to talk about that. Barry will be
2 addressing depression next. Certainly, patients at greatest
3 risk for depression in Alzheimer's disease are those who
4 have had depression before. So, I think you would not want
5 to have as an exclusion criterion, for example, the previous
6 occurrence of depression. So, I think we will be looking
7 for criteria that are pretty much independent of a
8 chronological relationship to the disease itself.

9 DR. TAMMINGA: Dr. Cummings, would you comment on
10 the relationship of your criteria for agitation in
11 Alzheimer's disease to what Dr. Tariot presented as
12 secondary mania?

13 DR. CUMMINGS: Yes, I think Pierre was really
14 defining mania as overlapping substantially with the
15 symptoms of agitation as we see them in Alzheimer's disease,
16 and I think there is no difference between how I define
17 dementia and how Pierre was discussing mania this morning.
18 I would not, myself, be comfortable using the term mania in
19 this setting.

20 DR. TAMMINGA: Thank you, Dr. Cummings. If there
21 aren't any more questions I think we will move on to our
22 last presentation before the break, Dr. Barry Reisberg, from
23 NYU, will speak about BPSD and the psychosis of Alzheimer's
24 disease treatment possibilities. Dr. Reisberg?

25 **BPSD and the Alzheimer's Disease Treatment Possibilities**

1 DR. REISBERG: Thank you very much, Dr. Tamminga.

2 [Slide]

3 I would like to begin by thanking the organizers
4 for inviting me to speak to these issues. I also should
5 mention that I have consulted with various companies with
6 respect to these issues.

7 Let me say I am not speaking about depression.
8 The title of my talk is, indeed, BPSD and the psychosis of
9 AD treatment possibilities.

10 [Slide]

11 Alzheimer's disease is the major form of dementing
12 disorder and it is also the best understood. Therefore, in
13 addressing the question can we identify appropriate clinical
14 entities or targets for drug development in this area, it is
15 useful to focus on Alzheimer's disease.

16 [Slide]

17 Alzheimer's disease has long been known to be
18 accompanied by behavioral and psychological symptoms. For
19 example, Alois Alzheimer noted these symptoms very
20 prominently in his classic case description.

21 [Slide]

22 Frequently BPSD and AD are disturbing to family
23 members or other caregivers and/or are dangerous or
24 distressful to the Alzheimer's disease patient. In these
25 cases, physicians often endeavor to treat these symptoms

1 with psychotropic medications. This has been true for
2 decades, and psychotropic medications are among the most
3 frequently prescribed medications for Alzheimer's disease
4 and other dementing disorders.

5 The kinds of psychotropics prescribed for
6 disturbing, distressful or dangerous BPSD symptoms are very
7 diverse. Doctors prescribe antipsychotic medications. They
8 prescribe antidepressants. They prescribe anxiolytics.
9 They prescribe various medications for mood disorders. They
10 prescribe sedative medications. They prescribe other
11 medications and combinations of these substances.

12 [Slide]

13 However, in the absence of appropriate studies,
14 doctors have had little information to guide them as to
15 which BPSD symptoms respond to pharmacologic intervention;
16 what medications should be prescribed for these symptoms;
17 and what are the side effects of treatment of these symptoms
18 in Alzheimer's disease in the context of this specific
19 disease entity or other dementias.

20 [Slide]

21 What BPSD symptoms respond to pharmacologic
22 intervention? Initial studies nearly fifteen years ago
23 indicated that seven broad categories of BPSD which appear
24 to be responsive to intervention with antipsychotic
25 medication, and specifically the medication studied was

1 thioridazine, could be identified. These categories of BPSD
2 which are potentially responsive to antipsychotic
3 medications are paranoid and delusional ideation,
4 hallucinations, also activity disturbances, aggressivity,
5 sleep rhythm disturbances, certain kinds of affective
6 disturbances and certain kinds of anxieties and phobias.

7 Studies also indicate that the nature of these
8 psychotic BPSD symptoms in Alzheimer's disease is very
9 different from the nature of these symptoms in other mental
10 disorders. Consequently, although the categories of
11 psychotic symptoms in dementia are superficially very
12 similar to the categories of symptoms in, for example, the
13 psychosis of schizophrenia or the depression of major
14 affective disorder, the specific nature of these symptoms in
15 Alzheimer's disease and related dementias is in all cases
16 different.

17 [Slide]

18 These unique features of the psychosis of
19 Alzheimer's disease appear to be the result of two primary
20 interacting factors, which we already heard about. These
21 are the special psychological factors operating in
22 Alzheimer's disease and also the special neurochemical
23 milieu as we heard from both Jiska and Jeff with respect to
24 the importance of these issues.

25 These unique psychological and neurochemical

1 features would naturally be expected to produce a unique
2 phenomenology. Studies have indicated that this is, indeed,
3 the case. It should be noted that BPSD is a broad category
4 which includes many symptoms which do not fall within the
5 psychosis of AD syndrome. When symptoms do fall within the
6 psychosis of AD, the extent to which the symptoms are
7 disturbing, distressful and/or dangerous is related to the
8 need for treatment and the magnitude of symptomatology. So
9 for example, as we have already heard, if a patient mistakes
10 their spouse for their mother but accepts their spouse as
11 their spouse when corrected, then this misidentification is
12 not a psychosis of AD symptom. However, if the patient
13 insists that their spouse is not their spouse, then this is
14 a psychosis of AD symptom.

15 [Slide]

16 In 1987 we developed a rating instrument, the
17 BEHAVE-AD, which measures the 7 categories of symptoms in
18 the psychosis of AD and measures 25 generally characteristic
19 symptoms in these 7 categories and rates each of these
20 symptoms. Initial studies, as already noted, indicate that
21 the BEHAVE-AD symptoms and symptom categories were
22 responsive to neuroleptic intervention, and also were
23 important in AD.

24 [Slide]

25 A recently published multi-center, randomized,

1 controlled trial has supported the results of the original
2 thioridazine trial. In this trial, the best dose of an
3 antipsychotic medication produced a decrease in total
4 BEHAVE-AD scores of approximately 40 percent, in the last
5 column. Differences between the placebo treatment group and
6 the neuroleptic treatment group in BEHAVE-AD total scores
7 were statistically significant. Categories of BEHAVE-AD
8 symptoms which differed between the placebo and the
9 neuroleptic treatment groups at endpoint by greater than 10
10 percent included not only paranoid and delusional ideation,
11 but also activity disturbances, aggressivity and sleep
12 rhythm disturbances. All these differences were in favor of
13 the neuroleptic intervention. Importantly, all these
14 symptomatic changes on placebo and neuroleptic treatment in
15 the BEHAVE-AD scores appeared to occur independently of
16 cognitive and functional effects of the intervention.

17 In summary, a psychosis of AD syndrome is
18 identifiable. This syndrome appears to have both
19 psychologic and neurochemical origins. Accordingly, the
20 syndrome seems to respond to both psychologic and
21 neurochemical intervention. Rating scales can be developed
22 which can measure this syndrome reliably and sensitively on
23 clinically meaningful parameters. It is important to note
24 that the psychotic syndrome is more than simply delusions,
25 hallucinations and aggressivity or even dementia-specific

1 delusions, hallucinations and aggressivity.

2 [Slide]

3 Just as the BPRS used to assess the efficacy of
4 antipsychotic medications for schizophrenia includes various
5 associated symptoms, including somatic concerns, anxiety,
6 emotional withdrawal, etc., in addition to suspiciousness,
7 hallucinations and uncooperativeness, and, just as the
8 Hamilton Rating Scale includes somatic anxiety, somatic GI
9 symptoms, genital symptoms, hypochondriasis, weight loss,
10 etc., in addition to depressed mood, feelings of guilt and
11 suicidal ideation, similarly the scales used to measure the
12 psychosis of AD should include associated symptomatology.

13 [Slide]

14 In the interest of accurate assessment, the
15 psychosis of AD should be assessed independently of the
16 cognitive and functional symptoms of dementia. Because the
17 idiopathogenesis, the phenomenologic manifestation and the
18 symptomatic background of the psychosis of AD are all very
19 different from the psychosis of schizophrenia, and because
20 treatment and side effect issues are different, it is
21 important to focus on the psychosis of AD independently.
22 Appropriate methodologies are available for the
23 investigation of these issues and the psychosis of AD would
24 appear to be an appropriate area for drug development and
25 regulation at the present time. Thank you.

1 [Applause]

2 DR. TAMMINGA: Thank you, Dr. Reisberg. Are there
3 questions or comments from the committee? Dr. Laughren?

4 DR. LAUGHREN: I have a question about you drawing
5 a parallel between the BEHAVE-AD and its use and the BPRS in
6 schizophrenia and the HAM-D in major depression. It seems
7 to me that the difference is when one is doing trials, say,
8 in schizophrenia one captures patients using independent
9 diagnostic criteria and then uses a cross-sectional
10 instrument, like the BPRS, to measure change. Similarly, in
11 depression we use usually DSM criteria for capturing
12 patients with major depression and only then do you use the
13 HAM-D to measure change in those patients. What is unclear
14 with your approach is that there don't seem to be any
15 independent diagnostic criteria that you are using for
16 capturing patients. You seem to be using the BEHAVE-AD as a
17 diagnostic tool.

18 DR. REISBERG: Thank you for clarifying that. I
19 do advocate using independent criteria such as the criteria
20 developed by Dilip Jeste and Sandy Finkel which are
21 excellent in this regard. I would strongly advocate that.
22 I would advocate doing trials really in the same fashion,
23 using independent criteria but also in assessing treatment I
24 would advocate not being narrowly focused. In all other
25 entities we are not narrowly focused. We are looking at the

1 wider entity and, in assessing treatment, I would advocate
2 looking at the wider entity here as well.

3 DR. LAUGHREN: I appreciate that clarification
4 because it wasn't so clear that you weren't proposing BPSD
5 as an entity. Clearly, you are not.

6 DR. REISBERG: No, clearly not. Also, let me take
7 the opportunity to point out that I think BPSD is a broader
8 entity. I think that the psychosis of AD is a narrower
9 entity within BPSD, but I do think that the psychosis of AD
10 has various associated symptoms apart from just delusions
11 and hallucinations for example.

12 DR. TAMMINGA: Dr. Hamer?

13 DR. HAMER: In the slide you showed that had the
14 series of comparative bar charts of placebo and Risperal 1
15 mg, I noticed that all of the hypothesis tests are
16 hypothesis tests within each group of baseline versus
17 endpoint. Was there any particular reason you did that
18 rather than test to see if the groups, in fact, differed
19 from one another, if the treatments had an effect that was
20 different?

21 DR. REISBERG: First of all, I didn't mention any
22 particular medication that I am aware of.

23 DR. HAMER: In our charts in the handout it says
24 Risperal 1 mg.

25 DR. REISBERG: I see. I was simply illustrating

1 some of the results from this trial by way of making the
2 general point with respect to treatment possibilities. I
3 was simply trying to use the data, such as it is, not with
4 respect to showing efficacy for any particular medication
5 but by way of showing that the symptoms which respond to
6 pharmacologic treatment are not only paranoid and delusional
7 ideation in particular but also a more general syndrome
8 which is responsive to pharmacologic intervention. Also, I
9 am very interested in the data with respect to the efficacy
10 of not only pharmacologic intervention but also
11 psychological intervention. So, to me, one of the major
12 observations from the chart is that the symptoms are
13 responding to psychological intervention and they are also
14 responding to pharmacologic intervention. Of course, the
15 question with respect to approval agents is whether there is
16 a differential pharmacologic responsivity and whether that
17 differential in terms of side effects and therapeutic
18 effects is a worthy differential in terms of approval.

19 DR. HAMER: So, by psychological intervention you
20 meant placebo?

21 DR. REISBERG: In fact, the minimal psychological
22 intervention of putting a patient in a trial and keeping him
23 in a trial seems to be associated with a marked relief,
24 probably in terms of the interaction of the family members
25 and the caregivers with the patient, as well as on the part

1 of the patients themselves, which seems to be reflective in
2 decrements in scores which I think is very interesting and
3 very important.

4 DR. TAMMINGA: Dr. Schneider?

5 DR. SCHNEIDER: Barry, following on the
6 risperidone data because that is what you put up, you were
7 saying, unless I misheard you, that this was an example of
8 the efficacy of an antipsychotic in the psychosis of
9 Alzheimer's disease. You also had said, and maybe this is
10 redundant with Dr. Hamer's comments, that this was an
11 example of where an antipsychotic can treat any of a number
12 of sets of symptoms. I just need to comment that one of the
13 difficulties with these antipsychotic trials, no matter what
14 the typical antipsychotic, is that patients were not chosen
15 because they had psychosis. They were chosen, for instance
16 in this case, because they had a certain score on a test.
17 Then, what you are showing is group changes in different
18 sub-scales of the BEHAVE-AD and you are showing mean changes
19 between within drug and within placebo. So, even though the
20 publication might be showing mean changes in aggression,
21 that aggression symptoms seem to have decreased overall in
22 patients on risperidone, what we are not getting out of this
23 data is the proportion of patients who clearly did have
24 clinically significant aggression, and what proportion of
25 those patients actually improved. Lastly, again, when you

1 inspect this chart it looks as though in many cases
2 medication was not more effective than placebo. So, I just
3 wanted to make those comments.

4 DR. REISBERG: Well, I would agree and once again
5 use the opportunity to mention that I just wanted to
6 introduce what I thought was some useful data with respect
7 to the general question of the treatment of these issues.

8 Let me just, if I may, say one or two things. I
9 think all of us, as clinicians, when treating schizophrenia
10 find one of the earliest signs of a patient becoming
11 schizophrenic, acutely psychotic in the context of
12 schizophrenia is that they have sleep disturbance. We
13 recognize that and presumably we try to treat that with
14 medication. I think the schizophrenia analogy is very
15 useful. Certainly all of us recognize that the first
16 manifestation of psychosis could be that the patient on the
17 ward is hitting people, and we recognize that as very much a
18 part of the syndrome. Similarly, in terms of classical
19 schizophrenia, we all recognize that anxiety could be the
20 earliest sign and we endeavor to treat that.

21 So, I am just trying to call attention to the
22 wider picture here. I do agree, and I think a number of the
23 speakers have made this point, Jiska and Jeff and others,
24 that there are psychological factors which are very
25 important in Alzheimer's which relate to the cognitive

1 disturbance and that is one of the ways in which this
2 syndrome is unique. Of course, another way is in terms of
3 the specific nature of the symptoms as well.

4 DR. TAMMINGA: Dr. Caine?

5 DR. CAINE: If we go back to psychiatric
6 classification in general and we think of clusters like
7 schizophrenia -- for my statistician across the table, I am
8 using the word cluster in a generic sense --

9 [Laughter]

10 -- syndromes, whatever -- groupings, categories,
11 we know that there is a lot of overlap between these
12 categories. We make certain challenges to them. Kendall
13 talks about points of rarity between one and another. And,
14 we know that in some of the major psychiatric clusters or
15 categories we can't show points of rarity.

16 Nonetheless, I think your point is well taken and
17 also off target. I think it is well taken to say that we
18 know that people with psychosis due to Alzheimer's disease
19 have a lot of other signs and symptoms that are there. That
20 doesn't make it psychosis due to Alzheimer's disease or not
21 psychosis due to Alzheimer's disease. I think the question
22 before us is can we define a group of people in a category
23 who have explicit entry criteria and explicit exclusion
24 criteria? Can this be done in a reliable way, and are there
25 some, ideally in the long run, ways of validating it? Maybe

1 that is in the future in terms of external measures like
2 genes and other things. And, can this be a useful target
3 for therapeutic intervention? Now, I hear you saying yes,
4 but don't forget all the other symptoms that may be worth
5 measuring as well. Am I hearing you correctly or am I
6 mishearing you?

7 DR. REISBERG: No, I think that is precisely
8 correct. I endorse everything that you said and, once
9 again, I would endorse the criteria that are before us, the
10 Jeste and Finkel criteria. I would endorse those strongly.
11 The title of my talk was treatment possibilities, and in
12 looking at treatment possibilities I think we have to go a
13 little bit beyond the criteria to understand what we are
14 trying to do for treatments.

15 I also would like to mention that in terms of
16 treatment possibilities I think we need to understand also
17 that it is not necessarily only antipsychotic medications.
18 In looking at other illnesses, for example major depression,
19 we recognize that so-called classical antidepressants play a
20 role, but I think we also recognize that neuroleptics can
21 play a role and other substances as well. So, by no means
22 is this meant to preclude those wider issues either.

23 DR. TAMMINGA: Thank you very much, Dr. Reisberg.
24 I would like to thank all the speakers of this morning for
25 being highly informative and for being timely in addition.

1 It is time for us to take a break. We will take a break for
2 exactly thirty minutes and come back because we still have
3 an open public hearing part of the session where we will
4 gather additional data before we start discussions this
5 afternoon. Thirty minutes from now. Thank you.

6 DR. TITUS: I need to touch base with one of the
7 speakers from Johns Hopkins and also the American
8 Association of Geriatric Psychiatry.

9 [Brief recess]

10 DR. TAMMINGA: The first part of the morning was
11 presentations by the consultants to the psychopharm.
12 committee. The second half of the morning will be the open
13 public hearing for speakers that have requested a chance to
14 speak. Since we have quite a number of people, I will
15 request that the public speakers also limit your remarks to
16 ten minutes. I would like it if you would just kind of come
17 up to the podium, just like the morning speakers did,
18 because the timer is right here. So, you will actually be
19 able to pace yourself to keep yourself within ten minutes.

20 We will start for our first open public speaker
21 with Christopher Colenda. Dr. Colenda is from the American
22 Psychiatric Association, and has prepared some remarks for
23 us to consider. Dr. Colenda?

24 **Open Public Hearing**
25 **American Psychiatric Association**

1 DR. COLEND: Good morning.

2 [Slide]

3 On behalf of the American Psychiatric Association,
4 we are delighted to present testimony to the
5 Psychopharmacological Drug Advisory Committee. My
6 colleague, Dr. Jacobo Mintzer, who is chair of the Ethnic
7 Minority Committee of the Council on Aging, and I are
8 delighted to be here. Both Dr. Mintzer and I do have
9 consulting relationships with companies who have a financial
10 interest in these proceedings.

11 [Slide]

12 We would like to remind the audience and the
13 committee that Alzheimer's patients with psychiatric
14 symptoms experience enormous suffering, and as physicians
15 who are obligated to alleviate suffering, having the ability
16 to define and have targeted treatments will greatly relieve
17 patient suffering, improve caregiver morale and, hopefully,
18 reduce excess disability.

19 [Slide]

20 We believe that the central question for the
21 committee is whether there is sufficient scientific evidence
22 that specific psychiatric symptoms are fundamental
23 manifestations of Alzheimer's disease and, thus, warrant
24 drug development or indication.

25 [Slide]

1 Echoing Dr. Caine's remarks, we believe that
2 approaching this from a conceptual model of disease allows
3 us some degree of ability to try to answer these questions
4 in a more specific manner. With Alzheimer's disease,
5 obviously there is a long latency period in which the
6 initiation of the etiologic process begins which brings
7 forth pathological processes. For most of us who are
8 clinicians, we look at the issue from the clinical detection
9 of disease and onset of signs and symptoms. So, we are
10 already at the third major box. And, it is at this point
11 that we have concern for developing drug indications, but it
12 is the signs and symptoms that have some impact on the
13 outcomes of the disease.

14 [Slide]

15 In order to help articulate our thinking, we
16 looked at some standard ways of looking at causality in
17 which we look at various criteria. The seven criteria that
18 are generally accepted in most of the rest of medicine
19 include the strength of association; dose response effect;
20 lack of temporal ambiguity; consistency of findings;
21 biological plausibility; coherence of evidence; specificity
22 of association.

23 Now, with Alzheimer's disease and with the
24 psychiatric symptoms of Alzheimer's disease we will probably
25 never be able to have all seven criteria met. But I think

1 that we would like to point out a couple of syndromes in
2 which we think that there is a preponderance of evidence
3 that does require our thinking about justification for
4 developing new indications for psychopharmacologic agents.

5 [Slide]

6 For example, we have heard a lot today about the
7 psychosis of Alzheimer's disease. I would like to take
8 another angle on this and briefly discuss the circadian
9 rhythm disturbances or sleep-wake cycle disturbances of
10 Alzheimer's disease, which are major problems both in home
11 as well as in nursing home settings and cause a great deal
12 of consternation for patients as well as their caregivers.

13 If we look at our seven criteria by which we
14 measure evidence to support a causal relationship -- let's
15 first look at strength of association. The evidence
16 suggests that between 20-40 percent of Alzheimer's patients
17 have significant sleep disturbances sometime during the
18 course of their illness, some of which may be related to
19 classic circadian rhythm disturbances that are found in
20 other entities.

21 Is there a dose response effect? Well, from sleep
22 studies, the sleep-wake cycle does tend to degenerate in
23 more advanced disease, demonstrating rhythmic and polyphasic
24 patterns but this is not necessarily with aging-related
25 changes and sleep patterns. There are also some changes

1 that may have some degree of overlap here.

2 Is there a lack of temporal ambiguity? This is
3 obviously difficult to determine.

4 Is there consistency of findings? Several groups
5 have found that disturbed sleep findings are a consistent
6 pattern in Alzheimer's patients.

7 Is there biological plausibility? If you look at
8 postmortem samples of Alzheimer's patients there is
9 degeneration of the suprachiasmatic nucleus of the
10 hypothalamus and this is the area of the brain that is
11 associated with circadian rhythms.

12 Is there coherence of evidence? We believe that
13 there is increasing evidence that this can be answered in
14 the affirmative but, obviously, we cannot say in this
15 particular case that there is specificity of association.

16 Dr. Mintzer is going to be talking about using the
17 same model to talk about psychosis in Alzheimer's disease,
18 and provide some conclusions.

19 **American Psychiatric Association Council on Aging**

20 DR. MINTZER: Good morning.

21 [Slide]

22 When we, in the discussions in the Council, wanted
23 to move towards what was discussed by Dr. Colenda before, we
24 found, without surprise, that the six of the seven criteria
25 again were met. I want to bring attention to the dose-

1 response effect. That means that in the infectious disease
2 model the more exposure to the agent or to the disorder, the
3 more likely is this symptom to appear in a higher group of
4 patients, and we observed the same, as was discussed this
5 morning by Dr. Jeste in his accumulative prevalence study
6 where you see that as the disease increases, you see an
7 increase of accumulative prevalence as the disorder compares
8 different areas of the brain and specifically arrives to a
9 plateau.

10 [Slide]

11 But I would like to also take a minute to say that
12 the Council is composed of clinicians, and those clinicians
13 observed an article presented by Jeste and Finkel and feel
14 very strongly that these criteria were reflecting their
15 clinical experience. Therefore, the Council is strongly
16 endorsing those criteria.

17 As per Dr. Cummings' comments, the Council, in
18 their discussions, reflected similar comments to what Dr.
19 Cummings discussed and, indeed, environment -- there was a
20 feeling that those changes or these concerns can be
21 addressed as specific clarifications to the criteria, to be
22 especially emphasized in the context of a specific clinical
23 trial.

24 Finally, I would not like us to forget that
25 psychoactive medications are widely used in Alzheimer's

1 patients. The Council discussed the issue that off-label
2 treatments exposed patients to severe side effects without
3 any real knowledge about which level of expectations or
4 benefit the patient will have. Specifically, the off-label
5 use of these compounds is not allowing us, as was said
6 before, an appropriate risk-benefit assessment to the
7 clinician that in each individual case will have to make
8 that decision, even the clinical severity of the symptoms
9 that are observed. Labeling will provide information that a
10 clinician can use then safely in making a decision on which
11 compound, targeted with which syndrome, with benefit which
12 patient.

13 [Slide]

14 But also with establishing specific labeling, we
15 share the concerns that Dr. Cohen-Mansfeld discussed and
16 which were discussed today earlier by the FDA, it will
17 provide a strong incentive to the pharmaceutical industry
18 and the research community to develop safer and more
19 effective compounds. It is very difficult to develop safe
20 and effective compounds if the syndrome is not appropriately
21 established.

22 [Slide]

23 In conclusion, the Council on Aging feels that
24 there is very strong evidence evaluating that psychiatric
25 symptoms are a part of the core manifestation of Alzheimer's

1 disease. We believe that there is enough scientific
2 evidence to support the labeling of psychosis, alteration in
3 circadian rhythm and -- it was not discussed today but it is
4 in the document that was given, the data for depression as
5 valid targets for pharmacological intervention. We also
6 think that this process is important because it may allow
7 other disorders to come to the forefront of syndrome as the
8 scientific evidence becomes available. Thank you for your
9 time.

10 [Applause]

11 DR. TAMMINGA: Thank you very much, Drs. Colenda
12 and Mintzer. Are there any comments or questions? Dr.
13 Caine?

14 DR. CAINE: Just a couple of points of
15 clarification. Austin Bradford-Hill was an environment
16 medicine individual so it is important for people to realize
17 that his interest in temporal association related to was a
18 toxic agent or an environmental irritant present prior to
19 the development of the syndrome. It isn't easily applicable
20 to some of the kinds of things that we were talking about
21 here. Clearly, the dose-response also was related to that.

22 But also to clarify that these were the criteria
23 that were used as a basis for discussion in DSM-IV in the
24 mental disorders "due to" section talking about what are the
25 issues and how do you define associated clinical symptoms

1 and signs which will be a reflection of an etiologic
2 process. In fact, the ones that you cite are, albeit a bit
3 modified for DSM-IV -- I think there is a special writing
4 group that takes common English and turns it into gibberish
5 when they write these books but, nonetheless, those criteria
6 were the ones that were used for that book.

7 DR. COLEND: You have to understand I was an
8 epidemiologist so I tend to look at these from an
9 epidemiologic --

10 DR. CAINE: So do I.

11 DR. MINTZER: Just to clarify, it was important
12 for us to take it in a different context and see if the
13 syndrome would still rise in a very different context to the
14 same level.

15 DR. CAINE: I agree. I am just saying it for more
16 general understanding of the origins of those.

17 DR. TAMMINGA: Dr. Schneider, do you have a
18 comment?

19 DR. SCHNEIDER: Yes, I was surprised, even though
20 you mentioned it at the end, Jacob, that much of your
21 written document deals with depression in Alzheimer's
22 disease and you chose not to mention it and, instead, to
23 focus on psychosis and sleep disorder.

24 DR. MINTZER: I would be happy to comment on that.
25 We are here reflecting the discussions and the deliberations

1 of the Council. In the discussions and deliberations there
2 was a clear consensus that there was evidence on depression
3 as much as psychosis and circadian rhythm, and also that we
4 were encouraged to present the data on both psychosis and
5 circadian rhythm and that is why we did that.

6 DR. SCHNEIDER: So, why did the Council not
7 encourage you to present depression.

8 DR. MINTZER: We just had ten minutes and you have
9 to make choices.

10 DR. TAMMINGA: Well, thank you for sticking to ten
11 minutes and thank you for the presentation from the APA.
12 Our next public presentation will be from Dr. Constantine
13 Lyketsos, from Johns Hopkins Medical Institution, who will
14 present some data from an epidemiologic sample, I believe.
15 Dr. Lyketsos?

16 **Johns Hopkins Medical Institution**

17 DR. LYKETSOS: Good morning. Thank you for
18 inviting me, Dr. Tamminga.

19 [Slide]

20 I will be speaking on behalf of two other
21 individuals who could not come here today, Peter Rabins and
22 John Breitner, primarily talking about some of our recent
23 research. I do wish to disclose consult honoraria and
24 research support from several pharmaceutical companies that
25 have some interest in the discussion today.

1 [Slide]

2 As Dr. Mintzer just made clear, in ten minutes one
3 has to make choices as to what to present. So, I will say a
4 few things with a clear focus. Much of what we have said
5 and what our view is, is in the original letter that we sent
6 to the advisory committee.

7 There are three points that we wish to emphasize.
8 The first is that the psychiatric and other behavioral
9 disturbances being associated with dementia and Alzheimer's
10 is not a new idea. It is not an idea that has come up
11 specifically because there might be new treatments
12 available. I think that historical part needs to be
13 emphasized. I will be talking a little bit more about
14 frequency, and particularly give you some evidence of the
15 population frequency of these disturbances, and that is
16 relevant to one of the questions that was asked earlier on
17 the part of the committee.

18 The second point is that these disturbances can be
19 identified reliably and can be distinguished quite well from
20 age-related or geriatric psychiatric disturbances. That
21 point has been made to a great extent this morning so I
22 won't dwell on it too much.

23 I will spend most of my time in talking about
24 research findings from recent population studies of people
25 with dementia and Alzheimer's disease that indicate that the

1 disturbances in question cluster into two broad groups, one
2 looking primarily like a psychotic disturbance and one
3 looking primarily like an affective disturbance. In case of
4 you have any recollection of Krepelin's work, there is some
5 recollection of the distinction of manic depression and
6 schizophrenia in that.

7 I am not going to define terms in great depth.
8 Suffice it to say it is important to distinguish whether we
9 are talking about Alzheimer's or any other dementia, and
10 that will become somewhat evident in what I say. I do want
11 to introduce you to the Cache County study very briefly.
12 This is a population study, funding by the National
13 Institute of Aging, which was led by John Breitner and which
14 is a study of the entire elderly population of Cache County,
15 Utah, which is about an hour and a half north of Salt Lake
16 City.

17 In that study, the entire population aged 65 and
18 older was screened for dementia. Those who screened
19 positive and a probability sample of those who screened
20 negative were evaluated carefully in a detailed
21 neuropsychiatric exam. There were about a thousand people
22 ascertained that way. The Neuropsychiatric Inventory that
23 was developed by Dr. Cummings and his group was used to
24 evaluate all those 1000 participants. So this is, as far as
25 we can tell, the first U.S. population study of the

1 neuropsychiatric disturbances of dementia and Alzheimer's
2 disease. So, I will be showing you a few findings on that.

3 Cache County does have a second wave. So, in a
4 few months we expect to have the follow up, so a second
5 rating on the NPI in the entire population, and we expect
6 later this year to have a replication of Cache County in a
7 different population of the Cardiovascular Health Study
8 which now has a dementia component. Yesterday, very
9 preliminarily, I heard, in a meeting in Pittsburgh, that the
10 numbers I am going to give you in terms of prevalences are
11 showing up exactly or very close to the same in the
12 Cardiovascular Health Study sites as far as prevalences of
13 NPI disturbances.

14 [Slide]

15 Peter Rabins, in the early '80s -- if you notice
16 the reference at the bottom of this slide, this was
17 published in '82 -- in a clinical sample identified that
18 hallucinations and delusions were reported frequently in
19 people with dementia and a serious disturbance in a large
20 proportion as well.

21 [Slide]

22 In the Cache County study we have the findings
23 that appear on this table. These results are currently in
24 press in The American Journal of Psychiatry, and they should
25 be coming out in May of this year, as best as we can tell.