

1 the cardiotoxicity, for want of a better term. The
2 cardiotoxicity would probably come out of some of the
3 cardiology -- you know, like the American College of
4 Cardiologists. And as far as the vasculitis -- or I
5 will put it in an even more global and broad --
6 immunotox group would probably come out of the
7 American College of Rheumatology and maybe the
8 American Academy of Allergy and Immunology. The
9 clinical immunologists who deal with patients so
10 affected, and they would be the ones then who would be
11 able to get the clinical samples and translate the
12 basic science. In fact, a lot of those people are
13 already clinicians and scientists and would love to
14 get involved with initiatives such as this.

15 CHAIRMAN DOULL: Okay. The third charge
16 there had to do with the mechanism by which this group
17 does its thing, whether they do workshops or
18 publications or meetings or whatever. And I think that
19 also ought to be flexible. You know, we should let
20 those guys get together and figure out what is really
21 needed is a big workshop to bring biologists and
22 clinicians together or it needs to be a subgroup or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whatever. So we are saying to them, however you need
2 to get the job done, here is what we have kind of
3 defined as the job. And you guys go ahead and do it
4 the best way you can. Resources, we can't deal with
5 until we have some idea of what all of this is going
6 to involve.

7 DR. ANDERSON: Mr. Chairman, there are a
8 couple of other things that at some point I think we
9 should do. It is 1:30 now and you may want to do
10 something else. But number 2 says that there is a
11 proposal to advise the membership. I guess that is the
12 full committee. And the other is to endorse or
13 reprioritize the target system. I would not like to
14 see us just ignore that recommendation or that
15 suggestion from the FDA. And maybe we should do
16 something about that before we leave today.

17 CHAIRMAN DOULL: Yes. I think in terms of
18 the full committee, they will probably ask us to tell
19 them what we are doing the next time they have the
20 Advisory Committee meeting. I think the
21 reprioritization -- if we had made this so narrow that
22 we got them off on the wrong track, then they would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 need to reprioritize. Hopefully by having it broad,
2 they won't need that. This committee will have a
3 pretty good hold on where they need to go and would
4 hopefully do that.

5 Okay. Any other concerns the Subcommittee
6 has about this? I think in terms of -- we are pretty
7 much focused on the kind of recommendation we would
8 make for biomarkers and the committee and how this
9 might happen. We need to get out there --

10 DR. CAVAGNARO: Do you want me to put a
11 motion together or what?

12 CHAIRMAN DOULL: Yes, do we need a motion?
13 Do we?

14 DR. MacGREGOR: Well, I think we need a
15 clear statement if you are going to -- if we are going
16 to endorse an expert group, I think we need a clear
17 statement of the focus to go out with.

18 DR. CAVAGNARO: Okay, I'll try. I'd like
19 to propose that we define the working group or the
20 question, and the question is to better predict
21 cardiotoxicity -- to identify biomarkers to better
22 predict cardiotoxicity. If we want to say as related

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to doxorubicin or whatever -- a certain class. I
2 don't know. Frank, help me in terms of generalizing.
3 Drug-induced cardiotoxicity. And that the working
4 group to address this would be a multi-disciplinary
5 working group. And that would --

6 CHAIRMAN DOULL: Yes, we could be more
7 broad, Joy, and say biomarkers for tissue injury such
8 as cardiotoxicity.

9 DR. CAVAGNARO: Well, you could. But then
10 we might get other -- I am just thinking that it is
11 going to take probably a year or more to get a working
12 group together. And if you do that, then you will have
13 such as. This is a prototype. So we could look at
14 this as a prototype group. It could be that after the
15 group gets going that something else is realized or
16 even with some of the same players.

17 CHAIRMAN DOULL: Well, would you charge
18 that group with proteomics concerns also?

19 DR. CAVAGNARO: Multidisciplinary.

20 CHAIRMAN DOULL: Okay.

21 DR. CAVAGNARO: The question is better
22 predictors of drug-induced cardiotoxicity. And so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that would -- anything to bear. That would include
2 toxicogenomics, proteomics, whatever technology.
3 Because it is the question, not the answer to the
4 question. You are putting forth the question. How can
5 I better -- what is the most sensitive indicator than
6 what we are currently doing?

7 CHAIRMAN DOULL: Okay. How does that
8 sound?

9 DR. DEAN: Second. I think Joy is right.
10 I think if we focus it on a particular toxicity at
11 this point and get started, then we can come back
12 later and look at other toxicities that are -- or data
13 gaps that we need to focus on. But this would be the
14 prototype for what we think we need to go forward.

15 CHAIRMAN DOULL: Okay. Why don't we go
16 ahead with --

17 DR. MacGREGOR: Could I just raise -- I
18 don't want to prolong this. But I guess we have
19 another half hour of discussion time. Maybe I will
20 just pose it to think. If we go with a very specific
21 expert group such as cardiotox -- I agree. I think
22 that is a very good idea. But I might reemphasize

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what Joy said. Because of the nature of our
2 subcommittee, we probably will only meet every three
3 or four months at most. So we could consider whether
4 we would want to go with two specific groups possibly
5 if we wanted to go with specific groups. And the other
6 one has been put on the table, which is vasculitis or
7 more general immune response.

8 DR. ESSAYAN: Yes, immunotoxicity I think
9 would be a --

10 CHAIRMAN DOULL: Okay. Let's go ahead and
11 vote on the one she is talking about, the cardiac one.
12 I hear a consensus for the committee to do that
13 involving whatever it takes, Frank, to make that thing
14 work. Then I guess what you are asking is whether we
15 need a second one. And if we weave proteomics into
16 whatever it is, then it would be there for both is
17 what you are saying.

18 DR. MacGREGOR: Yes.

19 DR. ESSAYAN: And I think the issue here
20 is that if we look at -- if we take a more bird's-eye
21 view of efforts in this area -- I mean we know
22 hepatotoxicity is being dealt with by other groups.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Cardiotoxicity has been an unmet need, so we are there
2 now. I think the other real issue here is immunotox.
3 There are aspects that may be being touched by other
4 initiatives, but I think starting with vasculitis and
5 then being able to branch out from there would be a
6 very good perspective to go after another unmet need
7 area and also an area that has the potential for
8 positive ramifications in a wide variety of clinical
9 disease states. So I think it would be an important
10 area to pursue.

11 CHAIRMAN DOULL: So we could -- you know,
12 our notice would be the Nonclinical Subcommittee is
13 thinking about forming a group to do this cardiac
14 toxicity and a group to do immunotoxicity.

15 DR. CAVAGNARO: I don't think we want to
16 use immunotoxicity.

17 DR. DEAN: I agree.

18 DR. ESSAYAN: What terminology would you
19 prefer?

20 DR. CAVAGNARO: I would be very specific,
21 vasculitis. Address an issue.

22 CHAIRMAN DOULL: Vasculitis?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CAVAGNARO: I just think immunotox --
2 you are right, it is a sub. It could be a sub. But it
3 is a universe of -- I mean, it would be really
4 difficult to focus in on a particular icity within
5 that, I think.

6 DR. DEAN: But Dave may be right. It may
7 have an immune component, some of it anyway. But it
8 would be better to keep it broad, I think.

9 DR. ESSAYAN: Okay, that is fine. I mean,
10 my thing is I am actually thinking of immunotoxicity
11 as the more broad umbrella term and that the
12 vasculitis would be the initial objective of that
13 group. I don't want to limit the group to a particular
14 disease state or a particular histologic finding if
15 there are other things that come up that need to be
16 pursued.

17 DR. CAVAGNARO: Except it will focus the
18 technologies a little bit, don't you think?

19 DR. ESSAYAN: Okay.

20 DR. CAVAGNARO: I mean certainly -- so it
21 is another prototype. And then afterwards, you could
22 do another part of immunotox. I just think if you cast

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the net to immunotox, it is going to be harder to get
2 the technologies refined enough to focus on the
3 question of vasculitis.

4 DR. ESSAYAN: Point taken.

5 DR. DEAN: Can we hear from Frank again
6 about the breadth of this problem of vasculitis?
7 Because I would like some assurance it is just not the
8 problem of the day. I mean, is it a broad general
9 problem for the agency?

10 DR. SISTARE: If you look at sort of the
11 number of drugs pulled off the market for vasculitis,
12 you won't find any. So if you approach it from that
13 problem or from that perspective, you will say it
14 doesn't seem to be a problem. If you ask yourself how
15 many sponsors have dropped development of a drug
16 because of findings of vasculitis, I think you will
17 see a bigger signal. If you ask the question, how
18 many -- how much hair has been pulled out in meetings
19 between sponsors and regulators over findings of
20 vasculitis, or you could sort of flip a coin and in
21 one hand stop development of the drug and in another
22 hand allow development of the drug with the perception

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that all review divisions are not equal, then it
2 becomes a real problem to the agency. So it is a
3 problem with a number of different dimensions. But if
4 you ask the question, like I say, of how many drugs
5 have been pulled from the market because of
6 vasculitis, you won't find many. If you find about
7 hepatotoxicity, you will find a lot more.

8 DR. DEAN: But doesn't that tell you we
9 are screening -- we are currently doing a good job of
10 eliminating compounds? Not putting them onto the
11 market because we have identified that problem?

12 DR. SISTARE: Well, I could point to --
13 you are identifying the problem, but we don't know the
14 clinical relevance of the problem. Okay? And we are
15 allowing drugs to go forward with evidence of drug-
16 induced vasculitis in animals, and we still don't know
17 what the clinical relevance of that problem is. So it
18 is sort of like it begs. It is begging for scientific
19 knowledge. It is really saying -- you know, we sort of
20 can be accused of sort of making arbitrary decisions.
21 They are not arbitrary. But one could argue that they
22 are not the most scientifically based. Because the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 science just isn't there. And we want to become more
2 scientifically based. And this is an area where we
3 feel that void.

4 CHAIRMAN DOULL: Jay?

5 DR. GOODMAN: If the overarching issue is
6 predicting from animals to people and this particular
7 endpoint is not a problem in people, where would you
8 propose getting the human material to make the
9 correlation?

10 DR. SISTARE: Right now, again, we don't
11 know if it is a problem in people. But there are drugs
12 which have been approved which have shown preclinical
13 vasculitic signs. So if we can establish biomarkers
14 in these animal models where we can induce toxicity in
15 a dose response fashion, and we can do that with a
16 number of different compounds with different
17 mechanisms of action or a different class and we see
18 the same biomarkers and we can link it to its an
19 endothelial cell product and we can show that. So we
20 feel good. Like troponin. We get it out of a heart
21 cell and we feel good that that is indicating
22 cardiotoxicity. If we can show the signal we get out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of a proteomic approach or a SELDI approach or
2 something like that as coming and derived from an
3 endothelial cell which is damaged, so everything is in
4 place. Now we go into the clinic -- if we go into the
5 clinic and we find patients that have been treated
6 with some of these drugs or they are on some of these
7 drugs which we have approved and we don't see some of
8 these signals, then maybe that is a good sort of way
9 to address it. If on the other hand we find some
10 patients, as Spencer has pointed out, that might be a
11 little sensitive for some set of reasons to some of
12 these things and there is some clinical sign of some
13 -- some soft signs of vasculitis, maybe they will be
14 flushed out. It is hard to say. I mean, I would say
15 Theophylline is a drug which has been on the market
16 for 40 or 50 years. If it was being developed today,
17 we would be pulling our hair out not knowing whether
18 to approve it or not because of some findings in
19 chronic studies with rats. If the safety margin is
20 right, maybe we will go forward with it. If not -- on
21 the other hand, we have people with asthma that die
22 and we don't always do autopsies and find out if they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have some sort of vasculitis going on.

2 So it is -- you are right. It is not an
3 easy question. I think we may have opportunities where
4 we are developing drugs that show vasculitis for some
5 very clinical scenarios like cancer or AIDS or
6 something like that. And we will be a little more bold
7 in moving forward in clinical trials. And maybe some
8 of these will be developed from NCI perspective, so it
9 is in the public domain. It is not a pharmaceutical
10 company. And maybe in a situation like that, we could
11 get access to clinical samples. So there is a number
12 of different ways maybe we could approach it. And I
13 don't presume to speak for an expert working group.
14 But those are just some possibilities.

15 CHAIRMAN DOULL: One thing the expert
16 group could do -- you know, some of the Subcommittee
17 has some concerns about the magnitude of that problem
18 -- would be to look at it and say we aren't convinced
19 that it is a problem that merits a big formal expert
20 group and all that kind of activity. What we can say
21 then is that this Subcommittee is considering the
22 formation of expert or focus groups or whatever who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would be looking at cardiac toxicity or the use of
2 biomarkers in cardiac toxicity and vasculitis. And
3 then if it turns out that those are -- you know, the
4 problem isn't sufficient, why then they would look at
5 the problem and could so advise the Subcommittee.

6 DR. CAVAGNARO: But how do you get an
7 expert working -- I guess I am concerned too. I mean,
8 I think there should be a real problem in the clinic.
9 I mean I think that just to help validate -- again,
10 this is a prototype to see if it works. Because what
11 we are asking is during the course of this a
12 development of all these novel technologies to bring
13 to the table. And if there are no clinical materials
14 to be able to "help to validate" --

15 DR. SISTARE: Well, there are clinical
16 scenarios of vasculitis. Dr. Essayan has pointed out.
17 It may not be drug-induced vasculitis, but there are
18 cases of clinical scenarios of vasculitis. There are
19 also instances of drug-induced vasculitis, which is
20 more of an immune causative mechanism as opposed to
21 some of the other things we are dealing with where the
22 immune may be reacting, but it is not an immune-

1 initiated -- it is not a deposition of an immune
2 complex on the vascular bed or something like that.
3 So there are a couple of manifestations. But what we
4 might be able to glean from this is at the end of the
5 day, there is an insult to the endothelial cell and
6 there is a vascular injury, and the biomarker may be
7 generated regardless of the mechanism if it is
8 proximal to the actual toxicity. So there may be
9 different mechanisms of it up-regulating some
10 biomarker. It may be an immune mechanism, for
11 example, with some of the clinical vascular disease
12 that may result in generation of a biomarker that
13 would be the same as a drug-induced biomarker. These
14 are sort of unknown until we --

15 DR. CAVAGNARO: But if you start going
16 into the different mechanisms and then there is no way
17 that you are ever going to be able to compare SELDI
18 with toxicogenomics or the proteomics. Because if the
19 mechanisms -- you know, if you are not even on a
20 similar pathway, what are you correlating?

21 DR. SISTARE: The endpoint is the
22 histopathology. The histopathology is you have the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 lesion. We want to be able to predict the onset of
2 that lesion. Right now, we can't do it until we have
3 a dead animal or -- I am not going to say the other
4 thing. So what I am saying is if we look in the blood
5 for something that results from some mechanism of
6 endothelial cell injury -- if we can detect that,
7 whether it be SELDI or whether it be 2-D gels or
8 whether it be looking at circulating lymphocytes and
9 gene expression changes as a sentinel. I don't know
10 what the answer is going to be. But if we can do a
11 better job at it than we are doing right now, which is
12 we are not.

13 DR. CAVAGNARO: So are the companies that
14 are running into these problems or these impasses,
15 don't they have individual -- their own internal -- I
16 mean, if these are drugs that they want to pursue, I
17 would expect that they would have their own internal
18 research programs to do this.

19 DR. SISTARE: What happens is they abandon
20 development of the drug. I can point to a couple of
21 places where they have abandoned development of the
22 drug. It had promise. They had gotten into a certain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 phase of clinical development and then they just
2 dropped it. Because there is no biomarker.

3 DR. CAVAGNARO: Who is going to support
4 them -- if they have dropped the drug, who is going to
5 pay for this initiative to address these -- to get
6 back to Jim's question in terms of supporting these
7 initiatives? If they have made the corporate decision
8 to drop the drug, are they going to --

9 DR. SISTARE: I think they have made a
10 decision to drop the drug because the solution is
11 bigger than any one company can solve. You know, we
12 have heard from Malcolm where they have an issue about
13 cardiotoxicity and was it a reflex action of the
14 physiology or was it a drug-induced change. And they
15 were able to solve that using a beta blocker and some
16 really good thinking. And they were able to solve that
17 because troponin was pretty far along and advanced.
18 And they could go into the literature and point to
19 some things. Vasculitis is nothing you can point to.
20 So it is sort of a big problem. And there have been
21 some efforts on the part of sponsors when review
22 divisions have gone to them and said can you give us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a biomarker you monitor. There have been some feeble
2 attempts to come up with something, but nothing that
3 really held any water.

4 DR. CAVAGNARO: So you think we would be
5 able to get these drugs that companies have dropped to
6 do this research?

7 DR. SISTARE: We have done it once with
8 the SKF 95654. So we have one example. There are some
9 other drugs, which as I say are on the market where we
10 can go to high levels and we can induce toxicity in
11 some of our animal models. I would like to see more.
12 I would like to see more chemicals so that we can --
13 you know, you kind of need a number of these entities.
14 And in talking to some of the pharmaceutical companies
15 that have dropped development of these drugs, it is
16 sort of like, well, we can't let you have it right
17 now. Ask us in six months. We may -- we are thinking
18 maybe it will come back in another entity or something
19 like that. So we are going to ask in six months. I
20 have got it written down on my calendar.

21 DR. CAVAGNARO: Yes.

22 CHAIRMAN DOULL: I think what we are

1 saying -- the first question that group would look at
2 is whether there is a problem and define that problem.
3 If nothing else comes out of that but a good biomarker
4 for endothelial damage in animals, that is useful. We
5 don't have a good -- right now a good tox marker for
6 that. We have to do some public comments at this
7 point or we are going to run out of time. Let's see,
8 I have -- the only one that I have been advised is Dr.
9 Ralph Snodgrass, who wanted to make a public comment.

10 DR. SNODGRASS: First of all, I would like
11 to thank Frank and the committee for giving us a
12 chance to tell you about what we are doing to try to
13 address some of these issues. To try to correlate in
14 vitro data with clinical trial outcomes.

15 The approach that we have taken is to try
16 to use an in vitro system that has as much biology as
17 one can get into an in vitro assay. And the concept
18 is to try to make it as meaningful to a broad range of
19 biology as one can. Such as you can start to evaluate
20 cell/cell interactions, cell/cell byproducts,
21 metabolic byproducts, all within the same assay.

22 In this very brief presentation, I want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 show you our approach to try to do that and what we
2 are setting up to develop. We are looking for
3 pharmaceutical and academic collaborators to help in
4 this process. But the system is really based on stem
5 cell technology. The technology that allows very
6 broad, complex interactive tissues. And the concept is
7 to have an in vitro system that allows you to start to
8 assess biological endproducts.

9 We actually think that there is a
10 mechanism that we can calculate in vitro therapeutic
11 index from this system. That is the dose that gives
12 you what you expect to be your therapeutic outcome
13 versus the dose that you see as a toxicity dose based
14 on this in vitro assessment. And it is that spectrum
15 or that differential that allows you to really start
16 to prioritize drugs.

17 And where we see our utility being at this
18 stage is in the early prioritization of drug leads.
19 With a goal of identifying those biomarkers that
20 allows one to prioritize drug leads and then take
21 those biomarkers on with that drug as it develops.

22 We are developing a data base, a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 retrospective data base of failed drugs and compounds
2 that have well known toxicities. And part of this
3 issue -- because we can do both human systems, rat
4 systems and murine systems -- is to start to get an
5 information data base that allows us to start to make
6 assessments about understanding better the issues of
7 species dependencies. One obvious application is
8 trying to better predict the MTD for early clinical
9 testing.

10 The system also is extremely powerful for
11 starting to understand the relevance of genetic
12 polymorphisms for drug responses. Because one can
13 actually either select and/or engineer the system to
14 have the spectrum of polymorphisms you want to test.
15 You can actually have a system where you can look at
16 how those polymorphisms impact drug responses.
17 Furthermore, I should say that because it is a high
18 throughput in vitro system, a system that allows you
19 to look at drug/drug interactions in both human and
20 animal systems, it is a very attractive possibility
21 here.

22 The biology is such that one develops a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 very complex developing structure that includes a wide
2 variety of tissues, muscle, striated and cardiac and
3 skeletal muscle, neuronal development, complete
4 spectrum of blood development, a very complex
5 organized tissue interactive system that allows you to
6 get at very complex tissue/tissue interactions. Now
7 the beauty of this is this allows us to not only go
8 from a very complex differentiation system to various
9 stages toward a monospecific type of system. And a
10 good example of that is the hematopoietic system. One
11 can take this all the way from fetal development,
12 where the cells express fetal globins and the red
13 cells are nucleated, all the way to the endstage adult
14 mature cells. The system at the various stages
15 expresses everything you can find in human bone marrow
16 or peripheral blood.

17 So the concept is to use this developing
18 biology system to start as a way of profiling drug
19 responses and to evaluate how those drugs impact the
20 development of these tissues. And again, this is all
21 within the same assay. So we can evaluate the
22 development of blood vessels. So, for example, with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the vasculitis, because this has a very strong
2 endothelial component and a very early capillary
3 development, this might be a very fruitful way of
4 looking at those compounds that effect blood vessel
5 endothelial development. But again, blood -- complete
6 spectrum neuronal development. If you look at all the
7 neurotransmitters that are being developed,
8 essentially you can find any neurotransmitter
9 population you want. And one of the goals of this
10 that I will talk about is to go from this very complex
11 tissue to, for example, a group or culture that
12 actually is 80 percent neuronal to actually the
13 endstage, where you can select for monospecific cells
14 individually from this based on particular markers
15 that you want to select for. So a very wide biology.

16 The concept then is to use this biology
17 and to take advantage of the wealth of information
18 that is already sitting on the shelves. In some
19 situations, we can't get to it because they are drugs
20 that have failed and it is that issue we have been
21 talking about -- getting access to some of these
22 failed drugs. And to identify what the profiles are of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these drugs in this system. And what we are doing at
2 VistaGen is actually comparing and contrasting using
3 the cyrogen proteomics profiles with the gene
4 expression arrays that we are doing in collaboration
5 with NIEHS. So we actually want to start to develop
6 a system where we compare and contrast the value of
7 these profiles we get from both the proteomics
8 analysis and the cDNA analysis.

9 It is these profiles that we think provide
10 the clinical link. The link between an in vitro
11 system and the clinical outcome. And the way we see
12 doing that is to put history to work. I mean, take
13 advantage of retrospective studies in a complex series
14 of drugs that have been known to show liver toxicity
15 in human beings in preclinical studies and develop a
16 surrogate marker set of those profiles, such that you
17 can identify the markers that are characteristic of
18 that class of drugs. And we are in the process of
19 doing this with -- certainly the first collaboration
20 with NIH is on liver tox. We have an ongoing
21 collaboration to look at neuronal tox and to look at
22 kidney tox and to actually compare now the profiles we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 get out of this animal system and human system and
2 compare it actually to human nephronal isolated cell
3 lines.

4 So really to start to look at the
5 comparison of the in vitro profiles that one gets from
6 both animals and human systems. With the ultimate goal
7 at least on the prioritization side is to have these
8 internal reference standards, such that now when we
9 get unknown compounds, the profiles of that then on a
10 predictive basis can be slotted. Is it likely that
11 that new compound will fall into any of these toxicity
12 classes? And if so, one would deprioritize that for
13 development.

14 Because of time, I won't show you the
15 other side of this. One could as well talk about the
16 efficacy pathways. Talk about the pathways that we
17 know to be important in effective drugs and classify
18 surrogate markers that actually are representative of
19 efficacy pathways. So you can start to actually
20 identify hits early on based on what pathways they
21 begin to hit.

22 So that is just a very brief overview of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what we are trying to do. We are a new company. We are
2 actually involved in collaborations, as I mentioned,
3 with NIH and with a variety of academic groups.
4 Cyphergen and VistaGen are working together to develop
5 better proteomics systems, more refinement of the
6 profiles and more understanding of what those profiles
7 mean. We are in collaboration with Tripost to
8 understand the issues around trying to identify in a
9 very complex data set that has a high degree of
10 variability because it is a biological system, what
11 are the really critical surrogate markers that
12 correlate those very complex profiles with the real
13 clinical endpoints. And we are certainly looking and
14 talking to a variety of pharmaceutical partners on
15 getting access to compounds and collaborating on the
16 compounds that they have found to fail, either in
17 preclinical development or in the clinical
18 development, with the goal of trying to identify
19 markers that allows one to not make those same
20 mistakes again. And we have faced the issue of getting
21 access to those types of compounds that have failed.
22 Thank you very much.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 CHAIRMAN DOULL: Thank you, Dr. Snodgrass.
2 Does the Subcommittee have any questions at all? Are
3 there other -- yes, Gloria?

4 DR. ANDERSON: Yes. You said you have
5 faced the issue of getting access to the compounds
6 that have failed. What do you mean by that?

7 DR. SNODGRASS: It is very difficult to go
8 to a pharmaceutical partner at this point and get
9 ready access to compounds that are certainly in
10 development. That is almost impossible. But even
11 that, finding their willingness to give us compounds
12 that they have actually terminated and put on the
13 shelf. It is an issue of getting enough of these
14 internal standards such that we can start to identify
15 the surrogate markers. And I think that from our
16 perspective, one of the tremendous benefits would be
17 if there was a more industry-wide push to make those
18 compounds available such that we could glean
19 information from those to avoid or at least predict
20 those type of mistakes in the future.

21 CHAIRMAN DOULL: You are talking about a
22 data base of adverse effects. I think you and Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Farr and me need to get together and consolidate some
2 of our data bases and maybe put them on the Internet.
3 Yes, Joy?

4 DR. CAVAGNARO: Probably around 20 years
5 ago, I think there was a move to look at these
6 embryonal stem cells for predicting in vitro
7 teratology. Is there -- is that no longer being done?
8 Or is there anything that you can gain from those
9 studies with some of those compounds?

10 DR. SNODGRASS: The problem with those
11 studies is they were ahead of their time, in the sense
12 that they were using straight cytotoxicity
13 essentially.

14 DR. CAVAGNARO: Right. Yes.

15 DR. SNODGRASS: Now the technology
16 confluence has come together where you have the tools
17 to real start to assay in a very complex way.

18 DR. CAVAGNARO: Oh, yes, sure. It is much
19 more sophisticated.

20 DR. SNODGRASS: So they were just ahead of
21 their time.

22 CHAIRMAN DOULL: They also had some

1 causality issues that were involved in all of that.
2 You know, what is the real cause.

3 DR. SNODGRASS: Just to say a little bit
4 about that. For teratology, one of the critical --
5 retinoic acid is well known for teratology. The
6 biology of retinoic acid, at least in animal models,
7 is exactly the same biology that it has in this
8 system. So as a biology tool, it replicates exactly
9 what one finds in animals in terms of the biology of
10 the retinoic acid. Thank you very much.

11 CHAIRMAN DOULL: Are there any other
12 public comments? Well, gee, that will help us time-
13 wise. Are we caught up? We are almost on schedule,
14 Jim.

15 DR. TENNANT: John, if I could -- just for
16 a point of information. The NIEHS, as an outgrowth of
17 a meeting that was held in November on biomarkers,
18 involved FDA and some of the drug companies, drug
19 safety assessment people and other organizations has
20 led to the development of an RFA that was approved by
21 the extramural council two weeks ago. So there will
22 be an RFP announced fairly soon for program project

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 grants for preclinical drug safety assessment --
2 surrogate biomarkers for preclinical drug safety
3 assessment.

4 CHAIRMAN DOULL: I might add that in the
5 material which you sent to us, Jim, Greg Downing had
6 put together some listing of some of the NIH -- some
7 of their programs that were support kind of programs.
8 Just so that it helps, I think, to identify sources of
9 funding.

10 DR. MacGREGOR: Could I just ask a
11 question about a previous discussion? I am somewhat
12 unclear whether we did or did not endorse the
13 vasculitis group.

14 DR. DEAN: Could I still voice reservation
15 about this particular topic? I would like to be more
16 convinced that this is not a maladies du jour, but
17 that we really have strong evidence in the clinic. We
18 have people in the clinic we could study and then go
19 back to the animals and model. I hate to disagree
20 with Frank. But I mean if you weigh this against
21 hepatotoxicity or other things where we still poorly
22 predict from animals, then this seems to in my mind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fall below the radar screen in terms of the potential
2 problem.

3 One of the issues I would like to raise is
4 that are we sure that the initiative that ILSI has
5 with hepatotoxicity -- will they get into the
6 validation or evaluation or comparison of methods?
7 Because I know there is the workshop that people are
8 talking about that is planned. But is that really a
9 do work or is it a discuss the topic kind of a plan?

10 DR. SISTARE: The ILSI effort -- there is
11 a lot of work being done. In the last meeting on
12 February 29 and March 1, there was a real
13 solidification of a strategy to move forward and the
14 focus to look at the power of genomics. What it can
15 do in terms of giving a fingerprint of toxicity. So
16 there is a time dependency and a dose dependency
17 looking in at target organs. Your question of whether
18 there is going to be, again, sort of a multi-modal
19 analysis. Clearly, the focus is on genomics. But like
20 I say, I think in the nephrotox, we have successfully
21 lobbied to look at other omic approaches, including
22 NMR and protein analysis of other body fluids and that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 kind of thing. Not necessarily target tissues, but
2 accessible biofluids. So those will be incorporated.

3 The hepatotox, I am not on that breakout
4 group. But the initial phase -- and it is not clear
5 where the ILSI effort is going to end. They know where
6 they are going to start. And at the end of the day,
7 once they evaluate the data, the decision will be made
8 I think at that point of how much further do they want
9 to go on. So they may end up with just two drugs,
10 clofibrate and methotrexate, and then say we
11 succeeded, we are happy, and we are going to go home
12 and we are all going to work independently. On the
13 other hand, they may say we have succeeded, we would
14 like to look at some more hepatotoxic drugs, and then
15 they can sort of expand the portfolio. I don't know.
16 I can't predict where it is going to go. So I don't
17 know the answer to that.

18 DR. MacGREGOR: Maybe another point worth
19 making is the current plan is those two drugs in
20 multiple gene chip platforms. So no focus on
21 assessable biomarkers.

22 DR. SISTARE: Yes. Not necessarily, but I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 wouldn't rule it out. And I think if this committee
2 would so suggest it -- I don't know if it is outside
3 the domain of what they could or couldn't do -- but if
4 they strongly suggested that we set up a group that
5 dovetails and works very closely with ILSI and expand
6 the whole other avenue. You know, assessable
7 mononuclear cells or assessable other biofluids,
8 proteomics or whatever. That could be an effort, and
9 it would be a real conservation of resources and a
10 tremendous opportunity to look at the same endpoint
11 with a lot of different modalities. That makes perfect
12 sense.

13 DR. DEAN: I would be much more
14 comfortable with that approach. Because I know that
15 a lot of that is a paper exercise. I submitted a paper
16 on the immune aspects of liver injury. I mean, it is
17 multifactorial. And it would lend itself to multiple
18 approaches. So maybe that would be -- and it is a
19 clear unmet need now in terms of the predictivity of
20 the animal models for human liver injury. Maybe that
21 is a better way to go.

22 CHAIRMAN DOULL: Yes, David?

1 DR. ESSAYAN: Well, I mean if that is the
2 way the decisions are going, toward hepatotoxicity,
3 that is one issue. But what I would raise pertaining
4 to vasculitis -- and as I look around the table, there
5 are fewer M.D.'s than Ph.D.'s, and as a clinical
6 immunologist, board certified, who actually takes care
7 of these patients, I'd like to add the thought that
8 clinically vasculitis can be a challenge to identify.
9 It falls on a continuum. The obvious dermal
10 vasculitis where you have very classic Harrison's
11 Textbook of Medicine type lesions, that is easy. But
12 a patient who comes in with another illness and has
13 some concomitant changes in mental status and you are
14 trying to figure out whether this patient has CNS
15 vasculitis, presents issues of diagnosis and issues of
16 potential toxicities of therapies without being able
17 to have an easy access to tissue, where I think
18 biomarkers of vasculitis might be very beneficial. So
19 there is an unmet medical need for that. And as far as
20 having the clinical scope of the disease well laid
21 out, I don't think the clinical scope is well laid out
22 because I don't think the clinicians even have an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 accurate idea of what they are dealing with. In fact,
2 it would be my expectation that working from the
3 animal data that we would be able to detect patients
4 who may have epi phenomenon of vasculitis that indeed
5 turn out to have it molecularly and then could have
6 that proven histologically. We may be able to
7 generate a whole new level of diagnosis and
8 intervention in these patients that we don't even --
9 we can't even conceptualize at this point.

10 CHAIRMAN DOULL: Jim?

11 DR. MacGREGOR: With regard to the
12 hepatotoxicity issue, I think we should also note that
13 in addition to ILSI, FDA and PhRMA are jointly
14 sponsoring a large workshop in the early fall -- I
15 guess the second week of September or something like
16 that -- on this issue. So in a way for us to spin out
17 an expert group now might be duplicative of that
18 effort which is going to bring together experts to ask
19 what should we be doing in that area. That has been
20 in the planning from the highest levels of both FDA
21 and PhRMA. So from that perspective, it might be well
22 to wait until after that workshop to decide what to do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in the hepatotox area. Not to say that I don't agree
2 that it is a good idea to try to interface with other
3 initiatives. I do think that is a good idea.

4 CHAIRMAN DOULL: Actually, at the last
5 meeting we had the people who were from that ILSI
6 initiative here. Denise Robinson, in fact, talked to
7 us a little bit about what is going on with that
8 committee you will recall. Jay?

9 DR. GOODMAN: Hearing what David just said
10 makes me even more reluctant -- more reluctant to
11 suggest that this committee become involved with the
12 vasculitis issue. Not that it is not a real issue and
13 not that it is not important. But my concern is that
14 there just would not be the human material to make the
15 appropriate correlations with.

16 CHAIRMAN DOULL: Yes. Let me bring up one
17 point. And that is that this committee meets
18 infrequently, and the problem is it is difficult for
19 us to get anything going if we put everything on hold
20 so to speak. So that I am reluctant --

21 DR. CAVAGNARO: I think we have made
22 progress. I think we have made progress. We proposed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to have at least this cardiotox committee, which we
2 are not going to have a subcommittee to --

3 CHAIRMAN DOULL: But what I am suggesting
4 is we --

5 DR. CAVAGNARO: No. We were going to have
6 a subcommittee to decide what we were going to do.
7 But now at least we have something and we know what
8 they are doing. So I think that is much progress.
9 That is great.

10 CHAIRMAN DOULL: So the question then is
11 vasculitis. Do we want to put that on hold or do we
12 want it?

13 DR. ANDERSON: Honestly, I think that is the
14 least we could do.

15 CHAIRMAN DOULL: I can't hear you, Gloria.

16 DR. ANDERSON: That is the second one
17 under tier 1. And he must have had some reason for
18 recommending this. And I would go for leaving it with
19 the group.

20 CHAIRMAN DOULL: So moved?

21 DR. ANDERSON: Yes.

22 CHAIRMAN DOULL: So moved. Yes, Frank?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SISTARE: I'm not sure about the rules
2 and stuff here. But --

3 CHAIRMAN DOULL: We have no rules. And
4 Kimberly isn't here, so we are not sure that we are
5 not violating.

6 DR. ESSAYAN: Igor will stop you if you do
7 the wrong thing.

8 DR. SISTARE: I think we might be able to
9 glean something if we ask people in the audience, who
10 I know are representing a number of the drug
11 developers. Is this fair to do?

12 DR. ANDERSON: Mr. Chairman, there is a
13 motion on the floor, I think.

14 DR. SISTARE: You can't do that?

15 CHAIRMAN DOULL: I don't know. Can we?

16 DR. ANDERSON: There is a motion on the
17 floor.

18 DR. CERNY: The Chair is allowed to ask
19 for anything that the Chair wants to do. So the Chair
20 -- Kimberly isn't here, so I can say this. If you want
21 to get some input from folks outside, you can sort of
22 say this is your open public hearing all over again.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ANDERSON: Mr. Chairman, are you
2 finished with my motion?

3 CHAIRMAN DOULL: No, I heard your motion.
4 I guess what I thought we were saying before was we
5 could give the group the authority to explore the
6 issue of vasculitis.

7 DR. ANDERSON: Yes.

8 CHAIRMAN DOULL: If it turns out there
9 wasn't a problem, then they would say we don't think
10 that justifies a big huge effort and forget about it.
11 But now what I hear you saying is maybe we shouldn't
12 even touch that.

13 DR. DEAN: I will reverse my position.
14 That is a reasonable approach.

15 CHAIRMAN DOULL: Okay, then let's deal
16 with Gloria's motion, which is that we include
17 vasculitis as a topic for a focus group.

18 DR. ESSAYAN: Second.

19 CHAIRMAN DOULL: Second.

20 DR. DEAN: For feasibility?

21 CHAIRMAN DOULL: For feasibility. We are
22 going to reword -- so it will appear in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 announcement appropriately. Okay, Jay?

2 DR. GOODMAN: Who do you propose for this
3 particular focus group?

4 CHAIRMAN DOULL: You mean in terms of
5 members?

6 DR. GOODMAN: That is right?

7 CHAIRMAN DOULL: We are going to do it
8 with that same procedure. We are going to say in some
9 notice someplace that the Subcommittee is thinking
10 about establishing focus groups to look at problems,
11 one of which would be biomarkers for cardiac damage.
12 Another might be biomarkers for vasculitis. And that
13 we welcome suggestions from all the appropriate groups
14 as to who should be on these committees.

15 DR. GOODMAN: If you form a focus group
16 like that, I think it would be composed of people --
17 I think -- who would tend to be in favor of this as
18 opposed to people who would volunteer saying, no, I am
19 not interested. So I think if you are going to form
20 the focus group --

21 CHAIRMAN DOULL: That is pretty likely,
22 isn't it.

1 DR. GOODMAN: Then I think it really means
2 moving ahead with this.

3 CHAIRMAN DOULL: Yes. But I think this
4 committee has the option, of course, to balance out
5 all those folks with a list of people that we think
6 would give good solid argument.

7 DR. DEAN: Well, I could volunteer to be
8 on the focus group to counterbalance.

9 CHAIRMAN DOULL: Well, I think we can rely
10 on the judgment of the people that would be involved
11 in this to keep us out of trouble. Okay, we are about
12 ready, I guess, to move on --

13 DR. GOODMAN: Excuse me, are we voting?

14 CHAIRMAN DOULL: Oh, well, I thought that
15 was consensus. Do you want to vote? All those in
16 favor, raise your hand.

17 (Vote taken.)

18 CHAIRMAN DOULL: That does it. We did it.
19 Okay, are there any other comments from the public?
20 Yes, Steve? Dr. Farr, why don't you use that
21 microphone right there.

22 DR. FARR: I have no particular penchant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for one disease or the other, so I am not arguing for
2 vasculitis or appendicitis or pancreatitis or anything
3 like that. I would only recommend as someone who has
4 spent a number of years trying to understand the
5 relationship between these molecular endpoints or at
6 least some molecular endpoints and important and
7 specific toxic manifestations, that if at the same
8 time you are, if you will, validate -- I know it is a
9 loaded word -- new technology and find new biomarkers
10 for an endpoint, it is a whole lot easier if you pick
11 a quantifiable, readily identifiable, you've got it or
12 you don't got it endpoint. Again, I don't have any
13 particular interest in vasculitis or any of the other
14 ones. That might be more difficult. That is the
15 reason a lot of people look at peroxisome
16 proliferation. It is not really relevant for humans we
17 don't believe, but you can count the damn things.
18 That is something to think about.

19 CHAIRMAN DOULL: Good point. I am glad to
20 hear you say that peroxisome proliferation has nothing
21 to do with people. It just kills rats. Yes?

22 DR. APOSTILU: Alex Apostilu, toxicology

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 consultant. I was wondering -- I heard different
2 approaches that you can follow and they seem all to be
3 very good and wise. Except they start from different
4 points and I don't know where you are going to meet
5 altogether. I was wondering if the group would make
6 its work easier if you put a list of criteria to what
7 should be chosen and what the strategy would be. Like,
8 for instance, epidemiology, clinical significance,
9 information availability, clinical and preclinical,
10 feasibility, expertise ability, cost, et cetera. And
11 certainly it is better -- you can make a better list
12 if you get altogether. That just was an example, and
13 I was wondering if that makes it easier for you to end
14 up with.

15 CHAIRMAN DOULL: Yes. We had some input in
16 fact in the last meeting that I think would be helpful
17 to -- we can include that in the statement about these
18 are the kinds of things that we are thinking about in
19 terms of criteria for these groups to look at.

20 DR. ANDERSON: I would certainly hope you
21 would include the objectives that we are trying to
22 achieve that we are always given each time. So that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the people who will be advising us will understand
2 what we are trying to achieve.

3 CHAIRMAN DOULL: That is a good idea. And
4 we need to do that, Jim. The things you have in one
5 of your slides need to be part of that announcement.

6 One other thing I guess which we need to
7 clarify is if the -- if we send out this announcement
8 and get suggestions about people who could contribute
9 to this effort and so on, then this committee really
10 kind of has the responsibility for appointing those
11 people, I gather, or advising somebody that these are
12 our recommendations as to who would be on this task
13 force. So we need a mechanism to get that done. And
14 rather than have a full scale meeting where we all get
15 together and talk about these names again, we are
16 thinking maybe we could do this by phone. And I don't
17 know whether it is even legal. So I guess we would
18 have to explore that. But if it is feasible, let's not
19 have to have a full scale meeting just to agree on who
20 these experts would be. And you guys can explore that
21 and let us know how we get that done.

22 I guess we are pretty much on time, right?

1 So we will get into the other area that we are going
2 to be talking about.

3 DR. GILL: Excuse me, Mr. Chair, while we
4 are on the other subject of soliciting nominations.

5 CHAIRMAN DOULL: Right.

6 DR. GILL: Do you know when you will be
7 putting that notice out, and will you try to do that
8 before your May meeting?

9 CHAIRMAN DOULL: Yes. My thought was we
10 need to put together this notice, and I guess -- does
11 it have to go in the Federal Register? I guess it
12 does. So we would put together some notice to be in
13 the Federal Register, and I don't know how long it
14 takes before all that happens. How long, Jim, would
15 it be before we could put together some kind of a --

16 DR. MacGREGOR: I don't know the answer
17 exactly. Do you know, Igor, how long does it take to
18 get a Federal Register notice out?

19 DR. CERNY: Yes, usually it takes probably
20 a few months. First you have to write it up and then
21 you have to get it printed up. So it takes a few
22 months.

1 CHAIRMAN DOULL: So we are going to do
2 this with measured deliberation.

3 DR. MacGREGOR: One other thing that was
4 discussed that I might just mention, because I think
5 it would be a good idea to do. It was that in
6 addition to announcing in the Federal Register, we
7 would actually send directed letters to known parties
8 of interest such as the disciplinary societies
9 involved in these areas as well as the participating
10 organizations like PhRMA and BIO and people from
11 various universities in fact are involved. Ask them
12 to solicit.

13 CHAIRMAN DOULL: Yes. I think since it is
14 clear what we want to do and that we in fact are going
15 to do it, I think those of us in the room here should
16 begin to convey this to our associates and people that
17 might have good suggestions and so on. Because we
18 want to move ahead on this. There is no sense in
19 sitting around for a year or two.

20 DR. MacGREGOR: I think the solicitations
21 can go out from FDA. So for those of you that are on
22 the committee, if you have places that you would like

1 letters to be directed, if you let either me or Dave
2 Morley know that, we will arrange for that.

3 CHAIRMAN DOULL: Okay. The other issue
4 that we need to talk about is PET scanning and its use
5 as a biomarker or its use in nonclinical uses in
6 evaluating drugs. And we are going to start off with
7 Dr. Cherry. He is going to talk about micro-PET
8 experiences with small animals.

9 DR. COLLINS: Dr. Cherry is on the faculty
10 of the Pharmacology Department at UCLA, and he is also
11 the Associate Director of the Crump Imaging Institute
12 at UCLA. He is also part of the leadership of the
13 Society of Nuclear Imaging and Drug Development. And
14 at our last meeting in December, we heard from one of
15 the past Presidents, Dr. Richard Frank. So, Dr.
16 Cherry?

17 DR. CHERRY: Thank you very much for the
18 invitation to speak here. First of all, let me tell
19 you what I am not. Although I am a faculty member in
20 the Department of Pharmacology at UCLA, I know very
21 little about pharmacology. My background is actually
22 in imaging physics, and I have mainly been involved in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 developing imaging technology. So I just wanted to
2 give you that disclaimer before we start so you have
3 some idea as to what kind of questions I might be able
4 to answer and what kind of questions I might not be
5 able to answer.

6 So what I am hoping to do today is just to
7 quickly run through the fundamentals of PET, just very
8 quickly to remind you how it all works. And what I
9 really want to focus on today is the use of PET for
10 small animal imaging. And recent developments in
11 technology that have allowed us to start to use PET
12 scanning in mouse and rat models of human disease.

13 I will show you some of the applications that we have
14 been using the system for at UCLA in the last two to
15 three years. And then I will close up with some
16 comments about where PET imaging in the area of small
17 animals may be going in the future.

18 So here is the one physics slide in the
19 whole talk. I just remind you as to how PET works. We
20 use radionuclides that are positron emitting. As the
21 name implies, that means when they decay, they emit a
22 positron. The positron is the anti-particle to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 electron. When matter and anti-matter meet up, they
2 annihilate. They turn their mass into energy. This
3 is a great example of Einstein's $E=MC^2$ equation. You
4 turn the mass M into energy E . And the energy comes
5 out in the form of high energy gamma rays. And these
6 gamma rays have an energy of 511 KeV. That is about
7 a factor of 10 higher than diagnostic x-rays, to give
8 you an idea of the energies we are talking about. So
9 this is very penetrating radiation. So it easily gets
10 out of the body. And then we can detect these gamma
11 rays externally. The most important point is that the
12 two gamma rays are always emitted 180 degrees apart.
13 So we have a ring of detectors externally around the
14 subject and we detect gamma rays on opposite sides
15 simultaneously in time, and we know that the decay
16 took place somewhere along this line.

17 We collect many millions of events in a
18 typical PET scan from these decaying nuclei, and then
19 we can reconstruct using mathematical methods cross
20 sectional images which reflect the concentration of
21 the radionuclide in tissue. And the reason that is of
22 interest is that usually we have tagged a compound of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 biological relevance with this radionuclide, and so we
2 can thus infer the regional concentration in absolute
3 units of the labeled compound that we are actually
4 interested in.

5 There are many different positron emitting
6 radionuclides available to us. Here is a partial
7 listing. The ones most frequently used are the top
8 four here. You will notice we have positron emitting
9 radionuclides of carbon, nitrogen and oxygen. This is
10 wonderful because these elements are obviously
11 abundant in molecules of biological relevance. So
12 that means that we can often directly substitute the
13 stable atom with a positron emitting one and track the
14 natural compound in vivo.

15 Fluorine 18 is also very useful. It has
16 a somewhat longer half-life. You will notice the
17 first three here have pretty short half-lives, ranging
18 from two minutes up to 20 minutes. Fluorine 18 is
19 closer to 2 hours. That gives the chemists a bit more
20 time to actually synthesize labeled compounds so you
21 can get into somewhat more complex chemistry with
22 Fluorine 18.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 There are also a bunch of longer-lived
2 radionuclides, and also some that are generator
3 produced, which means they have long-lived parents.
4 So you have a generator that sits in your institution
5 constantly decaying into the positron emitting
6 radionuclides so you have a readily available source.
7 These short-lived ones at the top here generally have
8 to be produced by an on-site biomedical cyclotron.

9 The real strength of PET lies in the
10 chemistry. The fact that we can label just about any
11 compound of biological interest that you might be
12 wanting to study. This is just a very small partial
13 listing of some of the compounds that have been
14 synthesized with positron emitting tags, and it shows
15 the different biological processes we can measure,
16 going all the way from very simple things like blood
17 flow, tissue perfusion to substrate metabolism, both
18 glucose metabolism and oxygen metabolism, protein
19 synthesis, enzyme activity. Of interest here, of
20 course, is being able to track drugs in vivo. And
21 then we can look at neurotransmitters. And more
22 recently we have been developing assays for measuring

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 gene expression in vivo by PET.

2 Another key point is because radioactive
3 assays are very sensitive, we can image very low mass
4 levels of these compounds. So we are really not
5 perturbing the biological system we are trying to
6 study. These are truly tracer kinetic experiments.

7 Now PET for humans has been around for
8 some 25 years now, being refined over that time. We
9 now in the human get beautiful images. On the right
10 side here, these are cross sections through the human
11 brain going from the top here down towards the bottom
12 of the brain using a glucose analog, fluoridioxi
13 glucose. So you are essentially looking at patterns of
14 glucose metabolism in these images. Darker areas here
15 represent areas of increased glucose metabolism. This
16 is a typical whole body human PET scanner, and there
17 are now a fairly large number of these distributed
18 throughout the world. And particularly as PET is
19 finding more of a clinical role in recent years, there
20 has really been quite a large proliferation in the
21 number of PET centers for human imaging.

22 I just wanted to emphasize that PET, of

1 course, is a kinetic technique. We are not taking
2 static snapshots of what is going on. We are actually
3 watching distribution of radio-labeled tracers over
4 time. This shows a single slice through the brain.
5 As a function of time following the injection of
6 Fluorine 18 labeled fluorodopa. This is a precursor
7 for dopamine. So what you are seeing is initially
8 delivery through the vascular system. You see high
9 uptake in the vessels here. You see it being
10 distributed into the brain over time. And then
11 gradually you are getting specific uptake in the
12 striation as you would expect.

13 And then typically what we would do is we
14 would go in and analyze so-called time activity curves
15 that show the time course of the labeled compound in
16 different structures. We can then create models that
17 relate these time activity curves to specific
18 parameters of interest. For example here, the
19 conversion of fluorodopa into fluorodopamine. And we
20 can actually measure these rate constants. And this
21 is typically the way in PET we would go about
22 measuring the rate of a specific biological process.

1 And again it is important to emphasize that we can do
2 this quantitatively.

3 So that is the introduction to PET. But
4 what I really want to focus on today is the ability
5 now to start to use PET in small animal models. And
6 first of all, just to set the motivation for this,
7 although I suspect I am somewhat preaching to the
8 converted here. Of course the reason we would like to
9 move PET into small animal imaging is to give us a way
10 for studying these animal models in vivo. Because we
11 all know that in vivo is often not the same as in
12 vitro. These non-invasive imaging technologies are
13 non-destructive. That means we can study the same
14 animal repeatedly. It means that each animal can
15 serve as its own individual control. Imaging
16 technologies generally allow you to survey the entire
17 animal very efficiently. There is the possibility of
18 rapid in vivo screening. I know that rapid screening
19 and high throughput screening has been mentioned I
20 think in every talk today, so I had to mention it as
21 well. People often think that imaging is not a high
22 throughput modality. But I want to try to show you at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 the end of the talk that that may not be true.

2 Probably one of the most important things
3 in the context of this kind of meeting is that imaging
4 provides you with a bridge from animal studies up into
5 human studies. And of course we hope that ultimately
6 we can show that imaging can lead to making better
7 decisions about drug candidates sooner.

8 Now there is a bewildering array of
9 different imaging technologies available to you. These
10 are some of the major technologies on this slide that
11 have been and are being used in small animal imaging
12 today. You will notice that most of the traditional
13 medical imaging technologies such as x-ray, CT, MRI,
14 ultrasound and PET/SPECT, all those technologies have
15 not been developed for small animal imaging or are in
16 the process of being refined for small animal imaging.
17 From the other end, the optical folks who are used to
18 looking at cell culture and tissue sections have moved
19 their technologies up into in vivo imaging as well, at
20 least in the mouse using bioluminescent probes and
21 infrared contrast agents. So this gives you now a
22 broad spectrum of techniques that can address

1 questions at different levels.

2 I think the big advantage of the medical
3 imaging modalities is that you can do the same
4 experiments that you do in an animal in a human. That
5 is often difficult with the optical technologies
6 because light doesn't travel very well through tissue,
7 at least not through large amounts of tissue. And
8 depending on what you are interested in, you can move
9 from very high resolution anatomical techniques to
10 techniques like PET that give you information on
11 molecular function and metabolism.

12 So in terms of choosing which imaging
13 technique, there is no one answer to which imaging
14 technique is best. It is a question of what you are
15 asking, what you want to see and what you want to
16 measure. So you really have to define that. You have
17 to define the spacial scale that you want to measure
18 things on, the temporal scale, the sensitivity you
19 require. And of course it does to a certain extent
20 depend on availability as well.

21 So at UCLA we have been focused in the
22 last four or five years on trying to adapt a human PET

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 technology and really push it for small animal
2 imaging. Our goals were several-fold. First of all,
3 to dramatically improve the spacial resolution.
4 Because a lot of people think that PET images in
5 humans are pretty fuzzy, and now we are going to try
6 and take this down to a mouse. So we have got to
7 really improve resolution.

8 Another major thing that people think
9 about PET is that it is very expensive. And clinical
10 scanners, it is true, tend to range in the \$2 million
11 kind of price tag. So we wanted to drop that cost by
12 at least an order of magnitude in trying to develop
13 these systems. We also wanted to make them compact
14 and user friendly, the kind of thing that a biologist
15 could actually use in their lab.

16 Now we are not -- we haven't achieved all
17 those goals yet, but we have taken, I think, the first
18 steps in those directions. So this is the micro-PET
19 scanner, which my lab developed and built three years
20 ago. It has been in routine use by many different
21 biologists at UCLA for just over two years. We have
22 done something like 2,000 animal studies in that time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So we have got a lot of experience now in knowing some
2 of the questions that it can address and some of the
3 questions that it cannot address. I will tell you
4 demand for time on this machine is just incredible. We
5 are also entering into a number of pilot studies with
6 pharmaceutical companies to test some ideas of how you
7 might use this kind of device in the drug development
8 process.

9 Now although I am going to show you data
10 from our system at UCLA, I want to make you aware that
11 we are not the only people doing this. There is a
12 large worldwide effort in developing these small
13 animal PET scanners. I have listed here most of the
14 other efforts that I am aware of that have really
15 resulted in practical imaging devices so far. Just to
16 make you aware that we are not doing this in
17 isolation.

18 Now this slide is here to illustrate the
19 improvements we have made in spacial resolution with
20 these small animal PET scanners. This is actually a
21 test object that has been imaged. But on the right,
22 you see the image you get on one of your \$2 million

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 clinical PET scanners of this test object. Then we
2 have moved it into our micro-PET scanner and imaged
3 the same object with the same amount of radioactivity
4 in it, and you can clearly see the vastly improved
5 definition. It is about an order of magnitude
6 improvement volumetrically. And in fact the
7 volumetric resolution here is about 6 microliters. So
8 that is about where we are with PET imaging technology
9 today.

10 So let me show you a little bit about some
11 of the applications and how it is being used and show
12 you some images to give you a sense of what you can
13 and can't see. These are whole body studies in the
14 rat. They don't come out too well here because of the
15 brightness of the projection. But just to show you
16 that we can do whole body surveys in rats and mice to
17 look at the distribution of radio-labeled compounds.
18 In this case it is fluoridioxo glucose again. So we
19 are looking at glucose metabolism. You see the heart
20 here very clearly and the brain here. These are the
21 herdarian glands, these two very intense areas here
22 and then excretion into the bladder. So this is an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 overall survey in an animal to show biodistribution.

2 We now home in on some of the specific
3 organs in the rat. We will start out with some -- I
4 will show you rat studies first and then we will move
5 into the mouse in a few moments. So these are cross
6 sectional images at the level of the heart in the
7 rate. Again, we are looking at glucose metabolism.
8 You see the left ventricle very nicely outlined here.
9 These are not gated studies. These images are
10 acquired while the heart is beating. So you see
11 clearly illumination of the myocardium. In the next
12 slide I can show you how we actually go about using
13 that in our animal models.

14 These are three studies in the same rat
15 done in the same afternoon, and we are using ammonia
16 labeled with N13 as our tracer. And this gives us a
17 measure of myocardial perfusion. And you see different
18 views of the heart here. Short axis images, long
19 axis, vertical long axis and horizontal long axis.
20 And these plots on the bottom are the so-called polar
21 maps, where we have taken the three-dimensional cone
22 shape of the heart and squashed it down onto a 2-D

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 plane, so you can visualize the information from the
2 entire heart in a single image. And you can see in the
3 baseline condition in this rat, we have fairly uniform
4 profusion in the heart. You see a nice ring for the
5 cross sections through the myocardium here.

6 We then occlude one of the coronary
7 arteries in the rat. You see reduced blood flow to
8 that region of the heart. Again, you see that area
9 very clearly on the polar map here. And then in this
10 case, we release the occlusion fairly promptly and
11 blood flow recovered in that region essentially to
12 normal levels. So now we have a model where we can
13 study the same rat repeatedly over time during the
14 course of intervention.

15 Moving to the rat brain, that gets a lot
16 more challenging. The size of the structures are a
17 lot smaller. And obviously here is where the limited
18 resolution of PET is going to start to come into play,
19 and we have to consider what kinds of questions we can
20 realistically address with this kind of tool.

21 On the bottom row here are coronal
22 sections through the rat head, images obtained by PET,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 non-invasive images. And then we excised the brain at
2 the end of the study and did autoradiography. Just so
3 you can compare what we can see in the images.

4 So in the PET images, we can clearly see
5 the cortical rim in the rat brain. We can identify
6 the thalamus quite nicely and we can see the striata.
7 So we can see the major structures in the brain. But
8 obviously we are never going to approach the very fine
9 detail that you can see with invasive techniques such
10 as autoradiography.

11 Now so far I have shown you a lot of
12 pretty pictures, and it is very easy to impress people
13 with pictures. But a key point of PET is that it is a
14 quantitative tool. And we really need to validate that
15 we can quantitatively measure things in these small
16 animal models if it is really going to be a useful
17 tool for biologists and potentially for the
18 pharmaceutical industry.

19 So we have been validating a number of
20 different applications, and I am just going to show
21 you one example here. But this is the same model that
22 you saw on the previous slide. So looking at glucose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 metabolism in the rat brain and comparing it with the
2 gold standard, which is 2-D oxyglucose and
3 autoradiography based on the Socoloff method. And you
4 can see here the correlation between the
5 autoradiographic results and the quantitative PET
6 results are extremely good. And here in individual
7 structures again. You see a slight underestimation in
8 the PET measures, and that is to be expected because
9 we have a lot worse spacial resolution, and that leads
10 to a slight underestimation of the glucose metabolism
11 in small structures. But nonetheless, we really have
12 an excellent correlation, and you would have really no
13 concerns in terms of using micro-PET, at least in the
14 structures that I outlined on the previous slide, for
15 quantitative and non-invasive measures.

16 This is example as to how our group is
17 using this at UCLA. This is a model of traumatic brain
18 injury in the rat. This is the same rat studied four
19 different times and these are at three different
20 levels in the brain -- coronal sections again. And so
21 here is the baseline scan. Fluid percussion injury
22 was then applied to the left side of the brain. You

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 see two days after the injury -- you see a reduction
2 in glucose metabolism here. And then you can monitor
3 subsequent recovery of that function, metabolic
4 function, over time. So this emphasizes the fact
5 that we can study the same animal repeatedly and use
6 each animal as its own control.

7 Another example of its use in the rat
8 brain. This is now looking at the dopaphenergic
9 system. And this is showing two different compounds.
10 One which binds the dopamine transporter. And here is
11 the control study. You see the left and right striata
12 nicely. And the other compound which binds to the D-2
13 receptor. And again, you see the two striata very
14 clearly in the control condition. We then took this
15 rat and it was lesioned with 6-hydroxydopamine
16 unilaterally, and you see the loss of the signal for
17 the compound that binds the dopamine transporter. And
18 interestingly, a slight increase in the signal for the
19 D-2 receptor binding compound. So, again, an example
20 using multiple different PET traces in the same animal
21 in two different experimental conditions.

22 Now moving down to the mouse, things get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 even more challenging. You might think that the mouse
2 brain is far beyond what PET imaging could ever
3 address. But if you have a very specific probe, for
4 example that probe for the dopamine transporter, even
5 in the mouse brain we are able to obtain quantitative
6 data. Here you see a cross sectional image of the mo
7 use brain showing the left and right striata clearly
8 separated. We can get time activity curves showing the
9 specific binding in the striata and non-specific
10 clearance from the cerebellum. So if you are asking
11 the right question with the right probe, we even have
12 access to the mouse brain by PET methods.

13 Moving into a cancer application, again to
14 emphasize the fact that PET is a whole body technique.
15 We can image the entire mouse in a single setting.
16 This is looking at an antibody against a tumor here.
17 And interestingly, this is labeled with a much longer-
18 lived positron emitter. So this scan is actually done
19 12 hours after injection. The half-life of Copper 64
20 itself is about 12 hours. So this is the kind of
21 study you can do without the need for an on-site
22 cyclotron. The volume of this tumor, by the way, was

1 about 100 mg. And to give you an idea of the total
2 amount of activity that is in the mouse when this
3 image was taken, we injected 70 and we scanned 12
4 hours later, at which time there was actually less
5 than 30 microcuries in the entire mouse. So we are
6 getting fairly high quality images with very tiny
7 amounts of radiation.

8 A big effort in our institute at UCLA has
9 been to develop methods to assay gene expression using
10 PET. That is reported gene expression. We have been
11 trying to develop methods similar to those that are
12 conventionally used with green fluorescent protein as
13 a reporter gene. Unfortunately, there is no reporter
14 gene that spontaneously will emit x-rays or gamma rays
15 for you. So we have to have a kind of two-step
16 approach where as our reporter gene, rather than green
17 fluorescent protein, we use something that will
18 produce a protein product that will trap one of our
19 PET-labeled probes. In this case, the reporter gene is
20 the gene for HSV1-tk, and then we come in with a probe
21 which is fluorinated ganciclovire, which is a good
22 substrate for that enzyme. And here you see an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 example of one experiment. We delivered the HSV1-tk
2 gene using an anti-viral vector through a tail vein
3 injection for reasons that I don't have time to go
4 into. The majority of the virus ends up going to the
5 liver when you inject the IV into a mouse. So you
6 would expect now that this gene would be expressed in
7 the liver. We also did a control study on the left
8 side here, where we introduced the control gene in the
9 same way. And indeed you can see that we are getting
10 a specific signal from the liver in this mouse and not
11 in this mouse. And we have gone on to show that we can
12 quantitatively assay over a reasonable dynamic range
13 the levels of messenger RNA for HSV1-tk.

14 Now coming to the specific question of
15 drug development and how you might use PET methods in
16 small animal studies, there is a number of different
17 strategies. Probably the most obvious one but maybe
18 not the most useful one is direct radio-labeling of
19 the drug itself. The problem there is that the radio-
20 labeling process is a bit of a bottleneck. It often
21 takes many months of a radiochemists time to figure
22 out the synthetic pathway for a labeled compound and

1 to figure out how to do that quickly enough given the
2 half-life of the radio-labeled probe. Although there
3 are now groups that are looking at ways to rapidly
4 produce large numbers of related compounds labeled
5 with carbon-11 or Fluorine 18.

6 Probably more useful is to look at binding
7 competition studies, where you already have a PET
8 ligand for a specific target that you are interested
9 in studying with your candidate drugs. And then we
10 can look at displacement type studies using that kind
11 of approach.

12 And then, of course, is the idea of using
13 surrogate markers, things like blood flow and glucose
14 metabolism, which we can readily measure with PET.
15 There is huge literature on that already.

16 So to summarize what I think are some of
17 the major advantages and disadvantages of PET. The
18 advantages, of course, are that we can measure a very
19 wide range of different biological processes. We have
20 very high chemical sensitivity that we can measure
21 down into the nanomolar to picomolar range, and that
22 we get whole animal by distribution and kinetics from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a single scan.

2 The disadvantages are still, compared with
3 some technologies, are our spacial resolution is still
4 fairly coarse. It is at the mm type level. Our
5 temporal resolution tends to be at the tens of seconds
6 to minutes type level. There is this issue of how you
7 get the radio-labeled compounds. And another issue
8 that I would like to turn to briefly is the fact that
9 we have very little anatomical information,
10 particularly when we have very specific probes that
11 home in on one specific tissue region. You look at
12 these images and you see these blobs of color, and you
13 really don't know where it is localized. So if you go
14 to the next slide, something that our group has been
15 working on a lot in the last couple of years is trying
16 to combine PET with other imaging modalities. In this
17 case, MRI, and trying to build a PET scanner that will
18 go inside an animal MRI scanner so you can do both PET
19 functional and molecular imaging with PET and
20 anatomical imaging with MR simultaneously.

21 Now this is challenging to do because of
22 the very high magnetic field inside MRI scanners. But

1 nevertheless, we have built this tiny little prototype
2 to prove feasibility. This is the little PET scanner
3 here, and it is connected by a lot of optical fibers
4 to all the electronics. And here you see it in a test
5 set-up actually inside a clinical MRI scanner. Here
6 is the little PET scanner. And then we can put a rat
7 inside the PET scanner, and on the next slide you can
8 see what I think are the first ever simultaneous
9 images acquired with PET and MRI at exactly the same
10 time. Fluorodioxo glucose glucose metabolism scan
11 through the rat's head and the anatomical MR image.
12 This is the brain here. The brain on the PET scan is
13 here. Not fantastic quality images, but the first
14 proof of principle that you might be able to combine
15 multiple imaging modalities together to give you more
16 information.

17 Now really looking way into the future and
18 thinking about how we can really use these
19 technologies in a much higher throughput manner than
20 we are currently doing. Typically right now when we
21 do a PET scan, it involves a team of several people,
22 and we may scan for two or three hours and then there

1 is all the data analysis. We have got this fairly
2 refined at UCLA now and we routinely do this. As I
3 said, we do something like 1,000 studies a year. But
4 still there is the thought of can we really push this
5 to much higher throughput so we could maybe have an in
6 vivo screen for drug candidates where we might use
7 surrogate markers such as blood flow or glucose
8 metabolism that are easily measured by PET and where
9 we can get the PET images in just a few minutes and
10 combine that with anatomical imaging, maybe with CT or
11 with MR. And therefore get three-dimensional,
12 anatomical, and functional information on the whole
13 mouse at a rate of something like 10 to 20 mice per
14 hour. I think the imaging challenge is not actually
15 the difficult challenge here. I think we can build a
16 machine to do this. The difficult thing is what you
17 do with all that data once you've got it. Because it
18 is not very helpful to the biologists or somebody
19 working in the drug industry for me to hand them
20 several hundred gigabytes of data of mouse images. We
21 have got to find sophisticated ways to sift through
22 all that data and extract the information that is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 actually relevant and of interest.

2 So I would like to finish by acknowledging
3 a huge team of people who contributed in one way or
4 another to the data I have just shown you. And I
5 would like to say that I think small animal PET
6 imaging is now a reality. There are several scanners
7 around. There are several commercial companies now
8 starting to develop animal PET systems. I think by the
9 end of this current year, we will see something like
10 10 to 15 animal PET systems in the United States,
11 probably growing to 20 or 30 by the following year. I
12 think PET can address certain questions, but you do
13 have to bear in mind that it does have resolution
14 limitations. And you do have to be clever about how
15 you do the chemistry so that you can actually do
16 fairly large numbers of studies and keep the costs
17 down. But I think bearing in mind those limitations,
18 there is a huge amount that you can potentially do
19 with this technology. Thank you.

20 CHAIRMAN DOULL: Thank you. Questions?
21 Is that 3-dimensional? Can you do 3-dimensional?

22 DR. CHERRY: Yes. The data is acquired as

1 a volume. And obviously it is difficult to show here.
2 But, yes, you have volumetric data. You can slice it
3 and look at it any way you want.

4 DR. COLLINS: While the cables for the
5 next projector are being set up, I might remind you
6 that Greg Downing in his lead-off talk this morning
7 mentioned that many of the institutes at NIH have
8 identified imaging as a funding priority. I'd say NCI
9 in particular or the Cancer Institute has made an
10 enormous effort, both in terms of money. They have
11 announced programs in upwards of \$100 million
12 investment. The next speaker is Dr. Tatum. And they
13 have also symbolically created a biomedical imaging
14 program within their Division of Cancer Treatment and
15 Diagnosis. And fortunately, we have a representative
16 from that program, Dr. James Tatum, who will tell you
17 about some of the initiatives that are ongoing there.
18 I think anyone who has doubts about the infrastructure
19 being available in this high technology area should be
20 at least convinced that the NIH is doing its part in
21 droves to raise the level of infrastructure across the
22 country. We are still in the hook-up mode.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The other thing is there is a tremendous
2 irony in having our meeting today on March 9. Because
3 six weeks ago, the NCI announced the funding of
4 something like six or eight of these small animal
5 imagers that you just saw described by Dr. Cherry.
6 And their principal investigators meeting is today in
7 St. Louis. So, again, any concern that these machines
8 -- they are highly specialized. There isn't one on
9 every benchtop. But at least you are beginning to see
10 the diffusion of them throughout the research
11 community.

12 DR. TATUM: First of all, let me thank you
13 for the invitation to come and talk about our program.
14 It is a relatively new program. If I ever get the
15 slides, I can actually tell you a little bit more
16 about it.

17 The Biomedical Imaging Program actually
18 really has been in existence just for a few years. And
19 now we have grown to a fairly sizeable part of the
20 vision on cancer treatment and diagnosis. And we now
21 have about 14 full-time FTE's and we have a number of
22 other part-time individuals in the group. And we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 now looking for more space as we begin to grow.

2 Let's really get started now. Let me talk
3 about the Biomedical Imaging Program at the National
4 Cancer Institute which I am a part of. Our program
5 actually started, as it should, with a set of vision
6 and mission statements about what the imaging role is
7 in health in general, but particularly in cancer. Our
8 vision is that imaging sciences are essential to
9 understanding biologic systems, controlling disease
10 and enhancing health. And the more we learn about
11 microsystems and microenvironments, we really believe
12 in in vivo imaging and therefore an assessment in the
13 intact organism is very important.

14 Our mission is to promote and support
15 outstanding basic translational, which is one of the
16 big coin words this day, and clinical research and
17 imaging sciences. And since we are part of the NCI, we
18 are particularly interested in how they interact with
19 the challenges of cancer, although we know a number of
20 the processes that we are looking at particularly
21 these days cross over many different disease processes
22 including cardiac disease, connective tissue diseases

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and others.

2 As I said before, we are in the Division
3 of Cancer Treatment and Diagnosis, which is made up of
4 basically five sections, many of which you are quite
5 familiar with. Just until recently, we were the
6 Diagnostic Imaging Program and changed into the
7 Biomedical Imaging Program, and I think it was a
8 representation of clearly understanding from the
9 beginning that probes, drugs and contrast agents and
10 those kinds of things were such a large part of our
11 initiatives that were going on.

12 The program is actually made up of four
13 branches, and they really don't work separately.
14 Because we know in imaging there is so much crossover
15 that we kind of almost work in a matrix type of
16 organization. But basically we have a Diagnostic
17 Imaging Branch, which currently is headed by Dr. Ed
18 Staub, who is also the Director of Radiology of the
19 Clinical Center at the current time.

20 A Molecular Imaging Branch, which is
21 primarily where I work, and the chief is John Hoffman,
22 who came to us from Emory not long ago. Very well

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 known in the PET world. The Image Guided Therapy
2 Branch currently is not filled. So Dr. Sullivan, our
3 Associate Director, is in fact overseeing that
4 particular branch. And Imaging Technology and
5 Development Branch, which is actually headed by Dr.
6 Larry Clark, who recently joined us from Florida. So
7 we have a fairly good complement except for the Image
8 Guided Therapy Branch at the current time.

9 One of our very first interactions has
10 been very much with the Cancer Therapy Evaluation
11 Program or CTEP. And one of the things I did when I
12 first came here was to actually begin to sit in on the
13 protocol reviews so that there was more active imaging
14 input. And of course more and more, imaging is a part
15 of the endpoints you are looking for in therapy
16 evaluation, going all the way back to basic anatomical
17 measurements, but more and more these days to looking
18 at functional parameters. So this has been a very
19 interesting and mutually beneficial arrangement that
20 has been going on between the two of us.

21 In addition, we are now going into, and I
22 am going to talk about at the end, an idea that we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 working on currently about facilitating imaging drug
2 development, particularly with relation to some of the
3 regulatory barriers that some people see with the
4 Developmental Therapeutics Program, which is one
5 program in which they have actually done this with
6 therapeutic drugs. The RAD program, if anyone is
7 familiar with it. is the one that we are kind of
8 patterning after at the present time.

9 ~~We are now also beginning to work more~~
10 closely with the Radiation Research Program,
11 particularly in microenvironments, which is very
12 interesting to us both from an imaging and a therapy
13 standpoint and with the Cancer Diagnosis Program as we
14 move more into the screening area. So the interactions
15 are really growing and the whole group is beginning to
16 work together quite nicely.

17 NIH funding for imaging -- and this is
18 kind of a screwy slide. It is kind of complex. If
19 you look at the red, that is basically kind of across
20 institutes or whatever where imaging is used. And you
21 can just see what the growth has been in the millions
22 of dollars, now approaching about \$350 million and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 actually may exceed that in FY99 when we get the
2 numbers in. But imaging is a big part of almost all
3 the institutes and many of the programs and many of
4 the grants that actually go out.

5 If you look at that blue one that says
6 NIH-RAD, what that is is trying to figure out where
7 dollars have gone with respect to correlations with
8 departments of radiology. And in some cases, that is
9 not a very clear distinction. Sometimes it is in
10 basic research and sometimes it is in radiation
11 oncology. And sometimes the designation isn't clear.
12 But you can see there has been a very slow but
13 progressive growth in that particular area, now in the
14 range of about \$120 to \$130 million.

15 The gold bars are just the BIP, that is
16 our portfolio, which I will talk about a little bit
17 more. And actually is growing now at a somewhat
18 increasing rate as we begin to move and get better
19 staffed.

20 I thought it would be interesting just to
21 look at our research portfolio at the current time.
22 And there is a mixture of things in here. These are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recent programs. And one of the largest at the top you
2 will notice is ACRIN, which is the American College of
3 Radiology, cooperative group trials, which basically
4 was a bid trial and the ACR won it. And primarily
5 their initiative is looking more at Phase III studies,
6 at informatics and data-based type studies. So there
7 is a series of things coming forward that actually I
8 think will give a better scientific or evidence base
9 to some of our radiology procedures that are used or
10 imaging procedures in general. It is not exclusive to
11 radiology by any stretch of the imagination.

12 Another RFA that was in 1998 was
13 Developmental Application of Imaging and Therapeutic
14 Studies, an area that we are extremely interested in.
15 And it was mentioned just a minute ago, and in fact
16 there will be more of these. It is the Small Animal
17 Imaging Programs or SAIRPS as we call them. There are
18 five of those at about \$15.3 million. And these are
19 basically to build labs where we do have some of the
20 things you have seen before utilized in animal models.
21 And maybe particularly applicable to the mouse models
22 that we see growing up. So there is a major effort in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 this particular area.

2 Other programs -- planning grants for the
3 Molecular and Cellular Imaging Centers, ICMIC's. And
4 again, we are looking now at ways to image at the
5 molecular and cellular level and producing expert
6 centers or resource centers of this type. There is
7 two pieces to that. There was the planning and now we
8 have in fact a number of these centers in place and
9 there will be more of these. An extensive amount of
10 money has actually been put into this.

11 The 1999 RFA for Imaging Guided Therapy in
12 Prostate Cancer that came out of some of the prostate
13 initiatives. It is a phased innovation award. We also
14 have the Diagnostic Imaging Guided Therapy SBR STR
15 initiative as well in the prostate. More programs --
16 and you can see some of these are in the pay line and
17 some of them actually have been funded. Developmental
18 and testing of digital mammography displays. Of
19 course, that is an area right now -- we have now got
20 one approved digital mammography system out there.
21 Exploratory developmental grants for diagnostic cancer
22 imaging, R21's, innovative technology awards,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 innovative technologies for molecular analysis and
2 cancer, bioengineering research partnerships, research
3 grants and novel technology contracts. So you can see
4 kind of a broad portfolio there.

5 We also have a number of collaborative
6 programs. I mentioned our relationship with CTEP,
7 actually which is really quite ongoing and working
8 together. We are in the protocol review, so we are
9 involved with the contracts or the U10's. You can
10 also see that we are in the pediatric brain tumor
11 consortium clinical trials. RFA's for new centers are
12 going out. And a new program that actually we are
13 looking at right now is RFP's for Phase I and
14 preclinical trials for imaging -- specifically for
15 imaging probes. Those things that may in fact already
16 be at an IND stage, but they are lingering to look for
17 a place to go for their first Phase I and II clinical
18 evaluations.

19 This is the graph I showed you before.
20 Just to show you where we are, FY96 through FY99. And
21 right now the portfolio is about \$83 million in the
22 current year, and it looks for all purposes that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 will grow a little bit further. So this is the
2 initiative that we put forward in basically
3 biomolecular imaging.

4 So that is kind of the portfolio -- kind
5 of the money part that we have been putting out and
6 the initiatives that we have been putting forward.
7 The other thing that has been quite active is
8 particularly an attempt to do interdisciplinary
9 meetings and workshops. And I am just going to talk
10 about two that I was intimately involved with, but
11 there have been several in nature.

12 The first one was one that we held out in
13 Jackson, Wyoming, called Imaging and 20/20 back in
14 September of last year. And this was kind of a novel
15 concept because what we tried to bring together were
16 basic scientists, particularly chemists, combinatorial
17 chemists, translational people, and then real imagers,
18 people who worked in the labs, and tried to put them
19 all together for a week and get them to cross-
20 fertilize and understand what the challenges were on
21 both sides and where maybe we could come forward.
22 This meeting actually is going to be held now every

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 two years. And we are also building an interactive
2 Website to continue discussion among the parties that
3 are involved in this. It was a nice place and it was
4 a great meeting. We actually got written up in Science
5 and another long article in I believe it was the
6 Engineering and Science Journal.

7 Another one that we just held recently was
8 an angiogenesis imaging methodology meeting. This is
9 a good example of where we had a challenge from the
10 clinical trials people about how to use imaging
11 appropriately as an endpoint for some of the new anti-
12 angiogenesis therapies. And there really wasn't a
13 good direction. So what we did is we used the Bethesda
14 conference concept and pulled together teams. One
15 team for CT, one team for ultrasound, one for MR and
16 one for PET and nuclear. We had the teams basically
17 do a research of the literature and come bring us up
18 to state. And then when we met, we all got to take a
19 pot shot at each other and argue about whose
20 technology was best or if any of them were. And in
21 fact we will publish the proceedings from this. And
22 what they did was such great work, they basically each

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 wrote a chapter that brings us up to the state of the
2 art.

3 What came out of that meeting though that
4 was very interesting is that for every one of those
5 modalities, it was clear that what we really needed to
6 get to where we wanted to be was a new probe or
7 contrast agent. And in some cases, those are very
8 close to approval but have not been approved. In some
9 cases, they are in fact further away, particularly
10 when we get to probe type contrast agents for example.

11 So kind of based on that or building on
12 that concept, it came pretty clear to us -- and some
13 of us had been in drug development before -- that one
14 of the problems we had is that there are probably a
15 number of good imaging agents out there -- probes,
16 contrast agents, whatever -- but that for various
17 reasons they can't be brought forward even to the
18 point of an IND. In some cases, it may be a
19 commercial company and they feel that the market
20 doesn't substantiate the risk. In other cases, it may
21 be a basic researcher or it may be an academic
22 investigator, and they simply don't understand the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 process or they don't have the resources to bring
2 forward the steps that they need to do this.

3 So we are coming up with an idea. This is
4 not an approved idea yet. It has not been funded, but
5 it is getting close. A program called DCIDE, which is
6 Development of Clinical Imaging Drugs and Enhancers.
7 And the purpose of this is to facilitate preclinical
8 development of promising imaging enhancers or contrast
9 agents and molecular probes, all related to imaging.
10 And it could be multi-modality. It doesn't have to be
11 one or the other. And we are particularly interested
12 in how they might fit into future trials for agents
13 that we might be using. The process is very similar
14 to what is now called the Ray Process, that we call
15 for proposals twice a year and that they be written.
16 We would be looking for things that in the beginning
17 had been synthesized and characterized reasonably well
18 and in which there are steps that needed to be put in
19 place. We would do an outside review and an inside
20 review to match resources for those things that were
21 scored high by the outside review.

22 These are kind of the basic steps in

1 preclinical drug development, probably somewhat
2 simplified or significantly simplified. But if you
3 look, we would be trying to work somewhere in the
4 synthesis characterization and documentation and would
5 be providing the rest of the steps or any missing
6 steps to try to get to an IND so these could then be
7 put into a probe library.

8 Now the types of things we are doing --
9 there is a couple of pieces that are kind of unique.
10 One is on there, dosimetry, which can be actually
11 done, however, with pharmacokinetics. But in addition,
12 we need to do something called imaging feasibility.
13 And this is not a classic part, obviously, of
14 therapeutic drug development. And the purposes of this
15 particular component will be to look at how feasible
16 the probe or imaging agent is in an appropriate model.
17 If it is a tumor model, so let's say a mouse model or
18 rate model, or in fact if it is just a contrast agent,
19 it may be in something that is not carrying tumor. And
20 we want to determine the things such as timing, usable
21 dose range, imaging modality characteristics, before
22 investing further in this particular probe with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 toxicology and those types of things.

2 Another piece is that we know that a
3 subset of what we will be dealing with will be radio-
4 labeled. And so another unique aspect would be a
5 radio-labeling program. And that could be something
6 that is a great ligand and a radio label makes sense
7 and we will take it from that point. It could be
8 something someone has synthesized but has a complex
9 labeling procedure that needs to be simplified or
10 expedited for clinical trials. It may be building
11 sufficient bulk. It may be a PET tracer. So this
12 actually gets to be quite a challenge.

13 What we are proposing is radio-labeling
14 centers, and they would have the potential for doing
15 PET, even if it has not been perfected by the
16 investigators, or to optimize labeling and
17 distribution if necessary.

18 The last piece is that what we are
19 planning to do is these agents are identified and they
20 go through and do a successful IND and that they go
21 into a translational probe library. And the reason we
22 want to do that is obviously to allow them access to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 further studies. Clinical trials and more importantly
2 for the development proof of principle piece, that
3 they could also be utilized in animal studies even
4 though they had had approval at the level of an IND.

5 Phase I and Phase II, what I talked about
6 before project would fit also into the end of this as
7 things could move from the library into the next step
8 in Phase I and II.

9 This is just some of the places basically
10 that could feed into this just in what we know of the
11 various programs that exist within NCI, where drugs
12 might be utilized in this aspect for further
13 investigation, for use of surrogate endpoints. So it
14 is a myriad of things. In there is included the Phase
15 I and II BIP trials and the CTEP Phase I and II
16 contracts.

17 I want to end up by saying that one of the
18 things that we are really interested in, which is a
19 real challenge and a real problem and going to be
20 really difficult in the regulatory scheme and that we
21 are interested in is targeted probes that produce
22 highly specific imaging and do integrated therapy. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 use ultrasound as an example because it is really nice
2 for targeted imaging and therapy delivery. I know many
3 of you have probably seen these types of things. But
4 basically nanobubbles can be now tagged quite nicely,
5 so that they can interact with ligands. And so you can
6 get very specific localization. But what is really
7 neat is you can also take the same bubbles, place them
8 inside of a packet, and you can basically deliver a
9 targeted drug to a site. So basically number one, you
10 would have the bubbles or the tag going there. You
11 would see where they were going and then you could
12 actually, by using the ultrasound, cause the
13 disruption by a change in the bubble architecture and
14 deliver specifically to the site. And this has been
15 done in animal studies and is being investigated very
16 vigorously by a number of different companies as a
17 very unique way. What is nifty about it is it
18 integrates the whole thing together. But when I step
19 back from the other side and think about the approval
20 process for doing this, I kind of get a shudder at the
21 same time. So it will be complex. It has great
22 potential. And this is the futuristic things we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 looking at with a lot of different tag compounds. It
2 doesn't have to be this. Nuclear is another one. And
3 we now have tag MR agents that are very specific and
4 these types of things. So that is a ways off, but
5 shows tremendous potential.

6 So I think I have given you a pretty big
7 quick but reasonably thorough overview of some of the
8 things that are going on at NCI in this particular
9 program. We have some really good people there. They
10 come mostly from academia, but they are still very
11 energetic and they are really inquisitive about how we
12 use imaging in this form. So I am very optimistic.
13 We are very enthusiastic and we have a lot of fun.
14 Thank you.

15 CHAIRMAN DOULL: Thank you. Questions for
16 Dr. Tatum?

17 DR. TATUM: Everybody wants to get out of
18 here.

19 CHAIRMAN DOULL: I guess then we are
20 ready, Jerry, to talk about the proposal.

21 DR. COLLINS: We will do our obligatory
22 change of notebooks now. When I was here speaking

1 with you last December, I divided the potential areas
2 into two parts. One was application -- could we have
3 the lights on? Unlike Dr. Sistare, I do have
4 bifocals. But I have to be able to read. There are
5 applications related to drug delivery or
6 pharmacokinetics, and I spoke about efflux pumps the
7 last time, and I included some additional background
8 material in your briefing packets here. So I won't
9 take your time this afternoon and redo that stuff.

10 What I will talk about is a
11 pharmacodynamic or drug impact on the target
12 application. And a particular field which I want to
13 describe, shown in the next slide, is that of the
14 potential for imaging proliferation or DNA synthesis.
15 In our view among the FDA staff, this is a cross-
16 cutting biological process that has a number of
17 potential applications. So it is attractive in that
18 standpoint. In the preclinical domain, it is one of
19 the universal tissue responses to injuries. And in the
20 clinical domain, as you just heard from Dr. Tatum,
21 there is a lot of activity going on in terms of
22 imaging tumors. So the possibility of doing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 simultaneous linkages from the preclinical or
2 nonclinical domain into the clinical domain is not
3 just a possibility. It is very much a funded reality
4 and the infrastructure is there and seems to be
5 getting better all the time.

6 Furthermore, we did consult with the NCI
7 in terms of trying to avoid overlap. And as Dr. Tatum
8 mentioned, they have a large effort in many areas
9 including angiogenesis. They are actually funding
10 individual RO1 grants in the area of DNA
11 proliferation, but they don't have a program per se to
12 develop and to compare them.

13 How do you do proliferation or DNA
14 synthesis? Well, in our lab when we are looking at
15 proliferation in cell culture, we add tritiated
16 thymidine to the wells and look at how much tritium is
17 incorporated in the DNA. The same thing is done in
18 animal studies with either tritiated thymidine or
19 Carbon 14 thymidine. In human beings, neither of
20 those tracers is an imaging agent. So we substitute
21 a variety of things into the thymidine to try to get
22 imaging. We try to replicate in vivo in people what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has been done in cell culture and in animals. So there
2 is various labels for thymidine that can be used and
3 thymidine analogues as well, some of which are also
4 used in the laboratory.

5 The horse has already left the barn.
6 There already have been some clinical studies done
7 using carbon 11 thymidine for imaging. It was first
8 proposed almost 30 years ago. Over the last decade,
9 a number of studies in different tumors have been
10 conducted and reported in the literature. I will just
11 show you one example of that. But I would just make
12 the point that in terms of being able to make some
13 links between preclinical studies and clinical
14 studies, we have both tracks riding simultaneously.

15 Unfortunately, there is a problem with
16 thymidine. The body really likes to metabolize
17 thymidine. The body has never seen a thymidine
18 molecule that it doesn't like to metabolize. And it
19 metabolizes it rapidly to thiamine and then all the
20 way down to bicarbonate and CO₂. PET imaging is a
21 total radioactivity technique. And so if you have a
22 tremendous amount of catabolism going on, then your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 background noise is very high, and that limits
2 ultimately the kinds of studies that you can do. So
3 a number of laboratories, including our own at FDA,
4 have searched for alternatives to endogenous
5 thymidine, and what we are looking for is things that
6 aren't catabolized but still follow the same anabolic
7 pathway as thymidine itself.

8 These are some of the structures that have
9 been reported as being used. Thymidine itself is the
10 second one from the left. Tony Shields and his
11 collaborators have put a fluorine in place of the
12 hydroxyl at the bottom of the sugar, a compound three
13 prime fluorothymidine FLT. Our lab and others have
14 reported putting a fluorine in the two prime up or
15 error position in the sugar, and that molecule is
16 called FMAU. And then finally to your far right, FIAU.
17 Iodine has about the same molecular radius as a methyl
18 group does. And by putting an iodine in place of the
19 methyl, you open up the possibility of a variety of
20 iodine isotopes which could be used for imaging.

21 Our lab and some others have shown in cell
22 culture one of the first primary nonclinical screens

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for whether you have a potentially good imaging agent.
2 That FMAU indeed follows all of the anabolic pathways
3 of non-fluorinated thymidine. It readily enters
4 cells. It is phosphorylated. It is incorporated in
5 the DNA. In our lab, we take the DNA out and we
6 digest it and we do HPLC and we verify the chemical
7 identity of the molecule in DNA by HPLC.

8 These are three examples of different
9 thymidine and analog compounds that have been
10 successfully used. The first one in the upper left is
11 work done by the group at University of Washington in
12 Seattle and also at Wayne State in Detroit published
13 about a year-and-a-half ago in Journal of Nuclear
14 Medicine looking at carbon 11 thymidine itself. Even
15 though in the year 2000, all groups have essentially
16 moved on from carbon 11 thymidine as being an
17 impractical agent, nonetheless, this image shows you
18 the potential of thymidine and its analogues for
19 imaging proliferation or DNA synthesis. The large
20 arrow at the top shows an enormous primary lung tumor.
21 You don't need something as expensive and complicated
22 as PET imaging to know that this patient has a large

1 tumor. What you do find out in a quantitative way
2 from this picture is that the areas of white and red
3 are the highest areas of uptake of radioactivity in
4 this tumor, followed by yellow and followed by green,
5 blue and purple, and you can actually quantitate the
6 radioactivity that you are imaging in those particular
7 locations.

8 DNA synthesis is also ongoing in normal
9 tissues, and the arrow in the lower part of the
10 picture shows a red area, a hot spot, in the vertebral
11 space indicating synthesis of marrow at that location.
12 It begins to give you some idea of the advantages and
13 disadvantages of trying to look and the possibilities
14 in looking for thymidine.

15 Over to the right is a picture very
16 similar conceptually to one that Dr. Cherry showed for
17 gene expression experiments looking at thymidine
18 kinase again as a reporter gene. Thymidine is the
19 substrate for thymidine kinase. If tumors are
20 transvected with thymidine kinase, then they will
21 convert a lot of the substrate and trap it to
22 thymidine monophosphate and ultimately in the DNA.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And the important thing about the picture on the right
2 in direct contrast to the pictures that Dr. Cherry
3 showed, this was taken in a human imager and the
4 resolution is very poor. And the ability to find
5 anatomical landmarks is almost non-existent. Someone
6 had to trace a diagram of the rat around it. The
7 kinds of studies that are being done starting today
8 with more animal imagers out there are going to be
9 more like the ones that Dr. Cherry showed, and you
10 will see fewer of these crude pictures. But
11 nonetheless, it is enough to show proof of principle
12 for individual tracing probes.

13 And finally, the image at the bottom shows
14 the tracer fluorine 18 FLT, again done by Tony
15 Shields, now at Wayne State University in Detroit. And
16 it shows that the bone marrow in a normal dog is a
17 very active site of DNA synthesis. You have an
18 excellent imaging of the spine. And somewhat a little
19 bit of a surprise for those who don't do canine
20 studies that the epithelial tissues in the nasopharynx
21 are very active, which of course is one of the
22 characteristics of the canine mammalian species. So it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 shows up as trapping FLT as well.

2 So I think the overall message is that
3 thymidine and its analogues are addressing a cross-
4 cutting issue and area in which a lot of people are
5 working in. What is my recommendation for this group
6 to consider? In forming an expert working group, I
7 think it should be limited to PET imaging for many of
8 the reasons that went around the table. If we try to
9 make it so broad that it includes MRI and optical
10 imaging, then the various disciplines will
11 understandably lobby for their subspecialty, and
12 perhaps in subsequent meetings of this committee, if
13 this is successful, then separate working groups could
14 be formed down the line.

15 My own recommendation is this expert
16 working group should consider proliferation probes for
17 all the reasons that I have described this afternoon.
18 But of course, the whole idea of getting an expert
19 working group together is to get the best professional
20 judgment of those folks.

21 I think the opportunity is never going to
22 be better. The infrastructure at NIH is out there. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 investigators can join in. This is a doable project.
2 The technology, although it may seem incredibly fancy
3 and it is, it is here today. There is no new piece of
4 nuclear physics equipment that has to be invented in
5 order to be done. What we need is an emphasis on the
6 appropriate pharmacologic targets. And it is an
7 opportunity to move ahead. Thank you.

8 CHAIRMAN DOULL: Let's be sure that last
9 slide gets in our notebook. Thank you, Jim. Well,
10 the proposal then is to go ahead with PET/SCAN as a
11 tool. Last time -- at the last meeting, you will
12 recall Dr. Johnson from Duke talked to us about MRI
13 pretty extensively. And we haven't said anything about
14 that today. But what you are saying essentially is you
15 think PET/SCAN is further along in terms of
16 possibilities than MRI?

17 DR. COLLINS: Maybe Jim should comment. I
18 don't think you want the individual imaging modality
19 advocates to be pitted against each other. At this
20 time within the FDA staff, we are ready to commit to
21 this project and to work on it. All of us are very
22 excited within OTR at the potential for MRI. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701