

1 we've heard whether there have been any further deaths
2 or transplants since the new monitoring guidelines
3 have been in place, and if there have been, did any of
4 those individuals have symptoms in between the
5 monitoring that should have triggered further
6 monitoring and get the drug stopped perhaps sooner.

7 This relates to Dr. Graham's definition of
8 these rapid risers, which is simply another way of
9 saying hyper acute or acute fulminant hepatic failure,
10 which occurs out of the blue in somebody whose liver
11 is normal to begin with.

12 But there's no question that I think
13 monitoring of all the types we're talking about is the
14 only way you can pick up these idiosyncratic events.

15 CHAIRMAN BONE: Dr. Zerbe, did you have an
16 answer for Dr. Lewis' question?

17 DR. ZERBE: Well, I think the best way
18 perhaps to answer it, if you don't mind, Paul, would
19 be to refer to your slide on rapid risers.

20 CHAIRMAN BONE: No.

21 DR. ZERBE: Oh, I'm sorry.

22 CHAIRMAN BONE: I meant the question about
23 the -- he had a specific question about whether there
24 have been -- about new cases since the most recent set
25 of guidelines were introduced.

1 DR. ZERBE: There have been cases since
2 the most recent guideline. The rate appears to have
3 decreased, and there were two questions related. I
4 think the other one was related to whether these
5 individual -- any of the people in that time frame
6 represented this rapid riser or did they actually --
7 were they just not perhaps monitored or managed
8 according to label.

9 Again, I think the reference to Paul's
10 slide might be the best. We did go through all of
11 these cases with the FDA. There is some disagreement
12 on some of those cases. I don't know that we want to
13 get into a public debate about the individual cases.
14 That was not our intent. We don't want in any way,
15 shape or form to try to discount the cases, but I
16 think they are complicated cases, and we don't
17 necessarily agree in every situation.

18 If you would like to do that, we can show
19 that slide, show Paul's slide again, which designated
20 all of those.

21 CHAIRMAN BONE: If that's going to answer
22 Dr. Lewis' question.

23 DR. LEWIS: Well, it's more to whether
24 they had symptoms in between the monitoring times that
25 should have alerted somebody under the new monitoring

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 guidelines to do more monitoring, and they might have
2 been picked up before they became fatal or needed
3 liver transplant.

4 DR. ZERBE: Paul. I think he'd be the
5 best person to answer that.

6 DR. WHITCOMB: I think it's fair to say,
7 based on the data we have, that symptoms haven't
8 reliably indicated this problem, although there
9 clearly are many cases where in between blood values
10 we have the person that developed abdominal pain,
11 nausea. We heard about a couple of those cases, and
12 I did not systematically review each case looking at
13 it, but it's quite clear there are a sizable
14 proportion of the cases where symptoms didn't appear
15 that the patient would have gone to the physician for,
16 I think it's fair to say.

17 DR. ZERBE: It is fair to say, Paul, I
18 believe there was one case in which symptoms actually
19 preceded.

20 DR. WHITCOMB: Oh, there have been several
21 cases where, I guess --

22 DR. ZERBE: That preceded actual
23 initiation of the drug. I think that was one of the
24 cases of rapid riser, symptoms such as the one you
25 described.

1 DR. WHITCOMB: Yes. Well, I guess it's
2 whether the glass is half empty or half full. There
3 is no question there are many cases where there were
4 symptoms present and were ignored and the drug
5 continued. However, I'm taking the most conservative
6 position saying there are definitely cases where
7 things had gotten out of control without at least
8 reported at documented symptoms.

9 Is that -- Jim, am I close to the market
10 here?

11 DR. LEWIS: Yeah. I mean we're only
12 dealing with a very few number of patients here where
13 full monitoring was going on, and if you're telling me
14 that symptoms may have not been recognized or weren't
15 present, I mean that's not inconsistent with what we
16 see with other idiosyncratic drug reactions. Not
17 everybody gets symptoms, which is why monitoring is
18 imperfect, but it's about the best we can do.

19 DR. WHITCOMB: That's right. The only
20 other comment is once somebody becomes jaundice
21 obviously there's no need for monitoring. Everyone is
22 aware they have a problem. So I was referring to
23 symptoms really prior to jaundice and the entire data
24 set.

25 CHAIRMAN BONE: Right. Thank you.

1 We'll have a question from Dr. Molitch and
2 then Dr. Braunstein's questions. Dr. Kreisberg.
3 Excuse me. I'm sorry, and then Dr. Molitch.

4 Everybody will get their question asked.
5 I'm sorry if I got them out of order.

6 DR. MOLITCH: Well, I'm allowed to go
7 ahead apparently.

8 CHAIRMAN BONE: Please, go ahead, Mark.

9 DR. MOLITCH: Okay. I want to come back
10 to Dr. New's and Dr. Lewis' question again because I
11 think this is the crux of the problem that many of us
12 are having at the moment in trying to figure out where
13 we're going here, and that is about the efficacy of
14 monitoring in preventing disease.

15 And we obviously don't have any kind of
16 prospective randomized study with or without
17 monitoring, and that will never happen, but our
18 understanding from what you've said, I think, is that
19 based on experience with this drug and with other
20 drugs that cause similar types of idiosyncratic
21 hepatotoxicity, that, in fact, if we set up a
22 monitoring program and are able to, in fact, have
23 patients monitored, detect the earliest rise in ALT
24 levels at a two to threefold, whatever level we set,
25 say, two to threefold elevation, and then stop the

1 drug when that is immediately done, is it my
2 understanding or your understanding that we will
3 largely prevent most cases from going on to jaundice
4 and liver failure?

5 DR. LEWIS: Yes, that's the understanding,
6 that we'll prevent most cases, not everybody perhaps.
7 Probably the best example is with isoniazid, INH.
8 There was a recent modeling study published in the
9 Annals of Internal Medicine which clearly demonstrated
10 that biochemical monitoring, in addition to the
11 clinical monitoring, prior to jaundice and other
12 things, reduced the chance of developing a fatal INH
13 hepatitis dramatically, and this is not dissimilar.
14 They're both drugs that cause, you know, idiosyncratic
15 disease by some toxic metabolite presumably, and it
16 worked in that instance.

17 It didn't eliminate it. Nothing
18 eliminates it, but it gets it down to a very low
19 level.

20 DR. MOLITCH: Can you just put a
21 guesstimate number on that most figure? Does it
22 reduce it by 50 percent, 75 percent, 90 percent?
23 What's a guess?

24 DR. LEWIS: My guess would be 75 percent
25 or more. You'd be able to pick up, stop the drug, and

1 not have liver disease progress, and that's from
2 experience with monitoring from other drugs.

3 DR. MOLITCH: Thank you.

4 CHAIRMAN BONE: All right. We're going to
5 stay with questions, please, for the Parke-Davis
6 people for now, and then we'll come back.

7 I've got several people in a row, I think.
8 Dr. Kreisberg, and then we have Dr. Braunstein's list
9 of questions, and we'll get to everybody. Don't
10 worry. Okay.

11 DR. KREISBERG: I don't mean to beat a
12 dead horse, but I think the screening issue is a very
13 important one for me.

14 First of all, it seems prudent, I mean, to
15 do something like that. It's also very expensive to
16 have to do that.

17 On the one hand, the Parke-Davis people
18 tell us that the risk virtually disappears over time,
19 and in fact, with the new labeling and the letters to
20 the doctors, the risk of developing this seems to have
21 fallen considerably from about one in 36,000 to one in
22 approximately 60,000.

23 On the other hand, the FDA, Dr. Graham
24 says, it doesn't change over time. I mean these
25 people are continually at risk.

1 And I wonder if you would comment on the
 2 following question, is that there appears to be an
 3 association between the new labeling changes and the
 4 reduction in risk, but you're looking at a different
 5 population of patients who may have been on the drug
 6 for a much shorter period of time, and is this more
 7 apparent than real?

8 DR. ZERBE: That's a very perceptive
 9 question, and that's, of course, one of the challenges
 10 that you have when you have new patients coming in at
 11 different time intervals in terms of you not only are
 12 taking calendar time and impacting it with external
 13 things like awareness, but you also have a population,
 14 a cohort that's moving out.

15 We have not done that analysis. It would
 16 be very complex. You'd have to look at the individual
 17 cases in a lot of detail with both bilirubin and
 18 jaundice, as well as death. My guess is the death
 19 numbers are too small to actually get a reliable
 20 answer to that question.

21 I think the data, making the assumption
 22 that the risk, you know, is falling, we'll even take
 23 the assumption that the risk is maintained for the
 24 purpose of evaluating the calendar effects. I think
 25 the data are very strong that the monitoring and

1 awareness the "Dear Doctor" letters have had a
2 substantial impact on the occurrence of the events.

3 I likewise feel though that the
4 information on the risk over time -- granted as you
5 get farther and farther out, whether it's David
6 Graham's model or our model, gets more and more
7 questionable -- it would appear that the numbers are
8 going down, and really with a very small increase at
9 the end, which is related to a very few patients in a
10 very small denominator. That appears to be a pretty
11 clear message from our analysis of the data.

12 DR. KREISBERG: Thank you.

13 CHAIRMAN BONE: All right. Now it's time
14 for Dr. Braunstein's questions, which are related
15 questions.

16 DR. BRAUNSTEIN: Right.

17 DR. HIRSCH: Excuse me. I had one.

18 CHAIRMAN BONE: Yes, you'll get yours,
19 too.

20 DR. HIRSCH: Oh, okay.

21 CHAIRMAN BONE: This isn't the last
22 question by any means. I did not mean to imply that.

23 I see from the questions that this is
24 going to be, I think, directly addressing several of
25 the other questions in sequence. So I would regard

1 these as perhaps helpful in moving us closer to
2 closure on some of these issues, if we can.

3 Dr. Braunstein, you have a list of five
4 questions here, and I think we're asking both the
5 sponsor and the agency to address these questions; is
6 that right?

7 DR. ZERBE: Are we prepared to address it,
8 Mark?

9 CHAIRMAN BONE: We can give you a few more
10 minutes if you want to. You're ready?

11 DR. PIERCE: Yes.

12 DR. BRAUNSTEIN: First of all, there are
13 differences in the number of total liver associated
14 deaths that were reported in several other papers that
15 we received. Parke-Davis indicated that there were 70
16 liver associated deaths. The FDA letter to
17 Congressman Waxman in February of '99 indicated 100.
18 The L.A. Times through information they received
19 through Freedom of Information Act reported on 91
20 liver associated deaths. Yet we're talking about 35
21 that was agreed upon by the FDA and the company.

22 And so the first question I have is: how
23 were the others excluded?

24 DR. PIERCE: Well, the specific answer to
25 the definitions for attributability would need to be

1 answered by Dr. Watkins, but I think that the general
2 answer to the question really, the discrepancies
3 between Parke-Davis and the L.A. Times, will have to
4 do with the issues both of timing and the issue of
5 attributability, the definition of attributability.

6 This shows the situation as of March 5th,
7 1999. The total number of reported deaths or liver
8 transplants is 131; the deaths with a liver mention or
9 liver transplants, 87; and of course, deaths without
10 liver mention are 44. So this deals with just total
11 numbers of deaths report.

12 With regard to the ones that mention the
13 liver or liver transplant, there are 12 which turned
14 out not to be liver related, and there are 75 which
15 may be. Twelve of those had insufficient information.
16 Twenty-eight were possible, probably related to
17 Rezulin therapy, as Dr. Watkins indicated, and 35 were
18 unrelated.

19 Between the 12 and the 28 and the 35, as
20 I said, we've agreed with the FDA that there are 35
21 that are possible or probably related to Rezulin
22 therapy.

23 DR. ZERBE: And then just to clarify, the
24 43 number that the FDA has, if there's any confusion,
25 also includes non-deaths with encephalopathy, which we

1 have not included in our analysis.

2 CHAIRMAN BONE: Dr. Graham, if I
3 understand correctly, they're saying that the
4 difference here has to do with whether the deaths are
5 attributed as being possibly or probably drug related
6 as opposed to all cases in which there were both
7 mentioned; is that right?

8 DR. GRAHAM: I think that that's
9 essentially correct. The FDA numbers, I think,
10 represent what the counts were in our computerized
11 system, and that would include any case that's
12 reported. If the patient had cancer and for some
13 reason or another it was being reported as a liver
14 death or gall stones and they died for some reason, as
15 well as duplicate reports, and then you have to go
16 through those reports and get out the ones that you
17 think are related to the drug.

18 DR. BRAUNSTEIN: So the screen is
19 sensitive, but not specific.

20 DR. GRAHAM: Exactly.

21 DR. BRAUNSTEIN: The second question has
22 to do with frequency in reporting of the data. Dr.
23 Graham indicated that there didn't appear to be a
24 change, and Parke-Davis indicated that there was a
25 change after the "Dear Doctor" letter.

1 In fact, Dr. Graham showed a slide. I
2 think it was page 13 of the handout showing actually
3 a continuous line of reporting over the various dates
4 without a blip at the time either the "Dear Doctor"
5 letters came out or the insert changes were made.

6 DR. GRAHAM: May I comment?

7 I believe the explanation for the
8 differences between the company and ourselves is that
9 the data the company presented, they changed the
10 baseline of what it is we're talking about. We were
11 talking about cases of acute liver failure. If you
12 look at the slide that they presented, it was all
13 patients reported with jaundice, and if you subtract
14 out those reports of jaundice and then just presented
15 the stuff on liver failure, you'd see that there was
16 no stimulation of reporting of cases of liver failure.

17 Now, it may be true that there was
18 stimulation of reporting of jaundice. I'm not
19 prepared to answer that. We haven't analyzed our data
20 to answer that, but we can say that based on our
21 analysis we don't believe that there's any evidence of
22 stimulation of reporting of acute liver failure, and
23 that's what is included in our slide.

24 DR. BRAUNSTEIN: Well, is it possible then
25 that there's no stimulation because you're capturing

1 almost all the patients with acute liver failure?

2 DR. GRAHAM: No, I don't think that that's
3 the case at all. I think what it represents is that
4 when you get stimulated reporting, the stimulated
5 reporting is the result of increased reporting by
6 consumers, but not by physicians, and if you look at
7 the acute liver failure cases that have been reported,
8 that physicians aren't stimulated to report for
9 whatever reasons.

10 I don't know the answer to that.

11 CHAIRMAN BONE: Thank you.

12 A response from the sponsor?

13 DR. PIERCE: Yeah, I think that the data
14 that I showed indicated the effect of publicity on
15 reporting of jaundice and bilirubin. We picked that
16 because there are a lot more cases and so the data is
17 more robust. Obviously there are many fewer cases of
18 death due to liver failure and transplant.

19 I also showed data that at least the self-
20 reported likelihood of reporting, you know, increases
21 for death and transplant, is somewhat lower for
22 jaundice, but we really take jaundice as a good index
23 at least over time. It's very, as with death and
24 transplant, they're both very recognized, and we show
25 over time a decrease in the incidence of jaundice and

1 hyperbilirubinemia as well as the incidence of death.

2 The data that we presented was presented,
3 and the data I showed in the table, were the cases
4 divided by the denominator of new patient starts in
5 each of those periods. I think that we really don't
6 differ a great deal with regard to that with the FDA.

7 Last Thursday when Dr. Graham and we had
8 a meeting, he did present a slide, examination of
9 reporting rates by time period where he did really a
10 similar calculation. His overall denominators are
11 different because of a different data source. His
12 time cuts are a little different than the ones that I
13 showed.

14 But he showed the number of patients in
15 the interval and then divided by the number of new --
16 well, the number of cases in the interval divided by
17 the number of patients who had a start in that
18 interval and showed a decrease in reporting rate per
19 persons of between 4.78 rate per ten to the sixth
20 persons between March and November 1997, falling to a
21 rate of 2.76 in the August -- well, actually 2.36
22 between December '97 and July '98, and a rate of 2.76
23 between August '98 and January of '98.

24 So I think I may have misunderstood the
25 slide as it was presented last week, but I think that

1 the issue -- there's not a great discrepancy on that
2 point.

3 CHAIRMAN BONE: I suppose there's a
4 confounding question here as well because if we have
5 now -- if it's true that this is much less of a
6 problem after a year, there would be a dilution effect
7 by those large number of people who have been on the
8 drug for an extended period of time, and that would be
9 influencing the rates.

10 DR. GRAHAM: Well, if I can make one
11 comment on that, in the sponsor's presentation of
12 their methods, if you notice in the early part of
13 their slide, they mention why their line was sort of
14 flat up there. It's because they were crediting
15 everybody in the plan with an additional month of time
16 being counted in treatment after they had evidence in
17 their system that they were active.

18 And so rather than the line going sort of
19 down in a linear fashion -- I forget which slide it
20 was of theirs -- it sort of has this two or three-
21 month blip, and then it goes down, and the speaker did
22 refer to what the reasons for that was, but I believe
23 that that introduces an artifact because what you're
24 doing is you're basically crediting time to people
25 that isn't really credited.

1 Well --

2 CHAIRMAN BONE: That doesn't respond to
3 mine.

4 DR. GRAHAM: Well, then I don't understand
5 your question.

6 CHAIRMAN BONE: Well, we heard that they
7 have 400,000 people who have been on this drug for
8 more than a year.

9 DR. GRAHAM: Right. We don't -- well,
10 there's two things going on here. One is we based our
11 analysis using a cutoff date of December '98. They've
12 extended their data another quarter, so another
13 quarter of a year, and based it on that. That
14 introduces some difference.

15 The second difference has to do with how
16 one measures persistency. We looked at an entire
17 population that was under surveillance for the entire
18 time and were able to follow everybody and account for
19 them, and this is the pattern that we saw, and we
20 didn't have to credit extra time to people or make any
21 assumptions, which at least in the description of
22 their methods it seemed like they were crediting
23 people with more time than they actually had on drug.

24 And what that would do is that would shift
25 your curve over and make it look like you had people

1 on the drug longer than they were actually on it for.

2 CHAIRMAN BONE: Well, that might mean that
3 some of these people were on for 11 months instead of
4 12, but it wouldn't change the point I was trying to
5 ask about.

6 DR. GRAHAM: Okay. Well --

7 CHAIRMAN BONE: Let's go on.

8 Dr. Braunstein.

9 DR. CARA: Sorry. Could I ask a related
10 question to this before we move on?

11 I'm curious as to what this chart that you
12 put together on page 13 of your presentation would
13 look like if you actually put death/transplants rather
14 than acute liver failure.

15 DR. GRAHAM: All you'd have to do is -- I
16 don't know which one that is.

17 DR. CARA: It's on page 13.

18 DR. GRAHAM: Okay. The scatter plot.
19 Subtract out five dots. I mean, just sort of pick
20 them.

21 DR. CARA: There's no difference in --

22 DR. GRAHAM: No, there's no difference.

23 DR. CARA: -- death/transplant?

24 DR. GRAHAM: Right. No.

25 DR. BRAUNSTEIN: The next really had to do

1 with this issue of decrease in death or liver failure
2 following the labeling change and the "Dear Doctor"
3 letters. My understanding from Dr. Graham's
4 presentation was that there wasn't any evidence of a
5 decrease. Am I incorrect in that?

6 DR. GRAHAM: What I was talking about was
7 the hazard rates over time and the cumulative risk
8 over time. In terms of actual reporting rates, I
9 didn't present any data on that.

10 The data on reporting rates are that if
11 you look at sort of what would correspond to our
12 cohort one, that first time period, that the reporting
13 rates are about like 4.8 per million persons, and if
14 you go into the second time period, which sort of
15 corresponds to the place between the two "Dear Doctor"
16 letters, it's about 2.4, and if you go into the third
17 time period, which goes, say, from August -- you know,
18 from the second "Dear Doctor" letter to the end of the
19 year, that also is 2.4.

20 And what you seen then so is a decline in
21 the reporting rate. We have done studies on a number
22 of different drugs and shown that the reporting rate
23 of a product drops from the very first year. It just
24 comes down. It's just a function of reporting. So
25 the first year the reporting rates are higher, and

1 then subsequently the reporting rates become lower.

2 And we see that with virtually every drug
3 that we've looked at to examine it. So the fact that
4 reporting rates go down doesn't mean that anything has
5 changed in the background population.

6 And if you're going to say that cases have
7 declined and you're going to say that it's because
8 monitoring has occurred, then you really have to
9 examine whether or not that statement is accurate,
10 whether monitoring has, in fact, occurred.

11 And our data, we would suggest, says that
12 it has not. So that can't be brought in as a reason
13 to explain it away.

14 CHAIRMAN BONE: Comments from the sponsor
15 on this question? It seems to me like it's a fairly
16 important question for the Committee.

17 DR. PIERCE: Yeah. Whether one looks at
18 this issue of the reporting rate either by new therapy
19 starts, and that's what I showed in my slide, or by
20 person-years, which takes into account this variable
21 exposure, the results are the same.

22 If one does the periods that I showed
23 instead of by new therapy starts, just number of
24 individuals, but by person-years, one gets a value
25 before December 1997 of one in 22,000 patient-years

1 for the incidence of death due to liver
2 failure/transplant, and after the period you get a
3 rate of one in 44,000. So that's another way to look
4 at it.

5 I think, you know, the issue of -- of
6 course, nobody knows the absolute level of reporting
7 rate, and for sure we don't know how the reporting
8 rate changes over time. I think you can support any
9 hypothesis by talking about changes in reporting rate
10 over time.

11 So what we've shown you is the reports
12 over time without making assumptions about changes in
13 reporting rates or the reporting rate.

14 DR. BRAUNSTEIN: There also seemed to be
15 a fairly large discrepancy between the estimated
16 frequency of liver failure between Dr. Graham's
17 estimate and Parke-Davis' estimate. Dr. Graham's
18 numbers, if I understand the modeling and everything
19 else correctly, indicated that the rate would be one
20 in 1,000 to 2,000 individuals exposed to the drug for
21 six-plus months, whereas the sponsor indicates that
22 it's maybe at the most one in 34,000 patient-years of
23 exposure, and that's a pretty large discrepancy there.

24 DR. GRAHAM: Right. What the sponsor has
25 done is given you basically their estimation of a

1 reporting rate, and they don't account for person-time
2 in that analysis.

3 What we've given basically, that one per
4 2,000 at six months translates to a person-time rate
5 of 1,000 per million person-years. Now, if you look
6 at the company's data from Table 2 of the briefing
7 document that they sent you where they presented all
8 their population based data -- see, that's the other
9 thing. Our rate comes from -- we're using the
10 population based data from the REACH study, the DPP
11 study, the UHC study, and the modeling that we did was
12 spontaneous case reports that led us back to a very
13 similar rate, and that's where we come up with that
14 estimate.

15 If you look at that Table 2 from the
16 sponsor's study where they did the aggregate analysis
17 that in my presentation I indicated I didn't think
18 that it was appropriate because it hides certain
19 things about the data, they come up with an estimate
20 there of 290 per million person-years for acute liver
21 failure.

22 So compare 300 to 1,000. See, it then --
23 all of a sudden the gap between what they're
24 presenting, which is a frequency count -- it's a
25 reporting count. You have under reporting, and

1 they've counted all the individuals and somebody on
2 the drug for one week contributes the same amount of
3 weight to the denominator as somebody on the drug for
4 ten years, and so then you get a very different -- you
5 get a very exaggerated difference in where we're
6 coming from.

7 CHAIRMAN BONE: Obviously we want to hear
8 from the sponsor on this point.

9 DR. FAICH: Well, let me just take those
10 three sources of estimates. We've already talked
11 about the clinical trials at length, about whether the
12 numerator is two or not two and how certain that
13 number is and what the denominator is, and you've
14 heard my views on that earlier.

15 I think that the best point estimate from
16 the clinical trials is on the order of one per 5,000
17 to one per 7,000.

18 The issue of using person-time as opposed
19 to persons, which is the same one in clinical trials
20 as it is postmarketing, has to do with whether you
21 think that there's a continuing not only risk but a
22 cumulative effect in patients as opposed to an
23 idiosyncratic effect that happens only once per
24 person, whether it happens in the first month or the
25 second month or third month of therapy.

1 So you have to think about what is the
2 biologic mechanism which would then drive the
3 epidemiologic denominator calculation.

4 I would submit as you look at the
5 distribution by month of cases, it looks to me like,
6 in fact, they are not continually happening over time;
7 that they do, indeed, tail off in patients who have
8 had four, five and six months of therapy; that that
9 peak is somewhere between three and five months, and
10 that's more suggestive.

11 It's true that could be a phenomenon of
12 the reporting system, but if you believe that
13 reporting is high, which is actually key to all of
14 this discussion, then the suggestion from the
15 spontaneous reports is that an individual is only
16 susceptible once. So, therefore, you would then count
17 new starts as opposed to person-time, and that is a
18 major difference.

19 I don't see a biologic compelling reason
20 why you would see this cumulative toxicity in
21 individuals. That's probably the single biggest
22 difference.

23 Looking at the database issue, the UHC
24 data, we hold, is not yet reliable or useful to look
25 at at this point and is a preliminary probe. So I

1 would contend the best data to look at, in fact, are
2 the numerator data from reporting and the denominator
3 data from the total number of patients treated, and
4 you can take total numbers of patients treated and
5 then look at treated for X number of months, and we
6 did that, and we displayed those data.

7 CHAIRMAN BONE: Right. Thank you.

8 I think we --

9 DR. BRAUNSTEIN: Does that actually
10 address the last question? He wants to respond to it.

11 DR. GRAHAM: Yeah, no the --

12 DR. BRAUNSTEIN: When the injury occurs.

13 DR. GENUTH: On peak and what happens
14 after that. Well, it's clear from our data that based
15 on the reporting that we have, that the peak we found
16 is at six months, and that we don't have reporting of
17 cases of liver failure beyond eight months. So our
18 last case occurred during the eight months of
19 treatment that we have reported to us.

20 However, several lines of arguments. One,
21 we know the denominator is shrinking, and so there
22 could be cases out there that haven't developed yet or
23 that it takes longer to develop because you have to
24 accrue enough person time in that exposure period to
25 produce the cases.

1 A second thing is that from the sponsor's
2 own clinical trials described in their briefing
3 document, they had patients as far out as 18.2 months
4 that had liver enzyme abnormalities that were above
5 the three times upper limit of normal.

6 What you run into, the problem is that
7 you're talking about a very shrinking denominator and
8 what happens out there. So it's hard to say.

9 With INH, if you read the literature on
10 INH, the number of cases that occur with INH of fatal
11 hepatitis with extended use is small, but that's
12 because the denominator of patients at risk who are
13 using the drug out that long is also small.

14 In some of the articles that I've read,
15 authors actually make the comment that the actual
16 hazard rate to patients with extended use may actually
17 be higher than it is earlier on. It's just that most
18 people stop the drugs with six months or three months
19 of treatment.

20 CHAIRMAN BONE: Anything further from the
21 sponsor on that point?

22 DR. CARA: That's a very -- I'm sorry.

23 CHAIRMAN BONE: Please, Dr. Cara, go
24 ahead.

25 DR. CARA: It's a --

1 CHAIRMAN BONE: Jose, I was going to let
2 the sponsor finish, and then we have -- I know
3 everybody has questions about these data.

4 DR. PIERCE: Could we show the next to the
5 last slide in my presentation?

6 Just to show this again, both for jaundice
7 and hyperbilirubinemia, and this is risk as a function
8 of duration on drug, jaundice and hyperbilirubinemia
9 peak at about three to four months. Death and
10 transplants peak perhaps four to five months and then
11 decline thereafter, and we show both of them to show
12 basically the parallelism between them both. Jaundice
13 and hyperbilirubinemia, this includes all cases, and
14 it's also heading down.

15 You know, the slide that Dr. Graham showed
16 also showed a similar peak. What this is really --
17 this is showing the interval specific hazard rate at
18 each interval each month. Dr. Graham showed the slide
19 and explained that the peak interval specific hazard
20 rate occurs at six months and then declines. There
21 are only two more points on that curve because there
22 are no cases beyond that point.

23 CHAIRMAN BONE: Just for clarification,
24 did I understand correctly that the number of patients
25 who have been on drug for 12 months or more here is

1 400,000? Is that correct?

2 DR. PIERCE: That's correct.

3 CHAIRMAN BONE: Is that agreed, Dr.
4 Graham?

5 DR. GRAHAM: No, we would not agree with
6 that, with that estimate.

7 DR. FAICH: What's your estimate?

8 CHAIRMAN BONE: This sounds like it's
9 probably going to be critical. So let's settle this
10 if we can.

11 DR. GRAHAM: I don't have my slides right
12 in front of me, but if you look at the slide on the
13 UHC slide, it's early on in the drug use section of my
14 presentation, and it's the slide that shows the
15 falling prescriptions.

16 Go out to 12 months, and I think it was
17 something like 16 percent at 12 months.

18 CHAIRMAN BONE: Page 4?

19 DR. GRAHAM: Well, no. They want to know
20 how many people have gotten the drug for more than a
21 year. Is that the question?

22 CHAIRMAN BONE: That's what I'm trying to
23 get clear about.

24 DR. GRAHAM: Right. Well, if you look at
25 that slide and then look at month 13, and that will

1 show you that percentage is less than 16 percent. So
2 let's pick 15 percent.

3 Fifteen percent of 1.23 million people are
4 the number of people that we would estimate have used
5 troglitazone for more than a year.

6 CHAIRMAN BONE: So your estimate is about
7 190,000.

8 DR. GRAHAM: Whatever that math works out
9 to.

10 CHAIRMAN BONE: Is that what that comes
11 out? I think that's about that. All right. So let's
12 say in round numbers 200,000.

13 And the company has a different set of
14 estimates, I take it, and I believe you said that you
15 based this on pharmacy reports; is that right? Go
16 ahead, please.

17 DR. WATKINS: Our estimates come from
18 national data sources which samples from all new
19 starts at 11,000 pharmacies during three different
20 monthly periods. So we had three different curves
21 that we showed you, amounting to several thousand
22 patients, actually five or 6,000 patients at the
23 beginning of each of those cohorts, and then followed
24 those individual patients as long as they kept
25 refilling their prescriptions.

1 That is, it was a sizable number repeated
2 times three, nationally represented because then it
3 was extrapolated from the 11,000 to the 35,000 retail
4 pharmacies. We hold that it's a very good and stable
5 and accurate measure and doesn't derive from one
6 managed health care system.

7 So that as opposed to 16 percent in one
8 year, our data is 40 percent of patients were
9 persisting. We can argue about that one month bump at
10 the beginning, and that has to do with how the first
11 prescription was written and whether it crosses over.
12 So their methodology is always to carry all of the
13 patients from the first to the second month, but it
14 does not affect the subsequent refilling over time.

15 So we can argue about this, but those data
16 are very solid data.

17 DR. MARCUS: Your data were collected up
18 through March of this year, and yours were through
19 December?

20 DR. WATKINS: Through December, yes. We
21 based our analysis --

22 DR. MARCUS: That's another three months
23 that the number of people could have been on to pull
24 out that --

25 DR. WATKINS: But the critical issue is

1 how many people are persisting at one year as opposed
2 to whether you follow people along to say, well, how
3 many go --

4 DR. MARCUS: I understand that, but I'm
5 trying to reconcile these two numbers. It's
6 conceivable with three months' more experience there
7 could have been another 100,000 or so people who've
8 been on it a year.

9 CHAIRMAN BONE: Let me see if I get -- one
10 projection is 200,000. The other projection is
11 400,000. One projection is based on data from the HMO
12 group.

13 DR. GRAHAM: Well, if I could explain
14 though, that data comes from -- it's an IPA model
15 plan, which means it's basically practitioners who
16 contract with the plan to agree to that payment
17 schedule, and it's over nine different states. I
18 mean, it's not unrepresentative of the country.

19 CHAIRMAN BONE: I'm just trying to
20 understand. One is based on this IPA group and the
21 other is based on the pharmacy group, but whether it's
22 200,000 or 400,000, no body has seen a death
23 attributed to the drug due to liver failure after
24 patients has been on a year; is that right?

25 DR. GRAHAM: A case has not been reported.

1 CHAIRMAN BONE: Okay. That's --

2 DR. GRAHAM: That's different. That's
3 different than saying it hasn't occurred.

4 (Laughter.)

5 CHAIRMAN BONE: Do I understand correctly
6 though that no case reports are known to the company
7 or the agency for patients who have been on the drug
8 for more than a year?

9 PARTICIPANT: At this time.

10 (Laughter.)

11 CHAIRMAN BONE: Thank you, Doctor.

12 That's what I mean by known. That's what
13 I'm trying to find out.

14 DR. GENUTH: Are you suggesting that if
15 the patients just skipped the first year of therapy
16 everything would be okay?

17 (Laughter.)

18 CHAIRMAN BONE: Thank you.

19 I knew having with this kind of experience
20 would get us to a solution to the problem. No, I'm
21 just trying to get one thing everybody agreed on. I
22 enjoyed the moment.

23 (Laughter.)

24 CHAIRMAN BONE: Okay. Everybody here has
25 got questions, and I'm sure several people, and we're

1 going to go around to the people who haven't asked
2 questions yet and then come back.

3 Please, Dr. Hammes.

4 MR. HAMMES: Just a comment on that. The
5 pharmacy data that the Parke-Davis folks are
6 responding to, as a pharmacy professor and a
7 pharmacist, I can comment on that.

8 Pharmacy students have been gathering that
9 data since I was a student 30 years ago, and they
10 actually go to community pharmacies and go through
11 prescription files and record who got what and which
12 companies was dispensed. It's quite accurate data.

13 CHAIRMAN BONE: All right. So we have two
14 sets of accurate data. The question is how well we --

15 (Laughter.)

16 DR. GRAHAM: That is correct.

17 CHAIRMAN BONE: I think it's a question of
18 how we extrapolate.

19 All right. Let's see. Dr. Fleischer.

20 DR. FLEISCHER: I would like to ask,
21 again, just to the hepatologists who are here whether
22 or not such an asynchratic reaction should be looked at
23 in terms of person-time or person numbers. I mean,
24 that's the next big discrepancy in the two ways to
25 analyze the data, whether it needs to be done as

1 numbers of people versus the numbers of people over
2 time.

3 And that really is the second large
4 discrepancy between the FDA report from Dr. Graham and
5 the company, and I wonder does anybody have any
6 opinions about that.

7 CHAIRMAN BONE: Although we're trying to
8 stick to the questions related to the Parke-Davis
9 presentation --

10 DR. FLEISCHER: Oh, I'm sorry.

11 CHAIRMAN BONE: -- we'll permit this
12 particular one because it --

13 DR. FLEISCHER: I didn't realize that.

14 CHAIRMAN BONE: Well, let's --

15 DR. FLEISCHER: We can wait on that.

16 CHAIRMAN BONE: We can finish it now and
17 then come back. We've had the company's opinion and
18 the FDA's opinion, and we'll ask the hepatologist's
19 opinion, and then we'll be ready to go on with that.

20 DR. SEEFF: Well, since we're talking
21 about an idiosyncratic reaction, we don't know
22 precisely what causes it, and it could occur fairly
23 early or could occur late. I think in general, at
24 least in my experience, most cases if they have
25 occurred have occurred within the first year. It's

1 been very rare to see severe hepatotoxicity occurring
2 a year after one has begun this.

3 Now, the point that Dr. Graham makes is
4 that this may be simply a question of the fact that
5 there are very few people who are treated that long,
6 and we may not have seen it, but I think the general
7 consensus view and certainly among hepatologists is
8 that if you're going to get your toxicity, it's going
9 to occur within the first year. At least that's my
10 sense of it. I don't know how Jim feels.

11 CHAIRMAN BONE: Anything thing to add, Dr.
12 Lewis?

13 DR. LEWIS: Not really, but in answer to
14 your question, I think it's a little bit of both.
15 There are patients who are clearly on long-term drugs,
16 different diseases. INH may stop at a year. Most of
17 that injury is within the first two months to six
18 months, but there are anti-convulsants and other
19 things, and it's very unusual for us to see other
20 idiosyncratic reactions occur beyond the first year of
21 therapy.

22 I don't know how to interpret the fact
23 that the number of events has actually gone down. If
24 it's something idiosyncratic, it ought to be a
25 constant rate per person, whatever that rare rate is,

1 unless the population has changed in some way.

2 There's going to be genetics involved
3 here, and as Dr. Watkins pointed out, there's P-450
4 interactions and other things, and we don't know what
5 predisposes individuals. You know, an unlucky
6 individual gets this toxicity. We don't know what
7 those factors are, but the monitoring should be able
8 to reduce the number of severe reactions from early
9 onset liver injury, and that's really what I would be
10 focusing on.

11 Unless we're after a year when we don't
12 expect to see any further injury, but up to that year,
13 I guess if the numbers are falling off among newly
14 prescribed individuals who are taking it out for more
15 than six months, I'm not sure, you know, what's
16 different about them that they're not getting the same
17 injury.

18 I certainly understand it if somebody has
19 been on it for nine months or a year. They're
20 probably beyond the point where if they were going to
21 develop a toxic metabolite or something, they're
22 beyond that, and they're not going to get the
23 toxicity, but I don't think the number should actually
24 completely fall of detecting liver abnormalities.

25 But the monitoring will prevent the

1 fominant hepatitis.

2 CHAIRMAN BONE: Right. Okay. Just to
3 close this point, I just want to ask a specific
4 question, and I just want basically to know, and not
5 a long discussion here. We either have data or we
6 don't have data.

7 Do we know for a fact whether prescribing
8 practices have changed with regard to initial
9 prescribing in patients who might be judged at higher
10 risk for hepatic problem by their doctor? In other
11 words, are people being more careful about patients
12 with alcohol histories or other reasons to be
13 concerned about liver disease?

14 Does either the sponsor or the agency have
15 actual data on that question?

16 DR. ZERBE: Well, we don't have data. I
17 mean one would anticipate by the awareness and so
18 forth it might decrease, but there are no data.

19 DR. GRAHAM: The only data we have are
20 that the prescribing of troglitazone as monotherapy
21 has increased in each of the three cohorts that we
22 described.

23 CHAIRMAN BONE: But it doesn't address my
24 question.

25 DR. GRAHAM: It doesn't? Well, we don't

1 have that data.

2 CHAIRMAN BONE: Thank you. That's what
3 I'm trying to find out.

4 DR. GRAHAM: Well, unless monotherapy
5 somehow or another was related.

6 CHAIRMAN BONE: Okay. All right. Let's
7 see. Others. I don't think Dr. Illingworth has asked
8 a question of the sponsor yet. Please do.

9 DR. ILLINGWORTH: I raised this this
10 morning, but given the potential for drug interactions
11 through the cytochrome P3A4 system, if you look at the
12 patients who have had liver toxicity, has there been
13 any link with co-administration of other drugs
14 metabolized by that, or by a patient suddenly
15 deciding, hey, I'm going to drink three glasses of
16 grapefruit juice a day? Does that influence it?

17 DR. ZERBE: Paul, I think you're the best.

18 DR. WATKINS: There have been a couple of
19 patients who were admitted to the hospital and then
20 clearly had a great acceleration in the rate of liver
21 injury in very confusing settings with fevers,
22 antibiotics, et cetera.

23 And actually that was the first time, and
24 this is an area of my expertise, I thought of the
25 possibility that induction of 3A4 perhaps with a

1 certain antibiotic or something might explain that,
2 and it was a very perceptive point.

3 But there is no data that I'm aware of,
4 and I'll go back now and take a look to see if
5 something like that may make some sense.

6 And your second question? Oh, the
7 grapefruit juice. Yeah, grapefruit juice only affects
8 3A4 in the intestine, which should be irrelevant to
9 the issues we're talking about here, I think, unless
10 you have more insight than I do.

11 DR. ILLINGWORTH: I don't know. Is the
12 drug metabolized in part by the CYP 3A4 in the
13 intestine? Is less going to go to the liver because
14 it's metabolized at the intestinal level?

15 DR. WATKINS: Yes, it's a very good point.
16 I don't know the answer to that. It's a good thought.

17 CHAIRMAN BONE: Thank you, Dr.
18 Illingworth.

19 Are there any other members of the
20 Committee who have not asked a question yet of the
21 sponsor?

22 Dr. Hirsch, please.

23 DR. HIRSCH: I don't know. The sponsor
24 might know this, and I'm just wondering about as of
25 this moment the current prescribing practice. That

1 is, I'm assuming that there's two reasons for using
2 the drug. One is that it might be better than other
3 drugs for monotherapy, let's say, or useful as an
4 adjunct to other drugs when the others are failing.

5 So I guess the question is in both sides.
6 Let's take the failing side. What fraction of people
7 on sulfonylurea, insulin, et cetera, are failing and
8 now are getting Rezulin as compared with the total
9 group who are succeeding?

10 DR. ZERBE: Well, I think, if I can make
11 the question simple, perhaps a proportion of patients
12 that are on monotherapy versus combination, we just
13 followed up on the comment made by Dr. Graham. We
14 don't agree that there's been a change in the
15 frequency of monotherapy prescribing. That, in fact,
16 has remained stable. This is worldwide -- well, not
17 worldwide data but, you know, nationwide data, not
18 within the specific health care system that Dr. Graham
19 looked at.

20 So it's actually been very stable over
21 that period of time, and as I recall, the number is
22 around 20 percent monotherapy; is that right?

23 DR. HIRSCH: Twenty percent of monotherapy
24 is Rezulin; is that --

25 DR. ZERBE: No, no, no. I'm sorry. Was

1 that the question? I thought the question was --

2 DR. HIRSCH: Well, that's one question.

3 DR. ZERBE: -- whether there's a
4 difference between monotherapy and combination.

5 DR. HIRSCH: In monotherapy, how much
6 Rezulin is used, and how much Rezulin is used not in
7 initial monotherapy?

8 MR. WITCHER: I'm Jay Wright Witcher.

9 In the entire market, Rezulin monotherapy
10 accounts for not more than approximately two percent
11 of prescriptions. The breakdown of usage of Rezulin
12 is approximately in the period October to December
13 1998, for example, 20 percent monotherapy and 80
14 percent combination with one or more other drugs.

15 That percentage as a percentage of total
16 has actually declined from early 1998 when monotherapy
17 accounted during the first quarter of 1998 for as much
18 as 28 percent of use.

19 DR. HIRSCH: No, I've got two percent as
20 monotherapy. Tell me now the percent of people who
21 are on multiple drugs who are getting Rezulin

22 MR. WITCHER: The percent of the total or
23 the percent of Rezulin?

24 DR. HIRSCH: All people who are getting
25 sulfonylurea, insulin, metformin, whatever, who also

1 are -- what fraction of those fail and now get
2 Rezulin?

3 MR. WITCHER: That's a somewhat different
4 question.

5 DR. HIRSCH: Yes, it is.

6 (Laughter.)

7 MR. WITCHER: Toughly in the market right
8 now, the total amount of -- you sort of want to know
9 what's the total amount of monotherapy versus
10 combination therapy.

11 DR. HIRSCH: No, no. You've told me that
12 two percent of people on monotherapy are getting
13 Rezulin; is that correct?

14 MR. WITCHER: That's correct.

15 DR. HIRSCH: Okay. Period.

16 MR. WITCHER: Yes.

17 DR. HIRSCH: Now, people on other
18 therapies --

19 MR. WITCHER: Yes.

20 DR. HIRSCH: -- all of these other drugs,
21 what fraction of them fail and get Rezulin or
22 something of that sort?

23 Do you see what I mean?

24 PARTICIPANT: How many people are getting
25 combination therapy with --

1 DR. HIRSCH: With Rezulin versus those who
2 get combination therapy without Rezulin.

3 MR. WITCHER: Combination therapy with
4 Rezulin right now is approximately 80 percent of
5 Rezulin usage. I think I'm missing the point.

6 CHAIRMAN BONE: Dr. Hirsch is asking the
7 question of all patients getting combination therapy,
8 in what percentage is Rezulin being used.

9 DR. HIRSCH: Is Rezulin one of the combo.

10 MR. WITCHER: Of all patients, that would
11 be probably -- of all patients getting combination
12 therapy, which is roughly 27 percent right now of
13 everybody, something like perhaps 20 or 30 percent of
14 those would be getting Rezulin right now.

15 DR. HIRSCH: Okay. So 20 or 30 percent of
16 those.

17 MR. WITCHER: Right.

18 DR. HIRSCH: I've got it. Thank you.

19 CHAIRMAN BONE: And that would be about
20 five percent of all diabetics then or something like
21 that?

22 MR. WITCHER: Yes.

23 CHAIRMAN BONE: Thank you.

24 DR. HIRSCH: Good.

25 CHAIRMAN BONE: Okay. We got one. Okay.

1 Dr. Hammes.

2 MR. HAMMES: One key question comes to my
3 mind, and I'm not a diabetes expert by any means. I'm
4 a nuclear pharmacist. Dr. Graham's data on the
5 population based risk basically hinges on two cases;
6 is that correct? Two in your studies.

7 DR. GRAHAM: I mean, I think if you're
8 talking about the DPP trial --

9 MR. HAMMES: The DPP and the REACH.

10 DR. GRAHAM: -- and the REACH trial.

11 MR. HAMMES: yes.

12 DR. GRAHAM: I think -- I don't think it
13 just hinges on that. I think that -- but you're
14 entitled to draw your own conclusions.

15 MR. HAMMES: Your incidence rate I'm
16 looking at.

17 DR. GRAHAM: Well, right.

18 MR. HAMMES: You have two deaths
19 basically.

20 DR. GRAHAM: We have those population
21 based studies, and then we have our life table
22 modeling.

23 MR. HAMMES: Okay.

24 DR. GRAHAM: And that gives us a very
25 similar rate to that found in the DPP and in the

1 REACH.

2 MR. HAMMES: And now we heard data from
3 the sponsor that suggested that both of these cases
4 were confounding diseases or etiologies that could
5 explain at least a significant component of the liver
6 failure. I really need that expounded on.

7 If the risk from this side hinges on two
8 people, and both of those could be explained by a
9 different cause, I think that needs to be looked at a
10 little harder here.

11 CHAIRMAN BONE: Well, I guess have the
12 medical officer of the FDA and the physicians from the
13 sponsor met on those specific patients?

14 DR. GRAHAM: We have, and the company has
15 classified both of those as probable cases of acute
16 liver failure with troglitazone, and that was on their
17 slide.

18 CHAIRMAN BONE: Right. Now --

19 DR. ZERBE: Well, I think to say it's
20 probable and to say it's absolute, of course, are two
21 different things. We, in fact, have conceded the
22 points. There are complicating factors. We are not
23 trying to explain away any cases.

24 I think the other important point or
25 perhaps even more important point to discuss with

1 regard to the population estimates or the methodology
2 are really selecting trial and not looking at the
3 whole database.

4 If you look at the confidence intervals
5 that are created when you look at the whole database,
6 those confidence intervals actually do encompass our
7 estimates of baseline spontaneous reports. I think
8 that is the more issue than to try to discount the
9 cases.

10 There are many complicating factors on it,
11 but we're not trying to walk away from the
12 responsibility in those cases.

13 CHAIRMAN BONE: I think that leaves that
14 question partially answered.

15 Dr. Temple wishes to make a remark.

16 DR. TEMPLE: There's been some discussion
17 about the difference in methodology used to address
18 the population based material, and there's one crucial
19 point. Dr. Faich explained why he thinks you should
20 count each patient once whether they're on it for a
21 week or a month or a year, and David, of course, did
22 it differently. He did it per patient-year.

23 When you use the same method, I suspect
24 you get numbers that are not terribly different
25 because you only had 5,000 patients. So if the number

1 changes by 30 percent, it won't change that much, but
2 I had a question for Dr. Faich.

3 There's a continuing risk for at least six
4 months that looks very much the same. It's not one of
5 these things where all of the risk is in the first
6 week. So don't you feel at least -- or month -- don't
7 you feel at least some obligation to discount patients
8 who are only on treatment for a very short time?

9 Maybe you don't like David's approach to
10 do it per patient-year, but you've got to do something
11 for people who are treated only briefly.

12 DR. FAICH: Well, on the one hand, if we
13 do that, then we have to throw out the cases that
14 occurred in the first month of therapy from the
15 numerator to some extent if we're going to do this,
16 but, on the other hand, this is where this persistence
17 issue becomes very important.

18 It is true that some 20 percent of
19 starters don't have a second prescription, depending
20 which data you look at.

21 DR. TEMPLE: I just need the population
22 data. You have the duration of therapy in all those
23 cases. I mean just the population cases.

24 DR. FAICH: So what you're saying is if
25 you get rid of, adjust the denominator, take out those

1 patients who discontinue early because they're not at
2 risk. Is that right?

3 DR. TEMPLE: No -- yes, that their risk
4 may be --

5 DR. FAICH: In which case, you're going to
6 remove ten percent or 20 percent of the total number
7 of new starters. I'm suggesting it's not that large.
8 In some areas, in some drug classes, it becomes very
9 important because 80 percent of patients never fill
10 the second prescription, but that doesn't appear to be
11 the case here.

12 DR. TEMPLE: But you actually have data
13 here. So you can do it. The point is there seems to
14 be a continuing risk or more or less constant risk you
15 could actually say at least for six or eight months.
16 You just counted patients as one whether they were in
17 for a month or for eight or nine months. That's not
18 what epidemiologists usually do, but you can argue
19 those points.

20 But you might have done it zero to three
21 months, three to six months. There's a lot of ways to
22 do it, but you only just said one exposure is an
23 exposure.

24 DR. FAICH: The issue here is whether your
25 monthly risk changes over time. You're just saying

1 it's constant over time, but if we're going to sum --
2 what I'm saying is something different. I'm saying
3 that you have that monthly risk in month two, month
4 three, month four, month five. It's constant, but
5 that, in fact, if you're susceptible -- it's an issue
6 of susceptible -- you're only susceptible once.
7 You're only going to get it once.

8 So that you don't have to actually sum
9 person times. You sum the number of people who stayed
10 in for more than -- I'm willing to acquiesce to
11 saying, you know, you have minimal risk in the first
12 month.

13 CHAIRMAN BONE: Thank you.

14 Okay. I think the Committee are
15 intelligent enough to figure out which they think is
16 appropriate here.

17 I think we have several questions left.
18 These are all questions pertinent to the sponsor
19 presentation; is that right, from the Committee? Dr.
20 Illingworth just asked a question. Dr. Molitch, did
21 you have a question for the sponsor?

22 DR. MOLITCH: Yes.

23 CHAIRMAN BONE: Go ahead.

24 DR. MOLITCH: Do we have a breakdown on
25 the kinds of physicians who are prescribing Rezulin

1 because this may have something to do with the
2 ascertainment of cases?

3 DR. ZERBE: I'm sure that's something we
4 do have, but I don't have it at my fingertips, but can
5 we go on with the next question?

6 DR. MOLITCH: Sure.

7 DR. ZERBE: And we'll come back with the
8 answer just to be --

9 CHAIRMAN BONE: Dr. Cara had a question.

10 DR. CARA: If you don't think that there
11 is continued risk with continued exposure, then what
12 is the value of continued monitoring?

13 DR. ZERBE: Well, there are changes in the
14 -- there are changes in the recommendations for the
15 frequency of monitoring after eight months. So there
16 is some decrease. I think we have just been reluctant
17 to totally eliminate monitoring. We don't know yet
18 whether there will be cases at a later point.

19 I think that, you know, the idea that
20 there will be none is probably unrealistic. At some
21 point there will be cases later on. They may or may
22 not be related to the drug, but they will be reported,
23 and I think that's a realistic expectation.

24 DR. CARA: If you look at that graph that
25 we've talked about a couple of times now that's on

1 page 19 of Dr. Pierce's presentation, what's a little
2 bit disturbing to me is if you look towards the tail
3 end of that, you know, 18 to 19 months, there's a blip
4 up that's fairly substantial.

5 Now, granted those are few patients, but
6 if we're indeed talking about a few patients and the
7 jaundice/hyperbilirubinemia rate per 100,000 patients
8 is actually going up at that point --

9 DR. ZERBE: I think I can answer the
10 question. If not, I'll call up the colleagues, but we
11 obviously have looked very carefully at that.

12 Dr. Pierce pointed out that that basically
13 is essentially one patient at each of those months,
14 and the reason it appears to be going up is the
15 denominator, the numbers of patients exposed at that
16 very extreme end of the curve, is going down so
17 dramatically.

18 So the problem is, you know, it's unstable
19 data at that point, frankly, and bilirubin and
20 jaundice, of course, is even more difficult to assess
21 in terms of specific etiology.

22 CHAIRMAN BONE: Thank you.

23 Are there other questions? Dr.
24 Illingworth and then Dr. Genuth.

25 DR. ILLINGWORTH: Recognizing the sort of

1 ethical issues concerning rechallenge, have any
2 patients you've had a rise in liver enzymes then gone
3 back down after the drug has been stopped been re-
4 given the drug to see whether they re-get a rise in
5 liver enzymes?

6 DR. ZERBE: Well, in terms of a
7 rechallenge, you mean? I believe there have been
8 some, but perhaps the more important issue, and I
9 think Dr. Whitcomb mentioned it or somebody mentioned
10 it -- it may have been Paul -- in the clinical trials
11 there were patients that had elevations, as you'll
12 recall, and 50 percent of those patients -- this was,
13 you know, up to the discretion of the physician
14 whether they stopped the drug -- 50 percent of the
15 patients continued therapy and returned to normal
16 while on drug.

17 Now, we're not suggesting that be done
18 obviously, but it does point out that, in fact, you
19 know, it's not irreversible in all situations.
20 Unfortunately, we can't tell which ones they are. So
21 they all have to stop.

22 CHAIRMAN BONE: I'd also raise the
23 possibility that they might have had some other reason
24 for enzyme elevation besides what's going on here.

25 DR. ZERBE: Yeah, and I think that's a

1 very important point, particularly at the level of
2 enzyme elevations that many of them, you know, were
3 describing.

4 CHAIRMAN BONE: Yeah. I mean, we always
5 see some changes in liver enzymes during clinical
6 trials which may or may not be related to the test
7 drug. So we may be talking about two different or
8 more than two different reasons for enzyme changes.

9 Was that the point you were getting at
10 here?

11 DR. GRAHAM: No. We have -- one of our
12 cases of acute liver failure was a patient who
13 developed hepatitis, had an ALT that rose up to, I
14 believe, around 700, was stopped on the drug, and then
15 a couple of weeks later was restarted. We don't know
16 what their ALT was when it was restarted, but then
17 that patient over the next six weeks went into liver
18 failure.

19 DR. ZERBE: Just so that, you know,
20 there's full disclosure of information, I have been
21 told that we have rechallenged six patients. Three
22 did return, go up. I don't know whether this case was
23 amongst them or not. This was in clinical trials, and
24 three went up and three did not go up on rechallenge.

25 CHAIRMAN BONE: I take it you're not

1 planning to do that again.

2 DR. ZERBE: No.

3 CHAIRMAN BONE: Thank you.

4 Dr. Marcus.

5 DR. MARCUS: I see from those of us with
6 airplane schedules that the two-minute warning has
7 sounded. I have a suggestion to make that I think may
8 be helpful to the sponsor, and I want to make sure
9 that it gets here before we have to disband early.

10 It's clear from what I've heard that it
11 seems that the source of the reporting for jaundice
12 and liver problems is coming not from physicians so
13 much as it's patient driven.

14 Furthermore, I've heard from our
15 hepatologist colleagues that the rate at which ALT
16 goes up can be very precipitous, indeed, and so the
17 question is whether a month, even once a month
18 screening is adequate is questionable.

19 Now, if the patient has to come into the
20 doctor's office once a month, that's a little bit of
21 a burden. If you're asking them to come in once a
22 week, that's even more of a burden and probably
23 impractical.

24 But the one thing we do know about
25 diabetics, is that they are willing to do daily home

1 glucose monitoring, and there are methods for doing
2 home monitoring of all sorts of things on just a drop
3 of blood.

4 You could ask for the first year of
5 therapy, could you not, that a patient monitor his ALT
6 once a week or even on a daily basis and try to pick
7 up these things when it is in a very early stage. I
8 could see that even with the same drop of blood, if
9 you had some clever device company that Dr. Sobel
10 could push through approval for --

11 (Laughter.)

12 DR. MARCUS: -- you could get a
13 simultaneous readout of a blood glucose and an ALT,
14 and that could solve a lot of this problem of
15 screening.

16 CHAIRMAN BONE: All right. I think that's
17 an interesting way to look at this in the future.

18 I think if we've completed asking our
19 questions -- oh, no, Dr. Genuth. I'm sorry.

20 DR. GENUTH: I think the gentleman who is
21 going to cure liver disease with a device might be
22 interested you idea.

23 (Laughter.)

24 DR. GENUTH: Some member of the sponsor's
25 team -- I can't remember which -- emphasized the word

1 "persistence," I think in connection with the fact
2 that at the end of one year of Rezulin, there was
3 still 40 percent of the people still taking it. Now,
4 maybe this is the cup is half full, the cup is half
5 empty problem, but I would look at that and say at the
6 end of one year 60 percent of the people who started
7 on Rezulin are no longer taking it, and I'm wondering
8 why.

9 Now, it's not because of jaundice or
10 hepatic failure. I think no matter what the debate is
11 on the incidence, it's not 60 percent. It's not
12 likely to be side effects because in the clinical
13 trials all the usual kinds of complaints of patients
14 are not any higher than in the placebo group.

15 It might be lack of efficacy, that is, the
16 physician has tried it and has given up, and it could
17 be price, and I know this isn't exactly the place to
18 get into economics, but some of my patients tell me
19 that is a problem.

20 Do you have any idea? I mean how good is
21 a drug that's going to have to be given for life if
22 after one year 60 percent of the people aren't on it
23 anymore?

24 MR. WITCHER: Well, unfortunately, the
25 first part of the response to that question is that

1 this data, which is broadly applicable to any chronic
2 care therapy and is used as an industry standard, is
3 not dissimilar for chronic use of virtually most any
4 drug you can think of, Dr. Genuth, whether non-
5 steroidal or, you know, statins or other drugs like
6 that.

7 It is commonly the rule that in chronic
8 care 50 percent of patients are off drug after six
9 months, and we have -- the second part of the answer
10 to the question is we have looked retrospectively
11 using market research techniques to go back and ask
12 about reasons for discontinuation, and you touched on
13 them, and they're all over the map.

14 Patient lost to follow up, switched to
15 another agent, does show up. Cost influences it.
16 It's all over the map, and there's no particular
17 pattern that emerges.

18 CHAIRMAN BONE: What happens on your
19 database if somebody just changes pharmacies?

20 MR. WITCHER: That is also similar for
21 other diabetes therapies. Excuse me. Pardon me.

22 CHAIRMAN BONE: What happens if they just
23 change pharmacies?

24 MR. WITCHER: That's a phenomenon that
25 causes a dropout in the way the data is collected, and

1 we've worked extensively with the people who collect
2 this data, and they feel very confident that the data
3 is nonetheless broadly applicable.

4 CHAIRMAN BONE: Obviously that
5 consideration would not apply except in people
6 changing their health plans. Then I guess you'd have
7 the same loss.

8 DR. GRAHAM: Right. I wanted to make a
9 comment about why the difference in their persistence
10 curve and our persistence curve, and I think it has to
11 do with what we've measured and how we've measured it.

12 We took a cross-sectional snapshot of
13 everybody within a captured population who ever took
14 troglitazone, and that includes people -- a person who
15 just started troglitazone, say, in November of '98 in
16 our cross-sectional snapshot would show up as somebody
17 who's only on the drug for one month.

18 What the company has done is they've taken
19 sort of like three different periods of time and
20 followed a cohort of 100 people or 1,000 people out as
21 far as they can to see what the actual pattern of use
22 is in those people.

23 But if you were to take those three things
24 and superimpose them now to say, well, what does the
25 overall shape of the curve look like, it would end up

1 looking like our curve.

2 CHAIRMAN BONE: Are you telling me that
3 when you're saying that 16 percent of the people are
4 remaining on drug after a year --

5 DR. GRAHAM: No. What that 16 percent
6 says is that for the time the troglitazone has been on
7 the market, 16 percent of people who ever used
8 troglitazone, and that includes the people who just
9 started it in December; those people, that 16 percent
10 of all those people are still on it a year.

11 Now, if we were to break it down by
12 cohorts, cohort one, cohort two, cohort three, well,
13 the people who are contributing to the long time at
14 the end are people who started in cohort one, because
15 they had the opportunity to be on the drug that long.

16 CHAIRMAN BONE: I think the Committee
17 understood you to be saying that people who started
18 the drug a year ago, only 16 percent were remaining.

19 DR. GRAHAM: No, no, no. This was cross-
20 sectional data, and the importance of it is when you
21 want to model what is the distribution of total
22 prescription use in the country, that you have to do
23 it that way. You can't look at the model the way they
24 have because it will overestimate what the total
25 burden in the population is.

1 DR. HIRSCH: What percent do remain? I
2 can't figure this out.

3 CHAIRMAN BONE: Do I understand then -- I
4 think what you have to do or what you don't have to do
5 might depend on what you're trying to analyze, but do
6 I understand then that Dr. Graham would not dispute
7 the sponsor's estimate that of people who started the
8 drug a year ago, 40 percent may remain on therapy at
9 the present time? Does that sound like we're not
10 disagreeing about that?

11 DR. GRAHAM: We haven't addressed that
12 question and analyzed it. So we're not in a position
13 to say whether we agree or disagree. We looked at
14 something very different because we wanted to model
15 all prescription use in the country. We wanted to
16 model time, exposure time and risk.

17 CHAIRMAN BONE: Okay. So you're looking
18 at a different point there altogether. I think that's
19 very important for everybody to understand. It wasn't
20 clear to me until just now.

21 Thank you.

22 Dr. Seeff.

23 DR. SEEFF: You sigh as you say that.

24 I'm very intrigued with a comment that was
25 made earlier about the frequency of monitoring. I

1 think that all of us will agree, and I think that Dr.
2 Watkins will agree that the ALT is not the best way to
3 monitor. It's the best thing that we have. We really
4 don't know how to monitor for hepatotoxicity and much
5 more research needs to be done, and I can tell you
6 that NIDDK is thinking very seriously about this
7 issue and wants to proceed by looking for a better way
8 of monitoring for hepatotoxicity not only in people
9 who have normal enzymes, but in people who have
10 abnormal enzymes and who's put onto a drug.

11 I do think that the figure of three times
12 the upper limit of normal is a rather arbitrary
13 number, and while I think that the sponsor has done a
14 great job with the hepatologists of trying to work out
15 when to test, that is, if it goes up to more than one
16 and a half times the upper limit of normal, call the
17 patient back a week later, test, and then when you get
18 to three times the upper limit of normal withdraw; I'm
19 not sure that that should be done.

20 I think it maybe should be more sensitive,
21 and if it goes up a second time, I would already begin
22 to be very concerned, but I think that this is an
23 unusual opportunity if everything works out otherwise
24 to, in fact, do a study to look at this in people who
25 are, in fact, having blood drawn on a regular basis

1 and learning more about what happens and looking for
2 other means of determining hepatotoxicity.

3 CHAIRMAN BONE: Thank you, Dr. Seeff.

4 Are there any further questions directed
5 at the sponsor?

6 (No response.)

7 CHAIRMAN BONE: All right. Thank you.

8 All right. It's now 4:18. I think that
9 we will clearly dispense with the intermission that
10 was originally planned for the afternoon and ask
11 everyone's endurance here.

12 I'm sorry.

13 (Pause in proceedings.)

14 CHAIRMAN BONE: Thank you.

15 The next item will be the summary and
16 charge to the Committee and introduction to the
17 questions by Dr. Bilstad, followed by some discussion
18 within the Committee, and then we'll address the
19 questions.

20 Dr. Bilstad.

21 DR. BILSTAD: Henry, I wonder if we could
22 have just a moment to answer some points that were
23 made about the United Health Care Study, some comments
24 that were made by Dr. Pierce?

25 I would like Dr. Graham just to --

1 CHAIRMAN BONE: Very briefly, please.

2 DR. BILSTAD: -- address just a couple of
3 issues that I think are important for understanding.

4 CHAIRMAN BONE: All right if you're sure
5 that the Committee didn't understand it before.

6 Thank you.

7 DR. GRAHAM: Right. Regarding the UHC
8 data that we used for our enzyme monitoring study, Dr.
9 Spurgeon is not the Chief Medical Officer for the
10 United Health Care as stated by the company. He is
11 the Medical Director of one of the UHC affiliated
12 health plans of which there are 13.

13 Now, the question Dr. Spurgeon raised in
14 his letter to Parke-Davis was shared by Parke-Davis
15 with us, and we immediately investigated the questions
16 that were raised in Dr. Spurgeon's letter.

17 After doing that, we communicated our
18 findings to the company yesterday. It turns out that
19 Dr. Spurgeon was talking about a small and not well
20 documented survey that he and others in his plan
21 conducted in a group of people in a rural setting.
22 The problem he identified was not found in
23 metropolitan areas, which accounts for most of the UHC
24 database.

25 Also, Dr. Spurgeon's health plan relies on

1 capitated data, which is known to be of low quality
2 because of incompleteness.

3 For this reason, the Research Center for
4 United Health Care does not use data from Dr.
5 Spurgeon's capitated plan in their research database,
6 and the problems he raised are not applicable to our
7 study.

8 I also spoke with the real Director of
9 Research for United Health Care, and she is confident
10 that the problems described do not impact on our data.

11 CHAIRMAN BONE: Thank you.

12 As I said -- thank you very much, Dr.
13 Graham -- as I said, we'll now ask Dr. Bilstad to give
14 his summary and charge to the Committee and
15 introduction to the questions. We'll have a period of
16 time for the Committee to discuss further amongst
17 ourselves, and then we'll address the questions
18 concerning which the FDA has asked our advice.

19 DR. BILSTAD: I'm going to speak from
20 here, Henry.

21 CHAIRMAN BONE: Fine.

22 DR. BILSTAD: And my comments will be very
23 brief.

24 CHAIRMAN BONE: Thank you.

25 DR. BILSTAD: Lanh, could you show the

1 first projection?

2 Obviously assessing the benefits and the
3 risks in this situation posed a significant challenge
4 for all of us, and we've heard data from Dr. Graham on
5 the risk side that we feel is cause for concern. The
6 sponsor has presented information about the short-term
7 and potential long-term benefits of troglitazone.

8 Could I have the next one?

9 Certainly lowering blood sugar is well
10 accepted as an important goal in the treatment of
11 diabetes, and support for the effect of better control
12 for hyperglycemia comes particularly from the DCT and
13 from the U.K. PDS, and the data mostly support the
14 effect on microvascular complications.

15 The next slide.

16 The problem really is how directly we can
17 extrapolate from these data to troglitazone, which of
18 course has not been studied long term.

19 I did want to just briefly, if you could
20 go -- yes, I wanted to mention some of the regulatory
21 options that are available in this situation, and this
22 is by no means meant to be comprehensive or
23 exhaustive.

24 The first option: continue to monitor
25 closely the number of reported cases of liver failure.

1 Basically that would be the watchful, waiting
2 approach. That could be combined with increased
3 educational efforts and other efforts.

4 The second option listed is to decrease
5 the recommended time interval for monitoring liver
6 function, and that is if you believe that decreasing
7 that interval would help to pick up some additional
8 cases. It raises the question how many cases it would
9 pick up. Obviously there becomes a point of
10 diminishing returns. That comes at a great cost, too.

11 The third option I have listed is to make
12 the distribution of the drug dependent on monitoring
13 liver function, and this can be done under what we
14 refer to as Subpart H of the regulations, the so-
15 called accelerated approval regulations, a part of
16 which deals with restricted distribution if that is
17 necessary from a safety standpoint to be able to use
18 the drug safely. So that is an option if it was felt
19 that monitoring is very essential to preventing cases
20 of severe liver failure, and if, in fact, we were
21 convinced that monitoring really was not being done
22 even in the face of labeling recommendations and
23 educational efforts.

24 Next slide.

25 And finally, another regulatory option

1 would be, of course, to eliminate one or more of the
2 indications based on the assessment of benefits and
3 risks, and one of the ones certainly that has been
4 questioned, whether the benefits do outweigh the risk,
5 is in the case of monotherapy.

6 So with that, I will close. Obviously
7 there's a number of areas here where we don't have all
8 the information, but we're asking the Committee to try
9 to answer the questions based on the information that
10 we have available at this time.

11 Thank you.

12 CHAIRMAN BONE: Thank you, Dr. Bilstad.

13 I think what I'd like to do now -- did you
14 have any further comments on the questions at all?

15 DR. BILSTAD: No, I was going to leave
16 those to you. I have them on the projector if anybody
17 -- but I don't think it's necessary. Everybody has
18 them.

19 CHAIRMAN BONE: Yeah, and I think the
20 audience all have copies, as well, if I'm not
21 mistaken.

22 All right. Well, everyone is familiar
23 with the question. I'm just going to give a quick
24 overview, go back to the Commission discussion, and we
25 can come back to the questions.

1 The first question has to do with whether
2 the benefits of this therapy outweigh the risk for
3 each of its approved indications.

4 The second question has to do with if the
5 answer to the first question is yes, how can that be
6 improved.

7 And the third question, if the answer to
8 the first question about the favorable benefit-risk
9 ratio is no, how could it be modified or improved by
10 a change in the labeling.

11 And the fourth question has to do with
12 comments about the use in combination with both
13 sulfonylurea and metformin.

14 And the fifth question has to do with what
15 additional information should be sought.

16 I'm just going to go around, I think, in
17 as systematic a way as any, to just go around the
18 table and invite comments from each of the members of
19 the Committee. This may generate some discussion.

20 We're hoping to be able to conclude at a
21 reasonable hour, but the most important thing is to
22 adequately discuss this very serious issue. So we
23 want to make sure that we have done that, that
24 important points are all given adequate consideration.

25 Perhaps I'll just start with Dr. Hirsch

1 and work around the table.

2 DR. HIRSCH: Well, I'm going to base my
3 answers to the questions on the way I understand what
4 happened today.

5 CHAIRMAN BONE: Okay.

6 DR. HIRSCH: And my own understanding of
7 this, and I'll be very brief.

8 CHAIRMAN BONE: I'm not asking you to
9 answer the questions now.

10 DR. HIRSCH: I'm not going to answer the
11 questions, sir.

12 CHAIRMAN BONE: Okay.

13 DR. HIRSCH: I'm just going to give you
14 the facts on which I'm going to answer the questions.

15 CHAIRMAN BONE: Okay.

16 DR. HIRSCH: As I understand them.

17 CHAIRMAN BONE: Very good. That's just
18 what --

19 DR. HIRSCH: And I hope everyone will do
20 something like that because that might help us.

21 I have now some estimate in my own mind of
22 the confidence limits of this problem, and I think
23 that in five years either there will be hundreds of
24 people who will have died of liver disease or
25 thousands of people, and it's not clear, and I don't

1 think I know how to make that evaluation, but I think
2 it's somewhere in that range.

3 I think that the business of trying to
4 determine by ALT or other available techniques who's
5 going to get that is a weak read at best, but it is
6 prudent, I think, to keep following this sort of thing
7 in my own kind of mind.

8 Now, I'm going to base my judgments on the
9 following. Given the fact that there is this risk,
10 which I believe has some tangible element to it, I now
11 want to apply this drug to where it does definitely
12 most good, and that is in the case where other known
13 drugs have been tried and are failing, and this may
14 prop up the patient and make a better situation vis-a-
15 vis the complications.

16 There was no time to attend the many other
17 matters, like the inevitable weight gain that does
18 seem to go on in most of the studies, and I note that
19 that's not factored in. That is, weight loss modifies
20 Type 2 diabetes. Weight gain makes it worse, et
21 cetera.

22 But even so, I do believe that
23 troglitazone improves the lot of people who are on
24 other drugs and are failing, and I think it should be
25 used for that and that only.

1 CHAIRMAN BONE: Thank you.

2 Ms. Killion.

3 MS. KILLION: Well, I think there are some
4 serious -- well, I think we know that there are
5 serious areas of concern here. The four that I seem
6 to respond to from my perspective was that there
7 seemed to be fundamental disagreement about whether
8 the treatment, the use of the drug, whether there's a
9 window of risk that you pass through at a certain time
10 and then you're safe or safer, or whether there is a
11 cumulative risk, that the longer you're exposed, the
12 greater your risk of developing something, that you
13 don't pass through safely at some time.

14 Then there seemed to be an idea of
15 patients, which of course I'm concerned about whether
16 there is in the patient population a certain
17 percentage of people who are susceptible to this liver
18 problem and, therefore, their exposure to the drug,
19 which unfortunately we can't identify these people
20 yet, is a problem, is a serious problem, or whether
21 there is, again, this idea of cumulative risk where
22 even if you were not susceptible, even if you're just
23 otherwise functioning, your continued exposure may
24 create a susceptibility that was not genetic or some
25 otherwise in place at the time.

1 So those are the areas that I thought, and
2 when I'm considering this as a patient, as someone
3 with diabetes, I have to respond to a comment that was
4 made earlier at the meeting that seared me, which was
5 that patients don't care whether they die of a
6 troglitazone reaction or diabetes.

7 That is not the case. You know, the
8 advances that have been made in diabetes over the last
9 ten years are phenomenal. I'd like to be around for
10 as many of those years as possible. Maybe we'll find
11 a cure for this problem, but the treatment certainly
12 -- I don't want the treatment to be worse than the
13 disease.

14 And so it is important. We do want
15 something that works that has an efficacy value to it,
16 and we want our risks reduced. So limiting it to
17 certain people, if we can identify, that is the way we
18 need to go.

19 CHAIRMAN BONE: Thank you.

20 Next is Dr. Fleischer.

21 DR. FLEISCHER: Well, I think that the
22 drug has excellent effect in diabetes management, but
23 I think the issue is which of the models for the liver
24 abnormalities are going to be correct, and so prudent
25 continued monitoring and following of this in some

1 accurate way is critical to what should happen.

2 CHAIRMAN BONE: Thank you.

3 Dr. Colley, do you have comments?

4 DR. COLLEY: I would echo that. I don't
5 think the story is completely known yet based on the
6 huge discrepancy in the numbers of patients believed
7 to be at risk for liver failure. For that reason,
8 limiting exposure and increasing the adherence to the
9 monitoring would be critical, in addition to educating
10 patients.

11 A lot of the symptoms that may occur are
12 very nonspecific and may not otherwise cause concern,
13 and before a patient takes this drug, they need to be
14 very aware of what type of symptom they should be
15 bringing to their provider's attention.

16 CHAIRMAN BONE: Thank you.

17 Dr. Cara, do you have comments you want to
18 make about the general discussion here?

19 DR. CARA: I've tried to sort of summarize
20 in my own mind what I've learned or at least make some
21 conclusions, try to reach some conclusions about the
22 information that's been presented today, and what I've
23 sort of thought about for my own based on what I've
24 heard is that I think the incidence of liver disease
25 is significant, but the mortality is relatively low

1 when you look at it in the context of everything that
2 can essentially hurt or kill a person with diabetes.

3 And in my best estimate from what I've
4 heard, I'm sort of guessing at an average mortality
5 rate of about one to 5,000 with metformin from liver
6 disease.

7 The other conclusion is that monitoring
8 has a place in reducing mortality, but it may not be
9 carried out as indicated necessarily by physicians.
10 So that I think that full disclosure and patient
11 awareness is especially critical.

12 I think the benefits are very clear,
13 especially when used in combination with insulin
14 mimetic or insulin treatment, insulin medications,
15 such as sulfonylureas, perhaps metformin, and with
16 insulin because of the fact that a glycohemoglobin
17 drop of one and a half to two units is very -- has
18 very significant impact on overall mortality and
19 morbidity related to diabetes.

20 There's still the issue of weight gain,
21 and that really needs to be adequately addressed and
22 effectively treated.

23 Those are the conclusions that I've
24 reached, and I would propose that Question 1 be
25 modified a little bit based on the questions that

1 followed to sort of cross off the part that says "with
2 the currently labeled indications, warning, and
3 precautions," and then talk about those in two and
4 three.

5 CHAIRMAN BONE: Dr. Kreisberg, please.

6 DR. KREISBERG: Well, it's very apparent
7 that reasonable people can disagree, and we've heard
8 from noted experts today about what they think the
9 risk is, and I find it incredible that there's so much
10 divergence in opinion.

11 I think this is an important new class of
12 drug, and I'm going to rely very heavily on valued
13 colleagues who practice and take care of patients with
14 diabetes on a day in and day out basis and see lots of
15 them.

16 And I've read through all of the letters
17 of testimony, as well as hearing the testimony today,
18 and to a large extent, I think this information is as
19 valuable or more valuable than the theoretic issues
20 that have been brought up here that are based on
21 modeling and very few events.

22 CHAIRMAN BONE: Thank you, Dr. Kreisberg.

23 Dr. Molitch.

24 DR. MOLITCH: I don't think I really have
25 much to add, though much has been said already. I do