

This transcript has not been edited or corrected, except where relevant for the deletion of materials not releasable under the Freedom of Information Act. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

1

6750 99 APR 15 11:14

**FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH
ONCOLOGIC DRUGS ADVISORY COMMITTEE**

**61st Meeting
(Open Session)**

**Tuesday,
March 23, 1999**

**Versailles Ballroom
Holiday Inn Bethesda
8120 Wisconsin Avenue
Bethesda, Maryland**

IN ATTENDANCE:

RICHARD L. SCHILSKY, M.D., Acting Chair
Director, University of Chicago
Cancer Research Center
The University of Chicago Medical Center
5841 South Maryland Avenue, MC1140
Chicago, Illinois 60637

KAREN M. TEMPLETON-SOMERS, Ph.D., Executive Secretary
Advisors & Consultants Staff, HFD-21
Food and Drug Administration
5600 Fishers Lane
Rockville, Maryland 20857

KATHY S. ALBAIN, M.D.
Professor of Medicine
Division of Hematology/Oncology
Loyola University Medical Center
Cancer Center, Room 109
2160 South First Avenue
Maywood, Illinois 60153

DAVID H. JOHNSON, M.D.
Director, Division of Medical Oncology
Department of Medicine
Vanderbilt University Medical School
1956 The Vanderbilt Clinic
Nashville, Tennessee 37232

JAMES E. KROOK, M.D.
Principal Investigator
Duluth CCOP
400 East Third Street
Duluth, Minnesota 55805

STACY R. NERENSTONE, M.D.
Associate Clinical Professor
Oncology Associates, P.C.
Helen & Harry Gray Cancer Center
Hartford Hospital
85 Retreat Avenue
Hartford, Connecticut 06106

IN ATTENDANCE: (Continued)

ROBERT OZOLS, M.D., Ph.D.
Senior Vice President, Med Sciences
Fox Chase Cancer Center
7701 Burholme Avenue
Philadelphia, Pennsylvania 19111

DEREK RAGHAVAN, M.D., Ph.D.
Associate Director
University of Southern California
Norris Comprehensive Cancer Center
Head of Medical Oncology
1441 Eastlake Avenue, Room 3450
Los Angeles, California 90033

VICTOR M. SANTANA, M.D.
Associate Professor
Department of Hematology/Oncology
The University of Tennessee
332 North Lauderdale
Memphis, Tennessee 38101

RICHARD M. SIMON, D.Sc.
Chief, Biometric Research Branch
National Cancer Institute
Executive Plaza North, Room 739
Bethesda, Maryland 20892

GEORGE W. SLEDGE, JR., M.D.
Professor
Departments of Medicine and Pathology
Indiana University School of Medicine
Indiana Cancer Pavilion
535 Barnhill Drive, Room 473
Indianapolis, Indiana 46202

Also Present

KENNETH McDONOUGH, Patient Representative
North Huntingdon, Pennsylvania

C O N T E N T S

PAGE

Call to Order and Opening Remarks	
Richard Schilsky, M.D.	6
Introduction of Committee	6
Conflict of Interest Statement	
Karen M. Templeton-Somers, Ph.D.	7
Sponsor Presentation of NDA 21-051, Temodal (temozolomide), Schering Corporation	
Introduction	
Colin Turnbull, Ph.D.	9
Disease Background	
John Kirkwood, M.D.	12
Pharmacokinetics/Metabolism	
David Cutler, M.D.	18
Clinical Data	
Robert Spiegel, M.D.	22
Clinical Perspective	
Hilary Calvert, M.D.	38
Summary	
Robert Spiegel, M.D.	43
Questions from the Committee	44

C O N T E N T S

	PAGE
FDA Presentation	
Martin Cohen, M.D.	79
Questions from the Committee	96
Committee Discussion and Vote	108

P R O C E E D I N G S (8:07 a.m.)

1 DR. TEMPLETON-SOMERS: We're ready to start
2 now.

3
4 I'd like to introduce Dr. Richard Schilsky, who
5 will be the acting chair for this meeting. Dr. Dutcher
6 can't be here.

7 I'd also like to announce that our consumer
8 rep, Carolyn Beaman, became ill yesterday. She's okay now,
9 but could not make it to this meeting. We did attempt to
10 find a last-minute replacement, but were not successful, so
11 we will be going without a consumer rep for this morning's
12 meeting.

13 Dr. Schilsky?

14 DR. SCHILSKY: Thank you.

15 Good morning. I think we should begin with
16 introductions of the committee members, so perhaps we can
17 start with Dr. Johnson.

18 DR. DAVID JOHNSON: David Johnson, medical
19 oncologist, Vanderbilt University.

20 DR. ALBAIN: Kathy Albain, medical oncologist,
21 Loyola University, Chicago.

22 DR. SANTANA: Victor Santana, pediatric
23 oncology, St. Jude's Children's Research Hospital, Memphis,
24 Tennessee.

25 MR. McDONOUGH: Kenneth McDonough, patient

1 representative, Stage 3 melanoma survivor.

2 DR. RAGHAVAN: Derek Raghavan, medical
3 oncologist, University of Southern California.

4 DR. OZOLS: Bob Ozols, medical oncologist, Fox
5 Chase Cancer Center, Philadelphia.

6 DR. SCHILSKY: I'm Rick Schilsky. I'm a
7 medical oncologist from the University of Chicago.

8 DR. TEMPLETON-SOMERS: Karen Somers, executive
9 secretary to the committee, FDA.

10 DR. KROOK: Jim Krook, medical oncologist,
11 Duluth CCOP.

12 DR. NERENSTONE: Stacy Nerenstone, medical
13 oncology, Hartford, Connecticut.

14 DR. SLEDGE: George Sledge, medical oncologist,
15 Indiana University.

16 DR. JUSTICE: Bob Justice, acting director,
17 Division of Oncology Drug Products, FDA.

18 DR. COHEN: Martin Cohen, medical oncologist,
19 FDA.

20 DR. JOHN JOHNSON: John Johnson, clinical team
21 leader, FDA.

22 DR. SCHILSKY: Okay. I think Dr. Somers has a
23 conflict of interest statement.

24 DR. TEMPLETON-SOMERS: The following
25 announcement addresses the issue of conflict of interest

1 with regard to this meeting and is made a part of the
2 record to preclude even the appearance of such at this
3 meeting:

4 Based on the submitted agenda for the meeting
5 and all financial interests reported by the participants,
6 it has been determined that all interests and firms
7 regulated by the Center for Drug Evaluation and Research
8 which have been reported by the participants present no
9 potential for conflict of interest at this meeting, with
10 the following exceptions:

11 In accordance with 18 USC, Section 208(b)(3),
12 full waivers have been granted to Dr. Kathy Albain, Dr.
13 Derek Raghavan, Dr. Victor Santana, and Dr. George Sledge.
14 A copy of these waiver statements may be obtained by
15 submitting a written request to the agency's Freedom of
16 Information Office, Room 12A30 of the Parklawn Building.

17 In addition, we would like to disclose that Dr.
18 George Sledge, Dr. Derek Raghavan, Dr. Robert Ozols, and
19 Dr. Richard Schilsky have reported involvements in Bristol
20 Myers-Squibb, the sponsor of a competing product to
21 Temodal, which do not constitute a financial interest in
22 the particular matter within the meaning of 18 USC, Section
23 208, but which could create the appearance of a conflict.
24 The agency has determined, notwithstanding these interests,
25 that the interest in the government in their participation

1 outweighs the concern that the integrity of the agency's
2 programs and operations may be questioned. Therefore,
3 these individuals may participate fully in today's
4 discussion and vote concerning Temodal.

5 In the event that the discussions involve any
6 other products or firms not already on the agenda for which
7 an FDA participant has a financial interest, the
8 participants are aware of the need to exclude themselves
9 from such involvement, and their exclusion will be noted
10 for the record.

11 With respect to all other participants, we ask
12 in the interest of fairness that they address any current
13 or previous involvement with any firm whose products they
14 may wish to comment upon.

15 Thank you.

16 DR. SCHILSKY: Thank you.

17 I'm told that no one has requested previously
18 an opportunity to speak before the committee, but are there
19 any members of the audience who wish to make a public
20 statement at this time?

21 (No response.)

22 DR. SCHILSKY: If not, I guess we'll proceed
23 directly to the sponsor's presentation.

24 DR. TURNBULL: Good morning. I'm Colin
25 Turnbull, vice president, oncology clinical research,

1 Schering-Plough Research Institute. On behalf of Schering-
2 Plough, I would like to thank the FDA for giving us the
3 opportunity to present temozolomide to this ODAC meeting
4 today.

5 This ODAC is, of course, familiar with
6 temozolomide, having considered the drug 2 months ago for
7 the glioblastoma multiform and anaplastic astrocytoma
8 indications, recommending an accelerated approval for
9 anaplastic astrocytoma.

10 Can I have the next slide, please.

11 The indication we are seeking today for
12 temozolomide is for the first-line treatment of metastatic
13 melanoma.

14 Next slide, please.

15 At the outset, we would like to acknowledge
16 that we recognize that temozolomide is not a breakthrough
17 in the treatment of metastatic melanoma. Temozolomide is
18 essentially an analog of the standard melanoma treatment,
19 dacarbazine, or DTIC, with which it shares the same active
20 moiety, the monomithialtrazine, MTIC. In contrast to
21 dacarbazine, however, which has to be administered
22 intravenously and which requires hepatic metabolism for its
23 activation to MTIC, temozolomide is orally administered
24 with 100 percent bioavailability, and it forms the active
25 moiety MTIC spontaneously.

1 Next slide, please.

2 This common mechanism of action with
3 dacarbazine, together with observations of objective tumor
4 responses with temozolomide in Phase I and II trials in
5 patients with metastatic melanoma and the fact that this is
6 a convenient oral drug, provided the impetus for the
7 pivotal trial comparing temozolomide to dacarbazine, which
8 we will be presenting to you today.

9 Next slide, please.

10 We will in particular be addressing three key
11 issues in the presentations that follow. First, in spite
12 of the fact that the trial results did not quite meet the
13 statistical criteria for demonstrating superiority to DTIC
14 on survival, we will make the case for the pivotal trial
15 having convincingly demonstrated effectiveness of
16 temozolomide and at least equivalence to dacarbazine, and
17 we will address the issue of the validity of dacarbazine as
18 a comparator in this disease against the background that
19 even though it is standard palliative treatment for
20 metastatic melanoma, there have been no trials comparing
21 dacarbazine to observation or placebo.

22 Next slide, please.

23 Dr. John Kirkwood will now provide a brief
24 overview of metastatic melanoma and its treatment, and will
25 review the available data on the efficacy of dacarbazine in

1 this disease. Dr. David Cutler will then describe the
2 mechanism of action of temozolomide and dacarbazine,
3 together with the pharmacokinetics of the active moiety
4 MTIC, following their respective administration. Dr.
5 Robert Spiegel will present the pivotal clinical trial, and
6 following a clinical perspective on temozolomide and
7 melanoma from Dr. Hilary Calvert, who was one of the U.K.
8 investigators on this pivotal trial, Dr. Spiegel will lead
9 the discussion.

10 Dr. Kirkwood?

11 DR. KIRKWOOD: Good morning. I'm John
12 Kirkwood, vice chairman of medicine and director of the
13 Melanoma Center at the University of Pittsburgh Cancer
14 Institute, as well as the chairman of the Eastern
15 Cooperative Oncology Group's melanoma committee. I've been
16 asked to provide a disease overview today on melanoma for
17 this committee.

18 The incidence and death rate from melanoma have
19 risen continuously for the time in which we have good
20 statistical evidence in the U.S., as well as many other
21 countries, and the rising death rate from this tumor is
22 particularly seen amongst elderly males over 60, and its
23 continuing role as the leading cause of cancer death among
24 solid tumors in women 25 to 30 adds substantial relevance
25 to discussions we will talk about today.

1 Next slide, please.

2 In melanoma the prognostic factors related to
3 disease stage are paramount in guiding us with respect to
4 prognosis. The prognosis for localized Stage 1 and 2
5 disease is the most favorable for lymph node involvement,
6 Stage 3 disease more ominous, but for Stage 4 disease the
7 monotonous history of all therapies that we have pursued in
8 this disease and the median survival of 6 months has been
9 unchanged for decades in this disease.

10 Next slide, please.

11 For Stage 4 melanoma the prognosis is predicted
12 by the site of metastasis, where visceral disease is more
13 ominous than non-visceral disease, and where hepatic
14 disease of all sites outside the brain is the most ominous.
15 Performance status and gender are additional prognostic
16 factors which were used for the stratification of the
17 studies of temozolomide that will be presented today. In
18 addition, the number of metastatic sites and the duration
19 of remission before metastasis are recognized prognostic
20 factors in Stage 4 melanoma.

21 Next slide, please.

22 The median survival of melanoma in Stage 4 is 6
23 months, with a range between 5 and 9 months. There is
24 significant variability for individual patients and between
25 series, dependent upon the prognostic factors for each.

1 There are long-term survivors ranging between 1.5 and 5
2 percent in repetitive series in the literature.

3 Next slide, please.

4 The goals of treatment for the oncologist and
5 for patients with melanoma are several. For many the goal
6 is palliation of symptoms, because that is all that we can
7 convincingly say with our agents at hand, and preservation
8 of quality of life and toxicity, therefore, are key factors
9 in the decisions for palliatherapy. For some patients an
10 effort to prolong survival is the goal, and for these
11 patients modest potential gains with available agents allow
12 us to consider this in a factored analysis with the quality
13 of life. For a small fraction of patients the goal is
14 cure, and for these patients toxicity may become
15 irrelevant.

16 Next slide, please.

17 The treatment options for patients with
18 metastatic Stage 4 melanoma range from observation for
19 patients who are asymptomatic and have indolent disease of
20 a variety of non-visceral sites to surgical resection of
21 solitary disease in single organs or sometimes more than
22 one organ system. For patients with bone and brain
23 metastatic disease, radiation therapy is the standard
24 recourse, and for patients with multiorgan involvement,
25 systemic therapy with immunotherapies, including

1 interleukin-2, chemotherapy -- dacarbazine in particular,
2 and combinations -- as well as biochemotherapy are
3 considered.

4 Of all of the agents that we have available for
5 the treatment of melanoma, as I've mentioned, dacarbazine
6 is the one with which we have the greatest experience. For
7 dacarbazine treatment, the overall response rates range
8 between 10 and 20 percent, the complete response rates
9 between 2 and 5 percent, and median duration of response
10 between 3 and 6 months. Approximately one-quarter of
11 responses are complete.

12 Next slide, please.

13 In a pooled analysis of 22 randomized studies,
14 1,095 patients treated with dacarbazine, the cumulative
15 mean response rate was found to be 16.2 percent, the 95
16 percent confidence intervals between 14 percent and 18
17 percent, and response rates range between 6 and 25 percent.

18 Next slide, please.

19 Unfortunately, in the literature there are no
20 comparisons between dacarbazine and placebo or best
21 supportive care. This is, as we all recognize, the only
22 licensed cytotoxic agent for the treatment of metastatic
23 melanoma, and it is commonly, therefore, used as a single
24 agent for the treatment of this disease. It is also,
25 therefore, commonly included in combinations for treatment

1 of this disease.

2 The next slide shows five randomized studies in
3 the literature, the only that we could find in which
4 dacarbazine was compared to a non-dacarbazine combination
5 or single agent. For these five studies, it's notable that
6 for the three in which there was survival data reported,
7 dacarbazine beat the comparator, BCNU/vincristine, BVP in
8 our study from Yale, BCNU/vincristine from the Southwest
9 Oncology Group, and for the largest of these comparative
10 studies between dacarbazine and other agents, that against
11 TIC mustard performed in 1976, the response rate was three-
12 fold that for TIC mustard, 18 percent versus 6 percent, but
13 there are no survival data available.

14 Next slide, please.

15 In our own Eastern Cooperative Oncology Group,
16 in a study reported in June of last year, dacarbazine was
17 the pillar drug in a 2x2 factorial design study in which we
18 attempted to show the synergism with either interferon
19 alpha or tamoxifen. For this study, it is notable that no
20 combination was shown to be superior in terms of time to
21 progression or overall survival, but the overall survival
22 values, best in this portrayal here for dacarbazine, are
23 notable.

24 Next slide, please.

25 It is notable that for dacarbazine, then, no

1 single agent has been shown to be superior to this agent in
2 randomized, controlled trials in terms of either response
3 rate or survival, and no combination has been shown to be
4 superior in randomized controlled trials.

5 At ASCO this year Scott will report our
6 M91/ECOG/Memorial Sloan-Kettering/Hoosier Oncology Group
7 study, in which dacarbazine was compared against the
8 commonplace Dartmouth combination, including tamoxifen,
9 BCNU, cisplatin, and dacarbazine, and will again show no
10 superiority for this combination against dacarbazine.

11 Our own current cisplatin, vinblastine,
12 dacarbazine, interleukin-2, and interferon alpha
13 combination, so-called biochemotherapy, was actually
14 proposed originally to be compared against dacarbazine, and
15 it was the unanimous vote of the Eastern Cooperative
16 Oncology Group and Southwest Oncology Group melanoma
17 committees to compare this polybiochemotherapy regimen
18 against dacarbazine. CVD was adopted as the reference only
19 for reasons of scientific purity in this final trial.

20 Therefore, when we consider dacarbazine,
21 toxicity of this agent is important. It requires 1 to 5
22 days of intravenous administration, with visits to the
23 clinic for every patient who gets treated. It is
24 associated with a frequency of up to one-third of phlebitis
25 and local pain at the site of administration, which has led

1 to a whole series of efforts to try to reduce local
2 regional toxicity at the site of infusion with coverage of
3 the I.V. lines. It is associated with nausea and vomiting,
4 which is easily now controlled with antiemetics, but also
5 with neutropenia and veno-occlusive disease in a rare
6 patient.

7 In summary, dacarbazine provides useful
8 palliation for symptomatic metastatic disease. It has
9 consistent activity against melanoma across a number of
10 trials that I've reviewed. It is the only approved
11 chemotherapeutic agent for Stage 4 melanoma, and it is the
12 standard of care for this disease in the community. It is
13 a component of nearly all combinations for this reason, and
14 I believe it is the only appropriate comparator for new
15 agents such as temozolomide, to be presented today.

16 Thank you.

17 The next presentation will be given by Dr.
18 David Cutler on the pharmacokinetics of this agent.

19 DR. CUTLER: Thank you very much. I'm Dr.
20 David Cutler from the Department of Clinical Pharmacology
21 at Schering-Plough. I would like to spend the next few
22 minutes contrasting aspects of the metabolism of
23 temozolomide and dacarbazine and discussing the
24 implications of the metabolic versus chemical
25 transformation of these two similarly structured agents to

1 their active species MTIC. And, finally, I would like to
2 briefly review the salient pharmacokinetics of MTIC in
3 patients enrolled in the pivotal study I95-018.

4 Next slide.

5 Temozolomide is an orally bioavailable
6 cytotoxic agent of the amidotetrazene class, and it's an
7 analog of the approved agent dacarbazine. Both agents are
8 inactive prodrugs of the active alkylating agent MTIC.
9 MTIC exerts effect primarily by resulting in DNA alkylation
10 and transmethylation of the O6 site of guanine.

11 Temozolomide is unique in that its conversion to MTIC is a
12 non-biologically mediated, pH-dependent chemical reaction
13 which occurs rapidly in vitro and will occur in vivo, with
14 a half-life of 1.8 hours in all tissues in which
15 temozolomide is present. In contrast, dacarbazine, a
16 structurally related agent, has to be converted
17 metabolically in the liver through a saturable process to
18 the agent MTIC, which then can effect its action.

19 The importance of this difference can be seen
20 if one makes a simplified compartmental picture of the
21 body. This rather busy slide can be broken into two
22 halves, with the upper half describing the
23 compartmentalization of dacarbazine and the bottom half the
24 compartmentalization after administration of temozolomide.

25 For dacarbazine after I.V. administration, the

1 dose ends up circulating in the bloodstream. Along its
2 concentration gradient, dacarbazine will diffuse into the
3 liver, where a portion will be converted to the active
4 agent MTIC. MTIC then will diffuse, again, along its
5 concentration gradient from the high concentrations in the
6 liver, into the bloodstream, and from there be transported,
7 again, through the bloodstream and along its concentration
8 gradient into the peripheral tissues and the tumor, where
9 it can have its effect.

10 Temozolomide, on the other hand, after oral
11 administration is approximately 100 percent orally
12 bioavailable. Temozolomide enters the bloodstream, and
13 some of it will diffuse into the liver along the
14 concentration gradient and be converted in the liver
15 spontaneously to MTIC. This reaction, however, will occur
16 also locally in the blood, where there will be local
17 generation of MTIC, and temozolomide will diffuse into
18 tissues, which will result, again, in the tissues and tumor
19 local generation of MTIC. The net result of this is higher
20 blood level of the active agent MTIC and, by inference,
21 higher tissue and tumor concentrations of MTIC generated
22 locally at the tumor site.

23 Next slide, please.

24 In the pivotal study I95-018, which will be
25 presented in detail later today, a subgroup of patients who

1 were enrolled at sites were able to perform
2 pharmacokinetics at multiple-dose pharmacokinetics of MTIC
3 determined on Day 4 of administration. The doses that were
4 used in the study for temozolomide were 200 mg/m² per day
5 by oral administration for 5 days, and for dacarbazine, 250
6 mg/m² per day by I.V. administration for 5 days.

7 Next slide, please.

8 This slide is a concentration time curve of the
9 concentrations of MTIC observed after administration of
10 temozolomide, in the open circles in white, and in the
11 closed yellow circles, after I.V. administration of 250
12 milligrams of dacarbazine. One can see that although one
13 administered lower doses of temozolomide orally, there was
14 a higher MTIC concentration generated in the blood. If one
15 looks in the upper right-hand corner at the AUC, area under
16 the concentration time curve, the exposure to MTIC after
17 temozolomide administration was approximately 90 to 100
18 percent greater than that observed after administration of
19 250 mg/m² of dacarbazine.

20 Next slide, please.

21 In summary, I'd like to conclude that
22 temozolomide and dacarbazine are chemically related
23 prodrugs of the active compound MTIC, and that compared
24 with I.V. dacarbazine, the non-metabolic conversion of
25 temozolomide to the active species MTIC results in

1 increased concentrations of MTIC in the plasma and, by
2 inference, in the peripheral tissues and in the tumors.

3 I'd like to now turn over the podium to Dr.
4 Robert Spiegel, who will discuss the safety and efficacy
5 data from the pivotal trial.

6 DR. SPIEGEL: Thank you, David.

7 I'm Dr. Robert Spiegel, senior vice president
8 of medical affairs at Schering-Plough, and I'd like to
9 spend the next 20 minutes or so going through the design
10 and the results of the pivotal trial I95-018, a randomized
11 Phase III study of temozolomide versus dacarbazine in
12 patients with first presentation of metastatic melanoma.

13 Next slide.

14 The key characteristics of this study include
15 the following. The population was to recruit patients with
16 first presentation of metastatic melanoma. It was a
17 randomized, controlled trial conducted in 34 sites in 14
18 countries. Notably, we did not utilize sites in the U.S.,
19 as at the time in 1995 that this study was being designed,
20 there were three large competing trials either under way or
21 about to start at major centers in the U.S. Enrollment was
22 completed with 305 patients accrued during the period July
23 1995 to February 1997, and at the end of enrollment 156
24 patients had been randomized to temozolomide versus 149 to
25 dacarbazine. Components of the trial design included

1 central randomization and stratification for prognostic
2 factors.

3 It was noted by the FDA in their briefing book
4 that we did not seek specific consultation on the design of
5 this trial, and at the time, in contrast to the glioma
6 program, we did not feel there were substantial issues, and
7 the trial design was actually quite straightforward, and
8 I'd like to discuss those elements of the trial design in
9 the next few slides.

10 The primary endpoint selected for this study
11 was overall survival in the intent-to-treat population.
12 The protocol also specified two secondary endpoints,
13 progression-free survival and response rate. The
14 statistical design was premised on a target hazard ratio of
15 1.5. This was chosen on the assumption that dacarbazine
16 would result in a 6-month median survival, and that an
17 observed 9-month median survival with temozolomide would
18 have 80 percent power to detect a difference, which would
19 involve recruitment of 260 patients and an analysis when
20 210 deaths had occurred. The protocol also specified two
21 interim analyses and a calculation that a final P value
22 would have to be adjusted to the 0.045 level to show
23 significance.

24 Next slide.

25 Key eligibility criteria included the

1 following: histologically confirmed metastatic melanoma at
2 first presentation, with at least one measurable lesion; no
3 previous systemic treatment for metastatic disease was
4 permitted, although patients were allowed to have had one
5 prior adjuvant regimen; and no CNS metastases were to be
6 present at the time of study entry.

7 Next slide.

8 This slide describes the trial schema. As
9 mentioned, stratification was done for three factors prior
10 to randomization: patient gender, performance status, and
11 disease site. Patients were then randomized to one of two
12 treatment regimens. Temozolomide, in the schedule that was
13 just described by Dr. Cutler, was to be administered as a
14 200 mg/m² oral dose for 5 days, to be repeated every 28
15 days. Patients on that treatment arm had clinical
16 assessment performed every 4 weeks and radiographic
17 assessment every 8 weeks. Patients randomized to
18 dacarbazine received intravenous dacarbazine at its
19 approved dose of 250 mg/m² in a 5-day schedule, repeated
20 every 21 days. Patients on that arm of the study had
21 clinical assessment performed every 3 weeks and
22 radiographic assessment every 6 weeks.

23 Now, the consequences of this difference in the
24 schedule of tumor assessment in the treatment arms will be
25 addressed in my subsequent slides.

1 Next slide.

2 I'd like to spend a moment discussing the
3 demographics of the population that was enrolled, using the
4 intent-to-treat population. There are a number of
5 interesting features on this slide that I'd like to point
6 out, beginning with the age. The median age in this study
7 was 58, and of interest, this is about 10 years older than
8 most of the studies in the literature, and it's about 15
9 years older than the patients who were in the IL-2 pivotal
10 trials. It's also noteworthy that the age of patients
11 enrolled in this study actually went into their 80s, and
12 I'm going to show you some of the responses that occurred
13 even in patients who were in their 70s and 80s. But it
14 does show that this was an older population in general than
15 the reference literature.

16 Male gender is also known to be a poorer
17 prognostic factor than female gender. In this study about
18 60 percent of the patients recruited were males. Nine
19 percent more were randomized to temozolomide than
20 dacarbazine, but this was not statistically significant.
21 Also, performance status is a very strong prognostic factor
22 in this disease, and of note about 45 percent of the
23 patients entered into this trial were WHO Grade 1 or 2,
24 simply showing that we did not recruit a particularly good
25 prognosis group in this study.

1 The next slide shows that somewhat further by
2 looking at the baseline disease characteristics of the
3 recruited population, and almost a third of the patients,
4 evenly distributed, presented for this study with
5 metastatic disease to the liver, which is a poorer
6 prognostic group, and only 8 percent of the patients had
7 subcutaneous or skin-only disease. We also looked at time
8 from initial diagnosis to metastatic disease and time from
9 metastatic disease to randomization, and these were equally
10 balanced between the treatment arms.

11 Next slide.

12 This slide shows the pivotal endpoint
13 prescribed in the protocol -- that is, the overall survival
14 in the intent-to-treat population. I would point out that
15 in this result the curves separate early and remain
16 separated, and the median survival estimate from these
17 Kaplan-Meier curves yields a time of median survival of 7.7
18 months for temozolomide versus 6.4 months for dacarbazine.
19 This has a P value of 0.2, a hazard ratio of 1.18, and a
20 confidence interval around that hazard ratio that ranges
21 from 0.92 to 1.52.

22 I'd like to spend a moment in the next slide
23 discussing the meaning of this hazard ratio, the P value,
24 and the 95 percent confidence interval around the hazard
25 ratio. If one wants to prove superiority, the hazard ratio

1 needs to be greater than 1. We were greater than 1 at the
2 1.18 level, but the P value was 0.2, meaning that this
3 could have happened by chance at the 0.2 level and not at
4 the prespecified 0.045 level, the corrected value for
5 statistical significance.

6 Now, if one is not excluded by the lower limit
7 of the confidence interval, one cannot prove superiority.
8 However, the question arises in the absence of proof of
9 superiority, what is the threshold for proving a lack of
10 inferiority? In bioequivalence this is conventionally set
11 at the 0.8 level, or a more rigorous standard might be to
12 exclude 0.9. In this study the lower limit of the 95
13 percent confidence interval is 0.92. This means since this
14 is a two-sided test, there's a 2.5 percent chance that
15 temozolomide could be 8 percent worse than DTIC. This
16 amount of difference, however, amounts to only 14 days.

17 We, therefore, believe a conclusion can be made
18 of equivalence, justified by the following: that the lower
19 bound of the 95 percent confidence interval was 0.92, which
20 is above the usual convention for equivalence, and that the
21 worst-case scenario of 8 percent inferiority equates to
22 approximately a 14-day difference. The legitimacy of this
23 equivalence test has been challenged in the FDA's review.
24 I should say that we have discussed these results with
25 expert statistical consultants who have advised us that

1 when the point estimate for superiority is so close, it is
2 not inappropriate to test for equivalence.

3 Moreover, what I'd like to now review are a few
4 other aspects of this survival outcome that demonstrate a
5 consistency of the effect seen.

6 Next slide.

7 This slide depicts a very complete subgroup
8 analysis for the overall survival, looking at hazard
9 ratios. It shows that in both large groups and small
10 groups, in groups that have both favorable and unfavorable
11 prognostic factors, there is a consistent trend on the
12 point estimate showing improvement trending toward
13 temozolomide over dacarbazine in all but a single subgroup,
14 and this single subgroup is very close to unity.

15 Next slide.

16 We also thought it would be important, although
17 the intent-to-treat was the primary analysis, to assure
18 ourselves and to assure you that there was no difference
19 when one looked at a legitimate treated eligible population
20 of those patients who met entry criteria and actually
21 received the study drug to which they were randomized.
22 Therefore, before the database was locked, the sponsor made
23 an analysis of what we considered to be logical and
24 significant categories that should be excluded from a
25 treated eligible population.

1 What we found was that there were seven
2 patients who had the wrong diagnosis at entry or did not
3 have adequate demonstration of metastatic disease in the
4 temozolomide group, and six patients in the dacarbazine
5 group who met the same criteria. There were an additional
6 five patients in temozolomide and seven in dacarbazine who
7 never received study drug after randomization. This,
8 therefore, defined a treated eligible population of 144
9 patients on temozolomide and 136 on dacarbazine, and in the
10 next slide I'd like to show the results of an analysis of
11 that group.

12 Before doing that, let me remind you again of
13 the analysis of the intent-to-treat population, which
14 showed median survival rates of 7.7 versus 6.4,
15 significance only at the 0.2 level, and a hazard ratio of
16 1.18. The next line shows the results of a similar overall
17 survival analysis only in the treated eligible population,
18 and the results widen, with the median survival for
19 temozolomide in this group reaching 7.9 versus 5.7 in the
20 dacarbazine group, a P value of 0.054, which still does not
21 reach the adjusted rate of statistical significance, but a
22 confidence interval that gets closer to the value of 1.

23 I've also on this slide included for you the
24 FDA's assessment, and we have simulated the FDA evaluation
25 of an eligible population, which would exclude another 25

1 patients. In the FDA's process, they applied a very strict
2 criteria from the protocol of inclusion and exclusion
3 criteria and included to be excluded those patients whose
4 laboratory values just missed entry criteria. For example,
5 the criteria for entry in the protocol required a
6 hemoglobin of 10. Any patient with hemoglobins of even 9.8
7 or 9.9 were excluded in this latter analysis by the FDA.

8 Interestingly, even in this population the
9 results are very similar, 7.9 goes to 7.7 as the median
10 survival for the temozolomide group, and the dacarbazine
11 group from 5.7 to 5.8. The statistical significance is
12 even less, of course, because the denominator is less.
13 However, we believe this analysis also shows a consistency
14 of the results, although we certainly agree that the
15 primary analysis specified in the protocol was the intent-
16 to-treat.

17 Next slide.

18 I'd now like to turn to the first of the
19 secondary analyses prespecified in the protocol, which
20 would be progression-free survival. Looking at this curve,
21 the curves begin to separate close to the time of the first
22 evaluation, between the first and second months. It
23 separates at that point, and it stays separated with a 6 to
24 10 percent range of separation, indicating that more
25 patients in the temozolomide group were still progression-

1 free throughout the study. As the FDA notes, however, the
2 clinical meaningfulness of this median difference is
3 questionable.

4 This is also an analysis which is subject to
5 the potential bias of differences in the time of
6 evaluation. In an attempt to analyze whether this bias may
7 in fact have played a strong role, we did look specifically
8 at the 2-month time point, and as you will note, at 2
9 months both treatment groups had had their first
10 radiographic assessment, and both treatment groups had gone
11 through two clinical assessments, therefore making their
12 evaluation at that point relatively equivalent or, if
13 anything, perhaps biasing against temozolomide. At 2
14 months the progression-free survival favored temozolomide
15 at a 39 percent rate versus a 30 percent rate for
16 dacarbazine.

17 Next slide.

18 The other secondary endpoint in the protocol,
19 and one that the FDA has asked you to evaluate subsequently
20 today, is the objective response rate. On this slide, I've
21 portrayed both the sponsor's analysis and the FDA analysis
22 of objective response rate. On the left-hand side, our
23 results show that we determine there to be four complete
24 responders in the temozolomide group and four complete
25 responders to dacarbazine. We also assess there to be 17

1 partial responses versus 14, for overall response rates of
2 13.5 percent versus 12.1 percent.

3 The FDA did an independent assessment of
4 patient eligibility and of tumor measurements. They
5 confirmed that there were four complete responders in the
6 temozolomide and the dacarbazine group; however, they
7 determined that there were 15 and 10 partial responses,
8 leading to comparative response rates overall of 12.2
9 percent versus 9.4 percent. This is a relatively low rate
10 compared to the medical literature on dacarbazine, but it
11 is consistent with the poorer prognostic indicators that I
12 mentioned in the demographics of the population recruited
13 to the study.

14 Now, I've shown here the 95 percent confidence
15 intervals around each of these response rates, and in the
16 sponsor's analysis the 13.5 percent could range from 8.1 to
17 18.9, and the 12.1 could range from 6.9 to 17.3. These
18 rates can be manipulated to calculate odds ratios of 1.377,
19 and as noted in the FDA's briefing book, this means that
20 temozolomide could, in truth, have anywhere from 60 percent
21 of the activity of dacarbazine to up to 2.7 times the
22 activity of dacarbazine.

23 I must say as a clinical oncologist, this is
24 not the conventional way that I've been trained to look at
25 what I consider to be relatively equal response rates in a

1 relatively large patient population, and I interpret these
2 response rates, together with survival and the quality of
3 the responses, to mean that there is real activity here,
4 and as I think you will appreciate, I'd like to show you a
5 little more detail about why I believe this activity is
6 real.

7 Next slide.

8 We've looked specifically at the duration of
9 the response. This slide shows the median objective
10 response duration for the responders. I'm presenting here
11 the FDA analysis of this result. Among the 19 temozolomide
12 patients, the median response duration was 5.5 months.
13 Among the 14 dacarbazine responders, the median response
14 was 3.22 months. These, of course, are small numbers, but
15 they seem to indicate that a longer duration of response
16 was obtained in those patients who were randomized to
17 temozolomide, and the FDA has used a log rank test to
18 calculate a P value of 0.003 around this statistic.

19 Next slide.

20 Perhaps more meaningfully to the oncologists on
21 the committee today would be to look at some of the
22 specifics of the complete and partial responders, and on
23 this and the following slide, I've given somewhat more
24 detail, and I realize this is a little busy, but I hope
25 it's helpful for you. I should say that this represents

1 data that was updated in March of 1999, but there are a few
2 interesting features. One is the age of the patients.
3 There are a number of elderly patients here in their 70s
4 and 80s who became complete responders, and I think it's
5 notable that these patients would be very unlikely
6 candidates for IL-2 treatment and probably unlikely
7 candidates for combination chemotherapy, which would be
8 more toxic than single-agent therapy. In the temozolomide
9 group, three of the four complete responders are alive at
10 greater than 3 years after randomization, and also of note,
11 two of the four complete responders on temozolomide had
12 visceral disease at study entry, and two of them had skin
13 or subcutaneous disease only.

14 The next slide is even busier. It shows the 17
15 partial responders determined by the sponsor. Again, many
16 of these patients had visceral disease at entry. It's
17 notable that three of these patients have ongoing partial
18 responses of greater than 2.5 years duration. And, again,
19 my personal assessment is that this type of response
20 pattern would not happen by chance alone, but reflects an
21 active drug.

22 Next slide.

23 We also looked at the survival of responders at
24 fixed endpoints which are of usual clinical interest, 12,
25 18, and 24 months. This gives a snapshot of the survival

1 benefit at fixed endpoints. Again, this was not
2 prespecified in the protocol, but we thought it was
3 worthwhile to show that the responses appeared to be
4 meaningful in those patients who became responders: at 12
5 months 90 percent of the 19 temozolomide objective
6 responders versus 72 percent of those in dacarbazine, at 18
7 months the statistic was 71 percent versus 56 percent, and
8 at 24 months 62 percent versus 36 percent.

9 If one calculates the overall median survival
10 for all the responders, this figure, which is estimated
11 because median survival has not been reached yet, but takes
12 a worst-case analysis, shows 26.1 months for the
13 temozolomide group versus 20.9 months, again suggesting
14 that responders to temozolomide might have longer duration
15 response and perhaps longer survival.

16 I would now like to turn briefly to the safety
17 observed in this indication and in this study.

18 Next slide.

19 One measure of safety is the number of patients
20 who require dose reduction. This slide shows that among
21 the temozolomide patients, 85 percent were able to complete
22 their treatment at full dose, and an additional 12 percent
23 required a one-dose level of reduction, for a total
24 accounting for 97 percent of all the patients. Only 3
25 percent required a dose reduction two levels down. This is

1 similar to the dacarbazine outcome, which, of course, the
2 clinicians have more experience administering. Ninety-
3 three percent were able to complete the study at their full
4 dose, 3 percent required a one-dose level of reduction, and
5 4 percent a two-dose level of reduction. Overall only a
6 handful of patients dropped out due to treatment-related
7 adverse events, five on the temozolomide arm versus seven
8 in dacarbazine.

9 Next slide.

10 Another traditional assessment of tolerability
11 would be the number of adverse events of Grade 3 or 4 that
12 occurred at any time during treatment, and I want to point
13 out that this slide and the briefing book that we have
14 prepared does show adverse events at any time. With that
15 in mind, we actually think it's noteworthy that although
16 the incidence of nausea and vomiting appears similar in the
17 analysis that was performed, most of the vomiting with
18 temozolomide occurred during the first cycle, which was a
19 period when fewer patients on the temozolomide arm were
20 receiving prophylactic antiemetics than were those patients
21 who were treated with dacarbazine, where it was permitted
22 in the protocol.

23 Next slide.

24 As Dr. Kirkwood mentioned in his review,
25 myelotoxicity is a well-known consequence of dacarbazine.

1 This slide shows the number of patients who change from a
2 Grade 0 or 2 baseline hematologic value to a Grade 3 or 4
3 during treatment, and we show a relatively similar pattern
4 of myelotoxicity, with neutropenia occurring in 22 percent
5 of the patients on temozolomide versus 15 percent on
6 dacarbazine. There was no difference in the incidence of
7 neutropenia-related infections or in the use of growth
8 factors, which occurred in about nine patients on each arm
9 of the study. The thrombocytopenia was similar in its
10 quality and in the number of patients who were noted to
11 have decreases in their platelets throughout the treatment
12 cycles.

13 Next slide.

14 In summary, we believe the melanoma trial shows
15 an acceptable safety profile for temozolomide and
16 comparable safety to dacarbazine in the rate of overall
17 adverse events, in a similar character and number of Grade
18 3/4 adverse events, the myelotoxicity profile, and in a
19 similar low dropout rate. Also of note, this is a similar
20 safety profile to the overall experience which we have
21 accrued with temozolomide in over 1,017 patients, which was
22 reviewed with this committee in the context of glioma at
23 our last meeting.

24 Next slide.

25 We believe one can conclude from my summary of

1 effectiveness that there's a consistent evidence here that
2 this drug is effective. Temozolomide and dacarbazine are
3 both active, as indicated by the objective responses.
4 Temozolomide response durations were longer than
5 dacarbazine. Progression-free survival favored
6 temozolomide. The overall survival estimate demonstrates
7 that temozolomide is at least equivalent to dacarbazine and
8 not meaningfully worse. And, finally, further speaking to
9 the consistency of the result, the overall survival results
10 are consistently better in almost all of the subgroups that
11 were analyzed.

12 I'd now like to ask Dr. Hilary Calvert to
13 provide a clinical perspective on these results and how
14 they fit into the current treatment of melanoma.

15 DR. CALVERT: Thank you very much.

16 Perhaps I'd better introduce myself. I'm the
17 professor of medical oncology and the head of the Cancer
18 Research Unit in the Northern Centre for Cancer Treatment,
19 which is in Newcastle in the United Kingdom. There we have
20 an oncology practice and also do a number of Phase I and
21 Phase II trials. In addition to that, I'm the chairman of
22 the Cancer Research Campaign's Phase I/II committee, which
23 is the national U.K. charity that organizes Phase I and II
24 trials throughout the U.K.

25 Could I have the next slide, please.

1 As was mentioned, I was an investigator not
2 just on the 018 pivotal study that we've had described, but
3 also on two Phase II studies prior to that, which were
4 conducted by the CRC. I'd just like to give you some of
5 the results of those.

6 Both of these studies had a population which
7 accepted patients with CNS metastases, which potentially
8 made the patients at slightly worse risk than those on the
9 pivotal study. The regimen was the same, 200 mg/m² per
10 day, and the first study was a straight Phase II to look
11 for activity, and in the study the overall response rate
12 was 21 percent. Now, in fact, as the person supervising
13 the study as the chairman of the committee, the
14 investigator-reported response rate that we got here was
15 something over 30 percent, but it dropped to 21 percent
16 when the responses were externally reviewed, and, of
17 course, the reason for that was that there were quite a lot
18 of patients who had a tumor reduction which didn't meet the
19 criteria for partial response. The median response
20 duration was 5 months, which was very similar to the
21 response durations seen in the randomized trial.

22 In Study 028, this study was essentially done
23 as a biopsy study to look for biochemical parameters to
24 response to temozolomide, but the clinical results are just
25 shown here, and they provided a response rate of 13

1 percent, median response duration of 6 months, and were
2 consistent with the other studies.

3 Could I have the next slide?

4 Now, just to put you in the perspective for our
5 own practice in the Northern Centre for Cancer Treatment,
6 which would probably be quite typical for many other
7 practices around Europe, we see 30 to 40 patients with
8 metastatic malignant melanoma a year, and our normal first-
9 line treatment would either be dacarbazine or an
10 investigational drug, and, of course, the reason for the
11 interest in investigational drugs is because we wish to
12 find something better than existing therapy.
13 Unfortunately, of all the many Phase II studies of
14 investigational drugs that I've done over the years, the
15 only one that's ever come out with substantial activity was
16 the temozolomide Phase II.

17 Significant responses are undoubtedly seen to
18 temozolomide, and although the percentage is low, I think
19 it's important to remember that for the patient who gets
20 them, this is a significant event, and you can obviously
21 see clinical benefit to the patients who do respond. And
22 in my experience, although there's a lot of discussion of
23 spontaneous remissions in melanoma, in the last 18 years of
24 this type of practice, I've actually only personally
25 observed three spontaneous remissions.

1 Could I have the next slide, please.

2 I'd like to comment on whether this trial
3 design was appropriate, and my feeling in retrospect is
4 that in fact the trial design of Study 018 was somewhat
5 overambitious looking for 50 percent improvement in
6 survival time, and the reason I believe this is because we
7 can expect a response rate in the region of 15 to 20
8 percent, and if you look at other areas of oncology, where
9 survival-based trials have been done with drugs that only
10 produce a 15 to 20 percent response rate, it's extremely
11 rare to be able to detect an improvement in survival on
12 that kind of basis. That would be the first reason why you
13 wouldn't expect to see a lot of difference in survival.

14 The second is that, of course, temozolomide is
15 a more efficient way of delivering MTIC, so it's a more
16 efficient prodrug for MTIC than DTIC. But it isn't
17 qualitatively a different agent, so you wouldn't expect a
18 quantum difference in the activity between the two.

19 So perhaps equivalence would have been a more
20 realistic goal for this study. Bearing that in mind, I
21 personally felt it was quite noteworthy that every sort of
22 measure of activity that you looked at in this randomized
23 study did show an advantage for temozolomide, even though
24 they failed to match statistical significance.

25 Could I have the next slide?

1 So the main advantage that I would see with
2 temozolomide is that it has an increased level of patient
3 convenience. The actual incidence of adverse events was
4 very similar for both drugs, but, of course, dacarbazine
5 requires venous access, which requires attending the
6 hospital and the administration of potent antiemetics,
7 while temozolomide is an oral agent and the patient only
8 needs to attend the hospital for assessment. And, in fact,
9 one of my patients on temozolomide was able to receive two
10 courses while on a climbing holiday in the New Zealand
11 Alps.

12 Could I have the next slide?

13 So, in conclusion, I feel that the benefits
14 available by using temozolomide for treating metastatic
15 melanoma are clinically meaningful. The patient
16 convenience is much enhanced, and the information we've got
17 is suggestive that the drug is at least as good or could be
18 better than DTIC. It's very convenient for the physician
19 and the patient, and in particular I see it as a stepping
20 stone to future treatments that could further improve on
21 this, and, of course, I'm sure you'll all be familiar with
22 the work that's going on with O6AT inhibitors to potentiate
23 agents of this type, and there's also evidence that some
24 new drugs acting by inhibiting polyADP ribose polymerase
25 will potentiate drugs that induce this lesion, and these

1 will be available within the next year or so.

2 Thank you very much indeed. I'd now like to
3 reintroduce Dr. Robert Spiegel, who will lead the
4 discussion on this compound.

5 DR. SPIEGEL: I'll start my introduction of the
6 discussion staying close to my experts, and I should state
7 that we do have with us today the project physician for the
8 pivotal trial, as well as biostatisticians who have been
9 involved in the analysis.

10 Next slide.

11 I have a single conclusion slide, and I simply
12 want to introduce the discussion period by stating that the
13 ODAC is going today to be asked shortly to look at response
14 rate as evidence of effectiveness. We would ask you to
15 look at response rate, but also look beyond response rate
16 and consider that every endpoint and every analysis,
17 without exception, was in the right direction. We believe
18 this shows consistent evidence of effectiveness.

19 I would summarize that all the point estimates
20 demonstrate effectiveness through the objective responses,
21 the longer response duration, more responders being alive
22 at 12, 18, and 24 months, the progression-free survival
23 analysis favors temozolomide, and the overall survival
24 favors temozolomide, allowing and supporting a
25 demonstration of equivalence to dacarbazine. Temozolomide

1 delivers higher MTIC concentrations at equitoxic doses to
2 dacarbazine, and as just summarized by Dr. Calvert,
3 temozolomide is a convenient, well-tolerated oral drug.

4 At this time we'd be pleased to entertain the
5 questions of the committee.

6 DR. SCHILSKY: Thank you.

7 Questions from the committee members? Dr.
8 Johnson?

9 DR. DAVID JOHNSON: It would be helpful to me
10 to ask the sponsors to tell me what level of difference
11 they would have sought had they chosen to do an equivalence
12 trial in survival.

13 DR. SPIEGEL: Well, that's obviously a key
14 question, and we would have been in a better position to be
15 here today if we had prescribed an equivalence level. I
16 think in conversations with the agency in 1998 and 1999,
17 this is a new trend, and some of our newer development
18 programs, which we have thought about testing first for
19 equivalence and then testing for superiority as a
20 statistical approach, had set a 0.9 level as a level where
21 the agency would feel comfortable that not meaningfully
22 worse outcomes were being demonstrated, in terms of the
23 statistical answer to your question.

24 Obviously, there have been other examples where
25 the agency has said if you can show equivalence in

1 efficacy, then a sponsor can choose, so to speak, what
2 other advantages they think a compound might bring, either
3 through a specific outcome like better response duration or
4 something like convenience or some safety advantage.

5 I should also say, Dr. Johnson, the sizing to
6 do an equivalence trial of that type would be about three
7 times as large, so if we had prospectively set that as our
8 goal, we would have needed about 900 patients to have
9 statistical power to show the equivalence level that was
10 achieved in this study.

11 DR. DAVID JOHNSON: Let me ask the question
12 again. What level of survival difference would you have
13 sought? Put it to me in time, not in terms of hazard
14 ratio. I'm very familiar with how one prospectively
15 designs trials, and I know that generally speaking one can
16 give us a time frame. Is 3 months difference in survival
17 tantamount to equivalency, in your mind? Six weeks
18 difference in survival tantamount to equivalency?

19 DR. SPIEGEL: Well, as I understand your
20 question, I'm going to play amateur statistician, but maybe
21 professional oncologist. I think we normally seek a 1.2
22 hazard ratio. In this study I'm told the 1.18 outcome
23 translates into about 1 month of improvement. If we would
24 have accepted on the other side of 1 a decrement -- that's
25 why I said when we were at 0.92, it was about a 14-day

1 potential decrease in survival, and to me personally, I
2 would say that would be an acceptable tradeoff if there's
3 an advantage to a new compound.

4 DR. DAVID JOHNSON: The study was nicely
5 designed for superiority, which, unfortunately, you did not
6 demonstrate. I'm curious as to you've spent a fair amount
7 of time telling us about the pharmacokinetics of the drug
8 and gave us a nice theoretical reason why the drug might be
9 superior in some ways, and yet the trial failed to
10 demonstrate that. Might it be that the dosing of this drug
11 was in fact inappropriate after all is said and done?

12 DR. SPIEGEL: When you say the dosing may have
13 been inappropriate, we have been considering for future
14 development looking at alternative schedules, looking at
15 higher doses to see if we could push the tolerance, and as
16 Dr. Calvert said, there are some very interesting questions
17 to be asked about biochemical modulation. But at the time
18 we chose this dose, it was the dose that had been developed
19 through fairly extensive Phase I development in both
20 melanoma and non-melanoma patients that appeared to be well
21 tolerated.

22 The pharmacokinetics that Dr. Cutler described
23 showed that we indeed, with 200 milligrams of temozolomide
24 per day orally, had considerably higher AUCs than 250
25 milligrams of DTIC I.V., so we had the hope that that might

1 translate into something that could be detected clinically.
2 But as you state, the reality at the end of the day is that
3 it's very difficult to show the clinical benefit for the
4 full population that was entered.

5 DR. DAVID JOHNSON: The last question I have
6 had to do with one of the points made in your briefing
7 book, and that had to do with there was a fair amount made
8 about the survival difference at 6 months, which seemed to
9 be something of an artificial point, and also there was a
10 lot made about the time to detection of metastatic disease,
11 and you chose this figure of 1 month, which I'm curious as
12 to why those two points were chosen for analysis.

13 DR. SPIEGEL: The 6-month snapshot is
14 admittedly a post hoc point that was chosen, and although
15 it was mentioned in the briefing book, we have not felt it
16 was important to try and stress that in the presentation
17 today, and I think we would agree with the critique that
18 it's both post hoc and arbitrary about whether that's the
19 most important time point to look at.

20 As you know, however, most patients do progress
21 quite rapidly. In our study, within 1 to 2 months we had
22 over 50 percent of the patients demonstrating progression,
23 and the median survival in most of the literature is about
24 6 months, and for that reason, we thought it was of
25 interest to say at 6 months how are we doing in this study.

1 But I don't want to overstate that we believe that's a
2 legitimate or critical analysis to assess the effectiveness
3 today.

4 DR. DAVID JOHNSON: And the 1 month?

5 DR. SPIEGEL: Could you say that again? I'm
6 not sure I understood the --

7 DR. DAVID JOHNSON: Well, in your analysis
8 where you have shown us that the trends, according to your
9 analyses, "all favor temozolomide," one of the points you
10 use is the time to detection of metastatic disease, and you
11 use a cutoff point of 1 month.

12 DR. SPIEGEL: I'm told that that was an
13 analysis of time to detection of metastatic disease at
14 entry.

15 DR. DAVID JOHNSON: Right.

16 DR. SPIEGEL: Dr. Frost is the project
17 physician.

18 DR. FROST: The question that was brought up,
19 if I understand it correctly, is the cutoff of 1 month, and
20 that refers from the time of the diagnosis of metastatic
21 disease to the randomization, and there was distribution
22 done according to the median patients with more than a
23 month and with less than a month. That is the subgroup
24 parameter. It's cut off at the median.

25 DR. TURNBULL: All we did was take the cutoff

1 at the median to be able to look at the consistency of
2 results. So 1 month happened to be about --

3 DR. SPIEGEL: Can you use the microphone,
4 please?

5 DR. TURNBULL: I'm sorry. One month is -- on
6 the subgroup, all we did was take the median time, and that
7 happened to be at 1 month in the distribution, so you could
8 get an equal number of patients below 1 month and greater
9 than 1 month, just to show the consistency of the results,
10 and that was why it was chosen. Nothing else.

11 DR. DAVID JOHNSON: And that's the median time
12 to --

13 DR. TURNBULL: It's the median time from
14 metastatic to randomization, and it just happened to be
15 that half the patients fall below 1 month and half fall
16 over 1 month, equally distributed within the groups, and we
17 were just looking at subgroups here to show consistency of
18 results, and that's why that was chosen. Nothing else.

19 DR. SCHILSKY: Dr. Krook?

20 DR. KROOK: A couple of questions. One, in the
21 book which we received, as the site of metastatic disease,
22 the study listed hepatic disease, subcutaneous, and then
23 the other. Can you tell me what was in the other? Was it
24 mainly lung metastases or lymph nodes? There was a large
25 group which was there, if I remember right --

1 DR. SPIEGEL: You're correct that the other
2 meant any other visceral sites or bone other than liver,
3 but Dr. Frost might have more details.

4 DR. FROST: Yes, the answer is correct. The
5 stratification was set up that patients who had hepatic
6 lesions plus other metastases were defaulted to the hepatic
7 group, patients that had subcutaneous and skin only were
8 grouped to this, and any other metastases -- primarily
9 visceral, lung, soft tissue -- were defaulted to the other
10 group.

11 DR. KROOK: So basically the hepatic was the
12 poor prognosis, the subcutaneous only, I take for granted,
13 were the good prognosis, and the other were kind of the
14 medium.

15 DR. FROST: Correct.

16 DR. KROOK: My second question is that the
17 slide which you showed in complete responders, you showed
18 the complete responders -- these were you had individual
19 patients -- you showed the four complete responders in both
20 groups, and then in the Temodal only did you show the
21 partial responders. In a previous drug which came before
22 this committee, one of the points which was made was that
23 there was a significant 5-year duration of response.
24 Realizing this study closed in 1997, some of that
25 information, you said, was March of 1999. Is there a 2-

1 year survival that you can compare the two groups? You
2 must have some data, because you mentioned that this data
3 was from March of 1999.

4 At least this group looked at the previous drug
5 as a long-term 5-year, and all patients were entered. The
6 number of people alive yet in terms of survival at 2 years
7 from entry to the study, I guess that's the point I'm
8 trying to ask.

9 DR. SPIEGEL: In response to your specific
10 question, we do have an analysis of overall survival of the
11 entire intent-to-treat population, which shows that at the
12 end of 2 years there were 13 percent -- Slide 92 -- there
13 were 13 percent of the intent-to-treat population alive in
14 both of the treatment arms, if you took the entire
15 population. I had been previously during the presentation
16 showing the outcome in the responders only.

17 We also, if you wish, have thought long and
18 hard about the IL-2 precedent. It is notable that those
19 responses were achieved in probably a considerably better
20 population than the population we think entered our trial,
21 and if we wish to, we could talk a little bit more about
22 how we see this type of drug contrasting with what's been
23 demonstrated for IL-2.

24 DR. KROOK: If I take that one step further,
25 you have 13 survivors --

1 DR. SPIEGEL: Thirteen percent.

2 DR. KROOK: Thirteen percent. Pardon me.
3 Thirteen percent are -- and this may be difficult to answer
4 -- are these all the PS-0 subcutaneous nodules only, or are
5 there some poor prognostic in that group? I mean, that may
6 be difficult to sort out. Are these all the good-risk
7 patients?

8 DR. SPIEGEL: The answer is no. I don't have
9 it all on my fingertips. I know there are some patients
10 who had significant disease, some of which were resected, I
11 have to say, at the time CRs were achieved, and then they
12 continued to be either disease-free or go for long periods
13 before recurrence.

14 DR. DAVID JOHNSON: Could you just clarify that
15 last statement? When you said some of them were resected,
16 what do you mean?

17 DR. SPIEGEL: I'm sorry?

18 DR. DAVID JOHNSON: You just made a comment
19 that some of these patients were resected. Did you mean
20 after they received the therapy they were resected?

21 DR. SPIEGEL: There is one of the partial
22 responders I'm aware of who is one of the long-term partial
23 responders who had residual disease, was resected
24 surgically, and remains a long-term survivor.

25 DR. DAVID JOHNSON: Do we know how many of

1 those patients -- I mean, is it just one?

2 DR. FROST: In the group of the partial
3 responders, there are two patients who had undergone
4 surgery. They responded, and then after a certain time
5 frame they had relapsed, and then surgically the disease
6 was removed, and then the patients had survived.

7 DR. DAVID JOHNSON: Without relapse.

8 DR. FROST: Right.

9 Can we go to the slide with the partial
10 responders?

11 And coming back to the previously asked
12 question that was the question of only patients with good
13 performance status and limited disease burden survived, for
14 example, as we can see, Patient 12-001, the patient had
15 lymph node disease, liver metastases, bone metastases, and
16 a spleen lesion measuring 5x7 centimeters, and that patient
17 is still alive. It also can be seen that there are at
18 least five or six patients with lung metastases and three
19 with liver disease who responded and survived for at least
20 16 or 18 months.

21 DR. KROOK: If you could go to the previous
22 slide to this one, there is a patient here, age 74,
23 performance status of 2, whose response duration was 3.7
24 months, and yet he's alive at 29. Is this one of the
25 resected patients? I mean, I don't mean to ask specific --

1 but something else had to happen. The gentleman is alive 2
2 years after the response duration is over. Is that the
3 explanation? This, and then there's a second patient, age
4 43, on the experimental arm, who is alive, again, 2 years
5 later. Is this an example of the -- are these both --

6 DR. FROST: Patient 14-014 is an example of a
7 resection. That's correct.

8 DR. KROOK: Okay. So he progressed and then
9 was resected or -- okay.

10 DR. SCHILSKY: Dr. Simon?

11 DR. SIMON: Has DTIC been compared to anything,
12 either palliative support or any other chemotherapy
13 regimen, in which it's shown a superiority with regard to
14 survival?

15 DR. SPIEGEL: I think Dr. Kirkwood might want
16 to review the literature that he brought to bear before. I
17 think the answer is probably no, there is no large study
18 with sufficient power to say that it beat either another
19 single agent or combination with statistical significance.
20 He did show that in our review we found five studies in
21 which DTIC as a single agent was compared to either
22 combination therapies or, in one case, TIC mustard, a
23 single agent, that did not contain DTIC, and in three of
24 the five there was a trend where there was survival data
25 available that DTIC did show better survival. But,

1 unfortunately, we were not able to find a study large
2 enough -- single study -- to demonstrate that.

3 DR. SCHILSKY: I wonder if I could follow up on
4 that question and ask John a question. On your Slide 21,
5 you made what I thought was a rather striking conclusion.
6 You said that DTIC provides useful palliation for
7 symptomatic disease. That's your first conclusion. It
8 wasn't clear to me that any of the data that you reviewed
9 prior to making that statement would actually support that
10 statement. So what information is available to suggest
11 that DTIC provides useful palliation for symptomatic
12 disease?

13 DR. KIRKWOOD: Simply that there are responses,
14 and that the mean in the literature is 16 percent, and that
15 for those patients this is the agent of recourse, the
16 standard that the field has. It was not to indicate that
17 this is --

18 DR. SCHILSKY: Is there any data to suggest
19 that a response constitutes useful palliation?

20 DR. KIRKWOOD: Oh, I think anecdotally all of
21 us who have treated patients with melanoma have seen
22 patients who have responded and had benefit as a
23 consequence of --

24 DR. SCHILSKY: So an asymptomatic patient with
25 PS-0 who has a response that lasts for 3 months is

1 effectively palliated by the treatment?

2 DR. KIRKWOOD: No, symptomatic metastatic
3 disease, and I think that's really the guidepost. I think
4 that this is, for patients with asymptomatic disease, as
5 Hilary mentioned already, often a prompter to pursue
6 investigational therapy.

7 DR. SCHILSKY: Dr. Albain?

8 DR. ALBAIN: Following up a little more on
9 that, it was striking that the ECOG trial data, I believe,
10 that you showed had a 9.9-month median survival for
11 dacarbazine alone. Is there any data that you could cull
12 from the literature, knowing that prognostic factors really
13 strongly impact the survival duration in metastatic
14 melanoma historical data, untreated, granted historical
15 data, but with the similar prognostic profile as this
16 pivotal trial? Is there anything in the literature you
17 could use to compared untreated historical data with the
18 prognostic factor profile like this study?

19 DR. KIRKWOOD: I think it is so hazardous
20 because of the selection bias that can drive accrual. I
21 should mention again that the older age, the more visceral
22 distribution of disease in this particular trial made the
23 outcome expectedly worse than in multiple series. In
24 particular our studies of E3690 and the trials of
25 biologics, like the trials of interferon gamma, have

1 selected patients with less-than-3-centimeter disease, with
2 non-visceral disease, have had hugely larger proportions of
3 patients without liver, lung, bone involvement.

4 DR. ALBAIN: Is there a survival statistic you
5 could quote, untreated metastatic melanoma, from the
6 historical database?

7 DR. KIRKWOOD: I think it is entirely dependent
8 upon selection of the patients, and I wouldn't really think
9 that is a valid thing to pull out of thin air.

10 DR. SCHILSKY: John, one other question, if I
11 might, just again to follow up on your definitions that you
12 just gave us. So if I look at the slide that shows the
13 temozolomide partial responses, there are 17 partial
14 responses that are depicted there. Eleven of those
15 patients were PS-0 at the time of entry on study, meaning
16 that they were asymptomatic. Would you conclude that those
17 11 patients had the opportunity to benefit from
18 temozolomide treatment?

19 DR. KIRKWOOD: I think so.

20 DR. SCHILSKY: Based on what?

21 DR. KIRKWOOD: The temozolomide-treated
22 patients that had visceral and had other sites -- I
23 actually don't know the symptomatic status of these
24 patients --

25 DR. SCHILSKY: Well, they're listed as being

1 PS-0, so that by definition means they were asymptomatic.

2 DR. KIRKWOOD: If it was PS-0, I think it would
3 be very difficult to suggest that one could alter their
4 course or their outcome through therapy. We don't in
5 general consider therapy for patients with asymptomatic and
6 especially non-visceral disease.

7 DR. SCHILSKY: So you would conclude, then,
8 that 11 of the 17 responders didn't really have much of a
9 potential to be able to benefit from the treatment?

10 DR. KIRKWOOD: Yes. I think the difficulty
11 here is if they had regression of disease, would that have
12 altered the outcome of disease that one would have expected
13 down the road. We don't really know.

14 DR. CALVERT: Just a small point that
15 performance status 0, the first performance state, is not
16 to symptoms.

17 DR. SCHILSKY: But isn't the definition that
18 the patient is asymptomatic? To be a PS-0 by definition,
19 don't you have to be asymptomatic?

20 DR. CALVERT: Well, a patient who had
21 controlled symptoms with analgesics, I think, would still
22 be PS-0.

23 DR. SCHILSKY: Well, I guess it's a debatable
24 point. But while you're at the microphone, Hilary, I had
25 one question I wanted to ask you. Put on your

1 pharmacologist hat.

2 It's of some interest to note that the
3 temozolomide effectively delivers MTIC into the
4 circulation, and that from the data we were shown, the AUCs
5 are about twice what was obtainable in the patients
6 receiving the dacarbazine. It's, therefore, I guess, a
7 little bit confusing to me to see that in fact there were
8 roughly equivalent toxicity rates in the two arms of the
9 study, because if we were dosing to an AUC, for example,
10 something you're familiar with, we would be delivering
11 twice the dose, twice the concentration of drug into the
12 circulation with temozolomide, and yet we're not seeing any
13 real difference in biological effect. What are your
14 thoughts about why that might be the case?

15 DR. CALVERT: I think, first of all, the level
16 of myelotoxicity in both arms is quite low, so that you
17 wouldn't -- we're not seeing very many Grade 3 or 4
18 toxicities. Now, I think if you looked at the data
19 carefully, although statistically there's not much
20 significance, there are a little more on the temozolomide
21 side. But if you look for lower degrees of toxicity that
22 you'd expect from what is basically a mild treatment, I
23 think you'd probably see the difference coming in there.
24 So we're basically dealing with two treatments, neither of
25 which is particularly myelotoxic.

1 DR. SCHILSKY: Dr. Santana?

2 DR. SANTANA: I want to follow up on that,
3 because I think a lot of importance has been put on this
4 difference in pharmacokinetics and pharmacodynamics of the
5 way the two drugs are administered. So if you could refer
6 to page 16, Table 3, of your booklet, it seems to me when I
7 read this data that there's a lot of interpatient
8 variability in the temozolomide AUCs as compared to the
9 DTIC. So I would want somebody to comment on that.

10 Secondly, this issue of pharmacodynamics and
11 whether the patients that were studied pharmacokinetically
12 had any difference in their degree of neutropenia. I'm
13 trying to get at this issue that you were trying to
14 address. Obviously, we can't talk about the other 200
15 patients who didn't get pharmacokinetic studies, but the
16 ones that got pharmacokinetic studies, did you look at some
17 pharmacodynamic parameters in those subgroups?

18 DR. CUTLER: As far as I know, the
19 pharmacodynamics have not been looked at in those 17
20 patients. Those 17 patients were selected because they
21 were enrolled at sites that had the facilities to obtain
22 pharmacokinetic samples for a drug that required special
23 handling to make sure that it was properly collected and
24 preserved.

25 DR. SANTANA: And getting back to the issue of

1 interpatient variability, that it seems to be higher in the
2 temozolomide AUCs, did you look at quartiles rather than
3 looking at means and see what the overlap was?

4 DR. CUTLER: No, we did not.

5 DR. SANTANA: Thank you.

6 DR. SCHILSKY: Dr. Ozols?

7 DR. OZOLS: I'd like to ask Dr. Kirkwood and
8 Dr. Calvert, if this drug were approved, would this alter
9 any way that you approach patients with metastatic
10 melanoma? I mean, with the response rates and the
11 survival, would you still offer experimental treatment to
12 the majority of these patients?

13 DR. KIRKWOOD: Well, it's certainly more
14 facile, and so for the patients that we would use
15 dacarbazine to treat, it would be a far easier therapy to
16 deliver. It is more flexible as well, and as was mentioned
17 before, we can't give dacarbazine daily for 30 days, we
18 can't give it twice a day, because patients can't come back
19 to the clinic twice a day or thrice a day, and I think that
20 is the option, that is the hope that we've had for future
21 developmental studies of this agent, that it really would
22 be possible to give it far more flexibly, far more easily
23 to patients, and to consider combinations and other
24 formulations that would be potentially more efficacious.

25 DR. CALVERT: I think Schering-Plough has a

1 considerable file of requests from me for compassionate
2 release of temozolomide subsequent to the closure of this
3 trial, and certainly for me personally it would be the
4 treatment of first choice, unless there were a more
5 interesting investigative regimen.

6 DR. SCHILSKY: Dr. Sledge?

7 DR. SLEDGE: A question for either John or
8 Hilary. It strikes me that the argument that we're hearing
9 here is that this new drug is at least no worse than the
10 old drug, and the old drug represents the standard of care.
11 Does it truly represent the standard of care, or is it just
12 simply American oncologists' reluctance to be involved in
13 placebo-controlled trials, I guess would be question number
14 one.

15 And, number two, realizing that we have no
16 placebo comparator in overt metastatic disease, do we have
17 trials with DTIC in the adjuvant setting where DTIC has
18 either been used as a single agent or as part of a
19 combination, where we have either a relapse-free or overall
20 survival advantage for the DTIC-containing arm?

21 DR. CUTLER: The personal basis upon which
22 private oncologists do or don't use dacarbazine, I'm really
23 not able to say. I guess I suspect it is because it is
24 easy, and this would be easier. It certainly would be the
25 recourse of choice.

1 The World Health Organization has conducted
2 large randomized trials of BCG, dacarbazine, and the
3 combination, and although there are trends and there are
4 late analyses of this trial, there are no statistically
5 significant differences between the arms now at a follow-
6 up, I think, of past 15 years median.

7 DR. SCHILSKY: Dr. Krook?

8 DR. CUTLER: I guess one would not really ever
9 have expected, with a 20 percent, 16 percent, or 13 percent
10 response rate in trials even of hundreds and hundreds of
11 patients, to have seen meaningful differences in survival
12 on that basis of activity.

13 DR. SLEDGE: How about relapse-free survival?

14 DR. CUTLER: There were not differences in
15 relapse-free survival. Whether one would expect it with
16 dacarbazine as it was tested, I don't know.

17 DR. KROOK: A comment first on Dr. Sledge's.
18 There was an ECOG study that I remember -- this is back 20
19 years ago -- where an adjuvant -- it was DTIC versus
20 observation. I do not --

21 PARTICIPANT: SWOG.

22 DR. KROOK: It was a SWOG study that ECOG did,
23 but I don't know the answer to your question.

24 But I want to comment on the community
25 oncology, since I guess I represent that or I am. Most of

1 us out there don't like to use DTIC. It's 5 days, it's
2 I.V., there are lots of side effects, and we'll look for
3 something else, particularly in performance status 0.
4 That's just my bias, and part of that is the response rates
5 which you see here, there are a lot of other things you can
6 -- and I'll use the word "dabble in" -- before you get to
7 I.V. DTIC.

8 DR. SCHILSKY: Dr. Temple?

9 DR. TEMPLE: I have two areas of questions.
10 One is about the argument that equivalence has been shown
11 or is pertinent, so this sort of follows up on what Rick
12 Simon was suggesting. If two therapies had no effect at
13 all, you could probably show that they're equivalent if you
14 make your study large enough. To do a proper equivalence
15 study, you have to make the case that the effect of the
16 control was known and has a defined side, like the survival
17 benefit is a month, 2 months, whatever you think it is.
18 You then carry out a study that shows you haven't lost that
19 effect. This has all been written up by Bill Blackwelder,
20 and Tom Fleming wrote it up after an experience with this
21 committee, actually.

22 Nothing you've said explains why an equivalence
23 outcome should be considered informative at all in this
24 setting, because as you've said repeatedly, there isn't any
25 clear evidence that DTIC has a survival benefit. So can

1 you explain that a little further? That's the first
2 question.

3 DR. SPIEGEL: I'm glad to explain it.
4 Obviously, this is an area that you personally, a number of
5 biostatisticians, and this and other advisory committees
6 have talked about. We structured our presentation today to
7 not hopefully overstate the results we have and to show
8 some fair balance about where we did have statistical
9 significance versus trends that were not statistically
10 significant. It should have been clear from my statement
11 of the design of this study that it was designed to show
12 superiority, and if we had shown superiority, we'd be
13 having a different conversation today.

14 Having not shown superiority statistically, but
15 having a trend, we consulted with Dr. Fleming and with some
16 other biostatisticians to say what's the legitimacy of
17 testing for equivalence, knowing that the agency has been
18 very clear throughout in saying that we would have a burden
19 of proof to say that dacarbazine does something, if we did
20 say we were equivalent to that. What I think we can say
21 fairly today, we are not worse than dacarbazine. If the
22 confidence interval had been less good, if it had gone down
23 to 0.8 or below, I'm sure we would be here defending much
24 more severe questions about how could we consider putting a
25 drug potentially on the market that could have a worse

1 outcome than dacarbazine. I think what we're able to say
2 today is that there's a trend in a study that was
3 underpowered to show superiority that suggested that the
4 hazard ratio, the survival benefit, might be slight.

5 I think Dr. Calvert appropriately, when he was
6 asked by us to put together a critique-type presentation,
7 said the goals of this strategy for drug development were
8 too ambitious, that if we had even achieved a 20 percent
9 response rate -- and we have a 12 percent through this
10 strict criteria we used -- it would be very hard to show
11 that the median survival for everybody moves.

12 So I think -- it's a long answer to your
13 question. I think we're backing away from trying to claim
14 -- certainly, we can't claim superiority. The equivalence
15 statement, I think, is a valid statement; equivalent to
16 what is something that -- although we were asked on
17 numerous occasions by the FDA division to scout the medical
18 literature and find any evidence that would demonstrate
19 survival benefit, progression-free survival benefit,
20 clinical benefit of dacarbazine, the best we've been able
21 to do is the review that Dr. Kirkwood summarized, saying
22 that there's a general sense that oncologists are doing
23 something, but we can't prove that with dacarbazine.

24 DR. TEMPLE: Okay. It's just worth saying that
25 equivalence here is to be considered -- I mean, the hope

1 would be that equivalence would be considered evidence of
2 effectiveness, not just evidence that you're not worse than
3 something that may have little or no effect.

4 DR. SPIEGEL: That would be our hope.

5 DR. TEMPLE: So that places a special burden,
6 and just to repeat it, you really have to have some idea of
7 what the effectiveness of the control is before you can use
8 that design, and that's pretty well established.

9 DR. SPIEGEL: Having said that, Dr. Temple, I
10 think if we had had extensive consultations with the FDA in
11 1995, I think we probably still would have walked out
12 saying DTIC is the right comparator, and if we came in
13 today with a new drug, I think DTIC would still be an
14 appropriate comparator.

15 DR. TEMPLE: Oh, and everybody thinks your
16 study design was fine. It's the outcome that's the
17 problem.

18 DR. SPIEGEL: Okay.

19 DR. TEMPLE: The second question is, you
20 described a reduced data set, an efficacy subset or an
21 eligible patient subset. Can you describe a little further
22 the process by which you went through the various patients
23 and decided which ones were eligible and which ones
24 weren't?

25 DR. SPIEGEL: Well, unfortunately, this study

1 was never truly blinded, so the drugs were I.V. or --

2 DR. TEMPLE: This review could have been
3 blinded, though.

4 DR. SPIEGEL: Yes, that's right. I'll take a
5 quick shot, and then I'll ask my statisticians who were
6 doing it.

7 As I understand it, we followed what would be
8 our normal standard operating procedure in our
9 biostatistics and clinical group. That is, before the
10 database was locked, the clinician and the biostatistician
11 sat down to go through the entry criteria with a list,
12 without unblinding formally what violations there were. We
13 made a decision that certain violations were very obvious
14 and important if we wanted to do an appropriate analysis of
15 patients who had the right diagnosis of malignant melanoma
16 and demonstration of metastatic disease and had not
17 received prior therapy within a window that was prescribed
18 in the protocol, and those patients were flagged and were
19 eliminated from the eligible population.

20 Then we subsequently looked at patients who
21 also did not receive treatment after randomization, and
22 this is an important area of potential bias in this study,
23 because patients knew after randomization that they got
24 dacarbazine, and some of them bailed out and said, "We
25 don't want to be in the trial anymore because we don't want to be in the study"

1 dacarbazine even as a non-experimental drug from their
2 physician. There were five of those, I think.

3 That was the basis, and I'll let someone expand
4 on the nature of the blinding of the company when we did
5 that.

6 I know that Dr. Cohen has prepared a careful
7 analysis to show quite a discrepancy between what the FDA
8 found using strict criteria of all the eligibility
9 inclusion and exclusion criteria. We think some of that is
10 accounted for by a very strict adherence to the laboratory
11 parameters, not all of them, but normally when we review a
12 study, at the study end if we think there are trivial --
13 and it's a judgment call -- violations of the protocol, if
14 someone was not supposed to have received previous
15 chemotherapy within 30 days and they got it at 29 days, we
16 sometimes make that allowance.

17 I'll let some other people expand on it.

18 DR. TURNBULL: Before we close the database at
19 Schering, we have what's known as a validity meeting. The
20 meeting is done with a whole set of listings. Listings do
21 not have treatment code on them. We review the listings,
22 we determine -- obviously, we're doing an intent-to-treat
23 analysis. We would also determine, so we can see the
24 consistency of data, those that we consider to be major
25 protocol violations and outside or have some type of a

1 subgroup.

2 In this case, when we did the analysis, these
3 patients were prospectively determined before we locked the
4 database, and like I said, until we lock the database,
5 treatment codes are not put in. Even though they may be
6 known to the physicians or individual patients, we do not
7 know them. They're not on the case report, they're not on
8 the listings that we review. So the analysis that was done
9 and the elimination was done without any treatment codes
10 and in a totally blinded fashion during this validity
11 meeting.

12 DR. SCHILSKY: Dr. Raghavan?

13 DR. RAGHAVAN: One of the difficulties in
14 listening to the presentation is that we're starting from
15 the point that, as George Kinellas is fond of saying,
16 melanoma is the disease that gives cancer a bad name. So
17 it's not easy to make progress unless you have a
18 breakthrough drug, and it's clear that this isn't a major
19 breakthrough drug.

20 One of the problems that I've had listening to
21 the presentation is that I think everybody agrees that this
22 wasn't the ideal execution of trial, and we're trying to
23 get something useful out of it. It's always troubling when
24 you have patients where you haven't defined whether they
25 actually needed symptomatic palliation, because it's hard

1 to palliate symptoms that aren't there.

2 My question is to Hilary Calvert. Hilary, you
3 made the statement that coming off the trial, you had
4 flooded the company, the sponsor, with requests for
5 compassionate use of the drug, and the one thing that
6 really hasn't come out, I don't think, today is the issue
7 of patient benefit. I understand fully that the trial
8 really wasn't very well designed to look at those issues,
9 but can you give us, as someone who treats a lot of
10 melanoma, an understanding of why you use the drug off
11 trial? What does it do for patients that you would
12 identify, as an experienced oncologist, as being of benefit
13 to the patients? Not talking about asymptomatic lesions
14 that are being followed. What's good about this drug, in
15 your experience?

16 John Kirkwood, the same, if you've got
17 experience.

18 DR. CALVERT: Well, I'm afraid the answer to
19 that will be in the form of clinical anecdotes really. I
20 think, first of all, the request would be for patients who
21 had symptomatic disease, and, secondly, the reason for
22 wanting temozolomide was because you don't wish to
23 interfere with the patient's lifestyle more than you can
24 help. And, of course, many of us are subject to seeing
25 results, and maybe we have a belief that temozolomide

1 probably is better than DTIC, even if we can't prove it
2 today with the statistics.

3 The sorts of symptomatic benefits that were
4 seen are, for example, a lady who went climbing in the New
5 Zealand Alps, who's now 4 years out from having had
6 hepatic, bronchial, and uterine metastases; a patient who
7 was performance status 2 with an enlarged liver, lost a lot
8 of weight, who regained normal weight and went back to
9 being a tennis instructor. These are sort of clinical
10 anecdotes that make you keen on giving the drug to somebody
11 else when you find them.

12 DR. RAGHAVAN: Can I follow up?

13 Hilary, you were involved in the Phase II
14 trials, as you said. I'm still struggling -- it sort of
15 reminds me of my first date, stumbling uncertainty. What
16 in fact is going to be the patient benefit in the
17 symptomatic population? In this population, as was said,
18 it sounds as if a lot of the patients didn't have symptoms.
19 It's well known, I think, that in the U.K. symptomatic
20 patients tend to be entered into Phase II trials. You've
21 described the mountain climber and the long distance runner
22 and so on, and I understand that they were unwell.

23 What proportion from your Phase II trials of
24 patients do you anticipate, have you documented to have had
25 benefit that you can quantify? Symptom reduction. It's

1 impossible to assess prolongation of life here, where you
2 have a cohort of patients who are asymptomatic and where we
3 do know that they will sometimes run a long course. It's
4 not common for symptomatic patients with melanoma to
5 survive a long period of time. So in and amongst the
6 anecdotes and the information that you and the sponsor
7 have, what is the proportion of patients that is likely to
8 get benefit from getting exposure?

9 Because the obvious question is, do you request
10 this drug because you just don't want to say to a patient,
11 "I have nothing to offer you," or are you requesting the
12 drug because it actually does something in a significant
13 number of patients? And if it does something in a
14 significant number of patients, give me a number. Twenty
15 percent, 15 percent, 5 percent? Where does this fit into
16 the marketplace?

17 DR. CALVERT: Well, I mean, I'm making guesses
18 here. First of all, I think the majority of the patients
19 that we have referred are symptomatic. The primary
20 gatekeeper for the patients is normally the plastic
21 surgeon, and like many British physicians, they're quite
22 conservative and often quite nihilistic, so patients don't
23 always get referred to medical oncologists very early.

24 Secondly, if I feel I need to give a patient
25 some treatment, we're a big Phase I center, we normally

1 have five Phase Is ongoing at a given time. I'm never
2 short of something to pull out of my back pocket and say to
3 the patient, "How's this?" But quite frequently I feel
4 inclined to try to get temozolomide for the patient when I
5 might, from a publication point of view, prefer to put that
6 patient onto a Phase I trial and complete it, and that's
7 because of the perception that it works.

8 I think the best estimate that we could have of
9 those patients who the investigators felt had improved
10 would actually be the investigator-reported response rate
11 from the CRC Phase IIs, which is about 30 percent, or
12 somewhat more than the number who get formally categorized
13 partial response.

14 DR. KIRKWOOD: Because we've only really
15 participated in the Phase I studies of temozolomide, I
16 don't think the percentage estimates that I can make are
17 very relevant, but I can tell you that we've had a couple
18 of objective responses, one which was complete regression
19 in malignant ascites. I've never seen that happen with any
20 drug or any biologic in patients with metastatic melanoma.
21 This is turgid malignant ascites, and a response of 5
22 months in a 29-year-old mountain biker who came in, had
23 eluded diagnosis outside. I don't think we see that
24 spontaneously, and I think that that kind of palliation for
25 symptomatic -- and certainly turgid ascites is a

1 symptomatic presentation. In our patients with malignant
2 serosal involvement, the median survival is measured in
3 weeks.

4 DR. MYERS: I'm Michael Myers. I'm a clinical
5 oncologist with Schering. Up until about 8 months ago, I
6 was a clinical oncologist treating melanoma patients at
7 Memorial Sloan-Kettering, and during my time at Memorial
8 Sloan-Kettering treated probably somewhere between 50 and
9 100 patients each year with metastatic melanoma. So I want
10 to lend a clinical perspective and a humanistic perspective
11 on this issue of symptomatology.

12 As you all well know, melanoma is a disease in
13 which a vast majority of patients with Stage 4 disease have
14 cutaneous and subcutaneous metastases, which they are very
15 aware of, often the only site of disease, and I can tell
16 you that these patients every day are looking at their
17 metastases, looking to see if there are more metastases
18 popping up, looking to see if there's growth or shrinkage,
19 and that clearly affects their quality of life strictly
20 from an emotional point of view, if nothing else. Maybe
21 it's not a symptom that can easily be measured, but when
22 you're looking at your skin every day and noticing new
23 lesions or noticing that a lesion is getting larger, that
24 affects the way you go about your life.

25 Clearly, both dacarbazine and temozolomide do

1 cause regressions in lesions, cutaneous/subcutaneous
2 lesions, and the effect on the patient is a significant
3 effect of seeing that their disease, their visible disease,
4 the disease that they can see, that their loved ones can
5 see, is actually shrinking or at least stabilizing, and I
6 think that is a very significant fact that should not be
7 overlooked.

8 Thank you.

9 DR. SCHILSKY: Any other questions from the
10 committee? Yes, sir?

11 MR. McDONOUGH: As a potential Stage 4, my
12 question -- I'm looking at the vomiting, the pain, and the
13 headache. Having taken Intron, I was able to experience
14 all three. I'd like to get some idea as to how severe.

15 DR. SPIEGEL: Well, as the people who brought
16 you Intron, we're familiar with that, too. I could
17 probably give you a statistical answer, but, again, I think
18 I'll turn to Hilary and John, who have treated a lot of
19 patients personally with temozolomide and could give you
20 that perspective.

21 From our analysis, we -- again, in answer to
22 Dr. Temple's challenge, we had hoped to show a more clear
23 safety benefit when we looked at all the numbers. We think
24 that some of the reason we don't is that we capture any
25 adverse events at any time, and during a few months of

1 treatment, any adverse event that is recorded in a
2 patient's case report form gets checked off as an adverse
3 event that might be related to the drug itself. For
4 example, the headaches that we record, although the ones I
5 showed were Grade 3 or 4, could have been for any cause.
6 They could have been trivial due to reasons that people
7 without melanoma get severe headaches, but they could also
8 be because of CNS metastases starting.

9 We think the profile of the drug looks similar
10 to dacarbazine. We think that as doctors get more
11 experienced using temozolomide, they'll be able to control
12 the nausea and vomiting as well as they control it with
13 dacarbazine, and they might require less use of more
14 serious 5HT heavy-duty antiemetics.

15 I think the headache and the pain that we
16 recorded, we didn't take to mean that there was something
17 seriously related to the mechanism of the drug that was
18 causing that. Dacarbazine, as we've heard from the
19 clinicians, is a pretty nasty chemotherapy. It's one that
20 doctors would like to avoid or like to have something
21 better. We consider temozolomide convenient and oral, but
22 it probably would best be administered with an antiemetic
23 regimen. It doesn't have the risk of intravenous phlebitis
24 or access issues. Otherwise, the side effects that were
25 popping up were pretty consistent with what you would

1 expect in that population when you follow them for 3 or 4
2 months.

3 But I'll let the clinicians who have treated
4 these patients comment further.

5 DR. CALVERT: To start with the pain, I don't
6 think there is any pain associated with temozolomide
7 treatment. When the pain was recorded, it would have been
8 from some other cause. Of course, in contrast, there is
9 quite often pain on injection with dacarbazine, which is
10 the alternative.

11 With regard to vomiting, most patients do feel
12 nauseated or vomit if they take temozolomide without
13 antiemetics. However, with adequate antiemetics, in the
14 vast majority of patients, there's no nausea, and I think
15 none will vomit. So it's actually fairly mild, providing
16 it's guarded with antiemetics.

17 From the point of view of headache, I also was
18 a little surprised to see it coming up on the adverse event
19 chart, because I haven't had it reported in person, and I
20 think the most likely explanation is that the HT3
21 antagonists that are frequently used to control nausea and
22 vomiting do occasionally cause a mild headache.

23 DR. CUTLER: I have nothing to add, except to
24 say that by comparison with interferon, this is child's
25 play.

1 DR. SCHILSKY: Okay. Any remaining questions?

2 (No response.)

3 DR. SCHILSKY: If not, we'll take about a 15-
4 minute break and resume at about 10:05.

5 (Recess.)

6 DR. SCHILSKY: At this point we'll go ahead
7 with the FDA presentation by Dr. Cohen.

8 DR. COHEN: Good morning. I'm Martin Cohen,
9 and I'm going to be presenting the FDA review of
10 temozolomide, and listed on the first slide is the FDA team
11 that was involved in the evaluation of temozolomide. For
12 today's medical review, the primary individuals involved
13 were Dr. John Johnson, Dr. Ning Li, and myself.

14 To go through the administrative history of
15 this NDA, over the years the FDA and Schering-Plough have
16 had several meetings to discuss temozolomide clinical
17 development. In the November 1994 meeting, the October
18 1996 meeting, and the August 1997 meeting, discussions
19 primarily involved the glioma protocol. There was no
20 discussion of the melanoma protocol. The first we really
21 heard about the melanoma protocol was in June of 1998 at
22 the pre-NDA meeting, in which trial results were presented.
23 The NDA was submitted in August of 1998 and is being
24 presented today to ODAC.

25 Before we get started, just to quickly review

1 the regulatory history of DTIC in advanced metastatic
2 melanoma, the drug was evaluated probably in the late
3 1960s/early 1970s in 450 patients who were enrolled in an
4 NCI-sponsored cooperative group trial. FDA approval was
5 given in May of 1975. The approval was based solely on
6 response rate, and the response rate was 23 percent overall
7 and 6 percent CRs. Neither at that time nor at any time
8 subsequent to that time has any data come along to indicate
9 that DTIC prolongs either overall or progression-free
10 survival.

11 Turning now to the NDA under discussion this
12 morning, the pivotal trial was I95-018. It was a Phase III
13 randomized study. Patients were randomized to receive
14 either temozolomide or dacarbazine. Thirty-four sites
15 participated, none in the United States.

16 As we've heard earlier today, among the
17 pertinent design features was the fact that the treatment
18 schedules differed. Temozolomide was given daily for 5
19 days orally every 4 weeks, dacarbazine was given daily for
20 5 days intravenously every 3 weeks, and as we've heard
21 today, the significance of this is that tumor evaluation
22 was scheduled to be done at the beginning of each cycle for
23 lesions that could be palpated on physical exam, and was to
24 be done at the beginning of every even-numbered cycle for
25 lesions that required radiologic documentation, so that

1 over time patients on dacarbazine received more tumor
2 evaluation than the patients on temozolomide. Also as
3 we've heard this morning, the study was not blinded.

4 In terms of study analysis, the FDA got actual
5 tumor measurements from the site, so we were able to do our
6 own calculations and determine response rates and times to
7 progression. All the other information, at least in the
8 electronic database, came from tables that were compiled by
9 the sponsor.

10 In the FDA study analysis, our analysis
11 differed in principally two ways from the sponsor's. The
12 first was how we handled delayed evaluations indicating
13 progression. As I said, lesions detectable on physical
14 examination were supposed to be examined at every cycle.
15 Well, say a skin lesion was measured at cycle 2, then the
16 patient came back for cycle 3 and the lesion was not
17 measured, and then the patient came back for cycle 4 and
18 the lesion had progressed. The sponsor recorded the day of
19 progression as the day of examination at cycle 4, whereas
20 we didn't really know when the patient progressed. It
21 could have been at cycle 3, it could have been at cycle 4.
22 So we censored the patient at the time of last evaluation.

23 A second area concerns deaths occurring without
24 documented progression or clinical deterioration. The
25 sponsor coded the date of death as the date of progression,

1 whereas we, again, did not know actually when the patient
2 did die, and so we censored the individual at the last
3 evaluation for progression.

4 Now, generally speaking, these factors applied
5 only to a few patients, and so it didn't really alter
6 analysis of treatment results that much. What it did,
7 though, was add precision to evaluation of progression-free
8 survival, in that we think we eliminated a lot of noise
9 that might have resulted from an inaccurate coding of date
10 of progression, and I will show you data and the actual
11 curves for progression-free survival to indicate in fact
12 that we got better P values for these parameters than did
13 the sponsor.

14 The data set, as you heard earlier from Dr.
15 Spiegel, consisted primarily of the intent-to-treat
16 population, which included a total of 305 patients, 156
17 patients on the temozolomide arm, 149 patients on the DTIC
18 arm. The sponsor then further defined an eligible
19 population, and then further defined a treated eligible
20 population, and as you've heard from the sponsor's
21 presentation, the FDA objected somewhat to these two
22 subclassifications of patients, and I'll show you the data
23 as to why we don't put much weight on either the eligible
24 or the treated eligible population.

25 Also as was stated by Dr. Spiegel this morning,

1 the primary endpoint of the study was overall survival, and
2 the study was designed as a superiority study. The
3 statistical section of the protocol stated that with 210
4 deaths, a 3-month median survival difference -- that is, 6
5 months for DTIC versus 9 months for temozolomide -- would
6 be detectable with 80 percent power at an overall 5 percent
7 level of significance. At the time the data was submitted
8 to FDA, 244 deaths had occurred, 124 temozolomide and 120
9 DTIC.

10 Secondary endpoints for the study were
11 progression-free survival, objective response rate, quality
12 of life, and pharmacokinetics. The principal regulatory
13 issue that's been alluded to several times already this
14 morning is that DTIC is not known to prolong either overall
15 or progression-free survival in advanced melanoma patients,
16 and thus temozolomide must be shown to be superior to DTIC.
17 Equivalence is not sufficient, because equivalence may mean
18 being equivalent to placebo.

19 Turning now to the study itself, the
20 randomization process accomplished its goals, and patients
21 in the two arms of the study were comparable for all the
22 factors listed on this slide. My impression of the patient
23 characteristics in this study differs a little bit from Dr.
24 Kirkwood's. I think this is a relatively good study
25 population. The median performance status of patients in

1 this study was 0, and less than a third of patients on
2 either arm had liver metastases.

3 For overall survival, this is the intent-to-
4 treat population, and both the sponsor and the FDA came out
5 with exactly the same results, as you would expect. This
6 is mature survival data. Out of 156 temozolomide patients,
7 124 are dead. Out of 149 DTIC patients, 120 are dead. The
8 median survivals are listed, 7.7 months versus 6.4 months,
9 and the P value is 0.2, and, again, we don't believe that
10 the equivalence argument is really pertinent here.

11 For those of you who like to look at actual
12 survival curves, this is the survival curve. The dark line
13 is temozolomide, the lighter line is DTIC, and as you can
14 see, the two curves pretty much parallel each other through
15 the course of the curve, with temozolomide always being
16 slightly superior.

17 Now, the sponsor has also done survival
18 analyses for the eligible patient population and the
19 treated eligible population, and as you can see, the P
20 values start to come tantalizingly close to 0.05, being
21 0.06 for the eligible and 0.054 for the treated eligible,
22 but as I've said before and as I will show you later, the
23 FDA does not give much weight to either the eligible or the
24 treated eligible population.

25 The sponsor also did a 6-month survival rate.

1 I guess we're the only one presenting the sponsor's data on
2 this point. It was 61 percent 6-month survival for
3 temozolomide, 51 percent for DTIC, and the sponsor's P
4 value was 0.063. FDA did the same analysis and got a P
5 value of 0.066. So it's basically the same, but, again,
6 for the reasons the sponsor indicated this morning, we
7 don't put much weight on this 6-month survival data either,
8 and we'll come back to that as we go on in the results.

9 Turning now to progression-free survival -- and
10 this is the FDA analysis of the intent-to-treat population
11 -- again, this is a mature analysis. Most patients had
12 progressed at the time of analysis. In the temozolomide
13 arm, 140 of 156 patients had progressed. In the DTIC arm,
14 128 of 149 patients had progressed. The median
15 progression-free survival in the temozolomide group was
16 1.74 months versus 1.38 months, and this difference, which
17 was 0.36 months, or about 11 days, turned out to be highly
18 statistically significant. In the FDA analysis, the P
19 value was 0.002, the sponsor's P value was 0.012.

20 The first question you might ask when you look
21 at this data is, how can a survival difference of 0.36
22 months generate a P value of 0.002? So I've shown the
23 curve here, and as you can see, this is temozolomide, the
24 darker curve, this is DTIC, the lighter curve, and as you
25 can see, at about the median point there's not much

1 divergence in the curves, and the curves really start
2 diverging when you get down to about the 30 percent point,
3 and then they come together around 14 months. So that most
4 of the divergence of the curves takes place after the
5 median was reached.

6 And to show you why I think our method of
7 analysis was somewhat more sensitive than the sponsor's
8 method of analysis for progression-free survival, I've
9 included our curve, on the left, and the sponsor's curve,
10 on the right, and you can see at this point down here
11 there's much more variability in the sponsor's curve than
12 there is in our curve, and I think this probably represents
13 more noise than anything else.

14 We've heard about the sponsor's response rate
15 before. In the intent-to-treat 156 temozolomide patients,
16 there were four CRs, 17 PRs, for a total of 21 patients
17 responding, or 13.5 percent. In the DTIC arm, again, there
18 were four complete responders, 14 partial responders, for a
19 total of 18 patients responding, or a response rate of 12.1
20 percent, and the P value for that was 0.7.

21 In the FDA analysis, again, we saw four
22 complete responders in both arms of the study. We found
23 two fewer PRs in the temozolomide arm than the sponsor did.
24 The sponsor had 17, we had 15. So overall for
25 temozolomide, we had 19 patients responding, or a 12.2

1 percent response rate. In the DTIC arm, we found four
2 fewer partial responses than did the sponsor, so we have a
3 total of 14 overall responses, or 9.4 percent, and our P
4 value is 0.43.

5 In terms of sites of disease in responders, as
6 Dr. Kirkwood pointed out earlier today, the cutaneous
7 lesions tend to be most responsive -- cutaneous and nodal
8 disease appears to be most responsive in melanoma, lung
9 metastases have intermediate responsiveness, liver
10 metastases are the worst, along with other visceral
11 metastases, and as you can see here, the most responses
12 occurred in patients with cutaneous disease or nodal
13 disease or both. There were seven temozolomide responders,
14 seven DTIC responders, for a total of 14 responses.
15 Patients with lung involvement, with or without cutaneous
16 involvement or lymph nodes, constituted the second-largest
17 group of responses. There were six in temozolomide, four
18 in DTIC, or 10. But even with liver, there were five
19 responses overall, three temozolomide, two DTIC, and a
20 total of four responders for other visceral sites.

21 For complete responders, of the four
22 temozolomide complete responses, two had disease confined
23 to skin lesions and/or lymph nodes, one had bone lesions,
24 and one had liver lesions. All four DTIC complete
25 responders had disease confined to cutaneous sites and

1 lymph nodes.

2 Generally speaking, the mean tumor area in
3 responders was smaller than it was in all patients. The
4 mean tumor area was 3.7 centimeters squared in the
5 responders versus a mean tumor area of 10.8 square
6 centimeters in all patients, and you can see from the range
7 that we were frequently dealing with very small lesions.
8 These were millimeter-size lesions, and they could be
9 present primarily in the skin, but they were also present
10 in lung and even in liver.

11 As was pointed out by the sponsor, median
12 response duration was longer for temozolomide-treated
13 patients than for dacarbazine-treated patients, 5.53 months
14 versus 3.22 months. Originally when we prepared this
15 slide, we had a P value attached to it. We subsequently
16 removed that P value when our statisticians told us it was
17 improper to do a statistical test on these two groups of
18 responders. But there is median response duration
19 prolongation with temozolomide.

20 Since we observed a lack of effect of
21 temozolomide treatment on survival, we thought that
22 possibly this could be explained by post-progression
23 factors, and so we looked at what happened to patients
24 after they had progression of disease. As you can see
25 here, this slide indicates a number of chemotherapy cycles

1 that were received after progression, and as you can see,
2 the majority of patients in the study, or 217, received at
3 least one cycle of chemotherapy, and that almost exactly
4 equivalent numbers of temozolomide and DTIC patients
5 received that one cycle of therapy. Then over time the
6 numbers of patients receiving subsequent therapy cycles
7 decreased, but in all time periods equal numbers of
8 temozolomide and DTIC patients received chemotherapy after
9 disease progression.

10 This slide indicates the drugs that were used
11 in these patients. The four drugs predominantly used were,
12 again, dacarbazine, cisplatin, nitrosourea, and vinblastine
13 or vindesine. For example, for DTIC, 28 patients who had
14 initially received temozolomide received DTIC either as a
15 single agent or as part of a combination after progression,
16 and 29 patients who initially received DTIC received the
17 drug again at the time of progression, and you can see data
18 for the other drugs on the slide, but you can see, again,
19 it's remarkably consistent that the number of temozolomide-
20 treated patients and the number of dacarbazine-treated
21 patients received almost the identical chemotherapy drugs
22 post-progression.

23 In terms of survival after progression of
24 disease, median survival for temozolomide patients was 4.7
25 months, median survival for DTIC-treated patients was 3.8

1 months, and the P value was 0.27, and we conclude based on
2 these three slides that post-progression factors were not
3 responsible for the failure to see a survival difference
4 between temozolomide- and dacarbazine-treated patients.

5 Health-related quality of life was a secondary
6 endpoint of the study. The protocol called for a
7 longitudinal analysis and a QTwIST analysis to be done.
8 The longitudinal analysis was not performed because of
9 severe patient censoring. The analyses that were performed
10 were the QTwIST analysis and three other analyses that had
11 not been specified in the protocol. All of these analyses,
12 though, were subject to heavy censoring. Generally
13 speaking, no formal statistical analysis was done, and no
14 statistically significant differences were observed.

15 In terms of pharmacokinetics, I'd like you to
16 pay attention just to the bottom line of this slide, and
17 this gives data on area under the curve. The two panels on
18 the left deal with the parent drug. The two panels on the
19 right deal with the active metabolite MTIC, the first
20 panel, MTIC generated from temozolomide; the second panel,
21 MTIC generated for dacarbazine. As you can see at the
22 bottom, for both the parent drug and for the MTIC
23 metabolite, the AUC was approximately two times greater for
24 temozolomide than it was for dacarbazine, and this
25 obviously raised the question about whether equivalent

1 amounts of drug were given to the two arms.

2 To try and answer this question, we decided we
3 would look at toxicity profiles. Assuming that more active
4 drug was given in the temozolomide arm, one might expect to
5 see more toxicity in the temozolomide arm. And this slide,
6 with slightly different denominators, is a slide you've
7 seen earlier today, but this looks at the development of
8 Grade 3 or 4 hematologic toxicity for the 156 temozolomide
9 patients versus the 149 dacarbazine patients. You can see
10 here that 6 percent of temozolomide patients developed
11 Grade 3/4 anemia versus 7 percent for dacarbazine; for
12 granulocytopenia, slightly more temozolomide patients
13 developed this toxicity, 16 versus 13; and for
14 thrombocytopenia, 20 percent versus 13 percent had a Grade
15 3/4 toxicity. So one might say here that there's a
16 suggestion that maybe there's more toxicity in the
17 temozolomide arm.

18 However, we also then went on to look at
19 duration of nadir toxicity, and we approached this in two
20 ways. This is the first, somewhat indirect way, but we
21 looked at the percentage of all CBCs that were done in
22 patients that demonstrated Grade 3/4 toxicity, and for
23 neutrophils in temozolomide treatment, 17 percent of all
24 the CBCs in temozolomide-treated patients had Grade 3/4
25 toxicity versus 25 percent for DTIC, and for platelets it

1 was 23 percent versus 25 percent. This suggests that the
2 nadir of leukopenia was -- or the duration of Grade 3/4
3 toxicity was greater for DTIC than it was for temozolomide,
4 which is a little bit opposite of the results in the last
5 slide, and the sponsor's data on time from nadir to
6 recovery is also in the exact same direction. Median time
7 to recovery is longer for DTIC than it is for temozolomide
8 for both neutrophil and for platelet. So we conclude from
9 this that despite what we see in the AUC, equitoxic doses
10 of both drugs were given.

11 When I presented the glioma data in January,
12 there was a suggestion at that time that temozolomide
13 treatment might be associated with hypercoagulability --
14 that is, there was a relatively high incidence of
15 thrombosis, phlebitis, and pulmonary emboli in
16 temozolomide-treated patients -- so we looked at the same
17 data again for this melanoma population, and we don't see
18 that tendency toward hypercoagulability. There were only
19 two temozolomide patients with thrombosis, no phlebitis,
20 and no suspected pulmonary emboli.

21 Now to get into interpretation of the data in
22 this study, FDA has no problems with the intent-to-treat
23 population and does have a problem with the sponsor's
24 eligible patient population, because in the FDA analysis
25 there was a minimum of 53 patients who either did not meet

1 protocol inclusion criteria or who did meet protocol
2 exclusion criteria. Further, there was another group of 25
3 patients who were non-evaluable as far as response or
4 progression-free survival goals, and we'll go into the
5 reasons for this in the next couple of slides.

6 These are the FDA's reasons for study
7 ineligibility, and as you can see, there were many, but
8 basically as the sponsor suggested, what I did was go
9 through the inclusion criteria and the exclusion criteria
10 and see who didn't meet those criteria, and you can see the
11 wide variety of reasons for ineligibility: abnormal brain
12 scan, no measurable tumor, inclusion criteria not met,
13 exclusion criteria met, the protocol said you couldn't have
14 biologic treatment within 28 days and these people were
15 outside those limits, couldn't have radiation therapy
16 within 14 days. The baseline hemoglobin was addressed
17 before. I happen to think baseline hemoglobin is an
18 important test, in that it may well be a surrogate for
19 performance status.

20 But the category that I have the most trouble
21 with is that the protocol said the patients had to go on
22 study within 3 months of the time of detection of
23 metastases. I included the Stage 4 patients in here,
24 because if a patient was Stage 4, they should have gotten
25 into the study by 3 months, according to the protocol

1 criteria. But I didn't know about patients who were Stage
2 1, 2, or 3 before. It's a little ambiguous as to whether
3 they were more or less than 3 months at the time they
4 finally entered into study when they had progressed. But
5 be that as it may, there were a significant number of
6 ineligible patients.

7 Reasons for non-evaluability are six patients
8 had no baseline tumor measurements, and another 19 patients
9 had no tumor measurements after baseline, so that we
10 couldn't use these individuals to look at either response
11 or progression.

12 Turning now to a summary, this is the sponsor's
13 survival data. As you know, the P value for the intent-to-
14 treat population was 0.2, and as you go to the eligible
15 population and the treated eligible population, the P value
16 approaches 0.05, and the P value for the 6-month survival
17 in the intent-to-treat population was 0.6.

18 FDA concerns with the survival analysis are, as
19 we mentioned before, equivalence of survival is
20 insufficient, since DTIC has never been shown to prolong
21 survival. You've heard that the FDA disagrees with the
22 eligible patient population. The sponsor has downplayed
23 the 6-month survival analysis, so I won't say too much
24 about that, except to note it wasn't prespecified in the
25 protocol, and the FDA really doesn't use it as a basis for

1 marketing approval.

2 FDA concerns with progression-free survival
3 are, as I pointed out, the P value was 0.002. The median
4 progression-free survival difference was only about 11
5 days, but as you've seen in the progression-free survival
6 curves, most of the important events occurred after the
7 median had been attained. Another problem with it was that
8 the DTIC patients were evaluated more frequently, and the
9 final area was the possibility for bias, in that the study
10 was not blinded.

11 FDA concerns with the response rate, you see on
12 top in blue. The response rates by FDA analysis were 12.2
13 percent versus 9.4 percent. If you look at the odds ratio
14 for tumor response, the lower bound of the 95 percent
15 confidence limit is 0.66, so that the temozolomide response
16 rate could be 34 percent less than the DTIC response rate,
17 and if you look at difference in response rates, that
18 difference was 0.028, with lower bound of the 95 percent
19 confidence limit at -0.056 percent, so that if the DTIC
20 response rate was actually 9.4 percent, the temozolomide
21 response rate could be as low as 3.8 percent.

22 Response duration, I pointed out before that
23 the response duration was 2.3 months longer in
24 temozolomide-treated patients than in dacarbazine-treated
25 patients.

1 FDA concerns with other secondary endpoints,
2 quality of life. We know that all analyses were subject to
3 heavy censoring and that no statistically significant
4 conclusions could be drawn. The pharmacokinetic analysis,
5 we have not yet explained the fact that the mean AUC for
6 parent drug and MTIC was twice as high in the temozolomide-
7 treated group, but as far as we can tell, equitoxic doses
8 of both drugs were used.

9 In terms of safety, FDA agrees with the sponsor
10 that temozolomide has an acceptable safety profile, most of
11 the adverse effects are mild to moderate in severity, and
12 that Grade 4 adverse effects were primarily
13 thrombocytopenia or neutropenia.

14 That concludes my presentation, and I'll be
15 happy to take questions.

16 DR. SCHILSKY: Thank you very much.

17 Are there questions from the committee? Dr.
18 Johnson?

19 DR. DAVID JOHNSON: Dr. Cohen, I was very
20 interested in the analysis done about the chemotherapy
21 after progressive disease. Presumably none of the patients
22 who were on temozolomide responded to DTIC?

23 DR. COHEN: I don't know the answer to that.
24 That I was not able to get out of the data. I just know
25 that they were treated, but I don't know what their

1 response was.

2 DR. DAVID JOHNSON: And do you have knowledge
3 about whether any of the patients who progressed with DTIC
4 received temozolomide?

5 DR. COHEN: There were none listed.

6 DR. DAVID JOHNSON: And, lastly, did any of the
7 patients re-treated with DTIC respond?

8 DR. COHEN: As I said, there was no response
9 data reported, so I don't know.

10 DR. SCHILSKY: Maybe I could just follow up on
11 one aspect regarding the post-progression treatment,
12 because we heard a comment earlier that some patients at
13 the time of progression underwent resection of metastatic
14 lesions. So could you identify those patients in your
15 analysis? You didn't say anything about resected patients.

16 DR. COHEN: I didn't know about the resections
17 at the time I did the analysis, so I didn't look for it.

18 DR. SCHILSKY: Questions? Dr. Krook?

19 DR. KROOK: We heard from one of our fellow
20 clinicians for the sponsor that his impressions -- and I
21 realize you did not see the patients, you only saw the
22 data, but you did see the quality of life data, and at
23 least the sponsor did not discuss that greatly. Did you
24 get a feeling -- and I realize the problem with this is
25 that people who took temozolomide had less problems, and,

1 again, we're into this touchy feeling thing, as one of my
2 partners says -- had a better tolerance to the drug?

3 DR. COHEN: The sponsor measured quality of
4 life on a 100-point scale. There were a variety of
5 functional and symptomatic measures on a 100-point scale,
6 with a higher value indicating better quality of life, and
7 what happened in both groups after the initial treatment,
8 quality of life declined slightly, uniformly, in the two
9 groups. Subsequent to that, for at least the second and
10 third cycles of treatment, quality of life in both groups
11 improved slightly, and I'm talking about changes in the
12 order of five to seven points on a 100-point scale, and
13 after that there was such severe data censoring that you
14 couldn't get anything more.

15 DR. SCHILSKY: If I could ask a similar
16 question to you, having seen all the data, including
17 quality of life data and so on, which, interestingly, were
18 not presented by the sponsor, can you conclude or can you
19 draw any inferences as to whether any patients on either
20 arm of this study actually obtained any benefit from having
21 been treated for their melanoma?

22 DR. COHEN: Based on the data as I saw them, my
23 answer to that would be no, and I base that on looking at,
24 for example, performance status at the time of progression.
25 In the majority of patients, performance status did not

1 change at the time of progression, and when I say
2 "majority," I'm talking about something between 50 and 60
3 percent, there was no change in performance status. In the
4 remaining 40 to 50 percent, there was a decline in
5 performance status at progression.

6 I guess in the patients who had a decline in
7 performance status, you might say that the drug treatment
8 prolonged baseline performance status. But people whose
9 performance status didn't change, I don't think you could
10 say the drug did anything.

11 DR. SCHILSKY: Dr. Johnson?

12 DR. DAVID JOHNSON: Along those same lines, I'm
13 going to revisit the point just one more time, not to
14 berate you specifically, but in the briefing book from the
15 sponsor, they went to great lengths to quote Dr. Temple on
16 numerous occasions regarding the FDA's position, and then
17 stated from a publication put out by the FDA regarding this
18 committee's charge with respect to approval of new
19 products, and in one of the comments, a direct quote from
20 the publication that states, "The primary aim of cancer
21 treatment is to prolong life, but the demonstration that a
22 new agent causes tumor regression and improves patients'
23 clinical condition also supports approval of a new agent,
24 even in the absence of improved survival."

25 From your review of the totality of data, can

1 you give me any information or any indication that in your
2 judgment this product improves the patients' clinical
3 condition sufficient for an approval by this committee?

4 DR. COHEN: Well, you're going to have to take
5 the data as it exists. For response rate, there clearly
6 was prolongation of response duration associated with
7 temozolomide treatment. If we believe in the psychological
8 advantage of progression-free survival in patients with
9 advanced disease, then a delay in progression-free survival
10 would be meaningful clinically, in that the lesions took
11 longer to progress in some patients. I think those are the
12 only two data points that I know of that would support an
13 indication for temozolomide.

14 DR. SCHILSKY: Dr. Spiegel?

15 DR. SPIEGEL: If I may add some comments, we've
16 learned two things about quality of life analyses. One is
17 that it's almost impossible to describe them at advisory
18 committees in a way that everybody understands it, or for
19 our own statisticians to explain it to us in a clear way,
20 and, secondly, that this is probably not the right setting
21 to try and get meaningful quality of life summary
22 statistics. It might be well suited for arthritis when
23 everybody's alive who started your study, but as you
24 mention and as we pointed out in our briefing book, the
25 censoring that occurs with so many patients dropping either

1 because of progression or death who aren't available for
2 the type of full quality of life to compare their endpoint
3 to their baseline makes the best intentions go awry
4 whenever we've tried.

5 Nonetheless, I don't want the committee to be
6 left with the impression that we've held back information
7 or that we had a poor outcome, and if you would wish, I'd
8 ask our statistician to show among those patients who had
9 quality of life or among the responders, there were scales
10 where we did show improvement, but we felt that if we had
11 presented that proactively today, it would be stretching
12 the censoring that only allowed certain patients to be
13 evaluable.

14 If you'd like, I'd like to let Dr. Sagano just
15 tell you what data we do have on that.

16 DR. SCHILSKY: Before you show the data,
17 perhaps I could ask if there are any members of the
18 committee who wish to see the data.

19 DR. RAGHAVAN: Sure.

20 DR. SCHILSKY: Dr. Raghavan would like to see
21 the data.

22 DR. SAGANO: Okay. As Dr. Spiegel pointed out
23 and I think the FDA rightly pointed out, the censoring was
24 very severe on all the study patients. We have a different
25 situation, though, with responders, where they're in the

1 study long enough to begin to look at them longitudinally
2 to see if there might be a difference between dacarbazine-
3 treated versus temozolomide-treated responders.

4 The EORTC instrument that we use has six major
5 functioning scales that are a part of the instrument.
6 These are physical, role, cognitive, and we'll get into
7 global and emotional later, but these first three
8 illustrate a change from baseline over time for the
9 responders, CR/PR patients, very small numbers for the two
10 groups. The green line shows you in the physical
11 functioning, on the top left, the temozolomide responders
12 over time and their change from baseline, which essentially
13 stays the same or actually goes up a little bit over time.
14 The red line is the DTIC patients who are responders
15 through the analysis. We have to be real careful, because
16 at 24 months, which is the end of the curve there
17 basically, most of the DTIC patients have already dropped
18 out. But the responder analysis, I think, if you take it
19 through 12 to 16 weeks, pretty much shows you what's
20 happening to the responders on these two drugs.

21 So if you look at physical functioning, you can
22 see the green line is always on top. Role functioning is
23 always on top. The same goes for cognitive functioning,
24 emotional functioning, social, and global quality of life.
25 The green line is always on top. Again, I think as has

1 been pointed out, these patients, many of them came in with
2 high functioning at baseline, so the change from baseline
3 you wouldn't expect to be huge in terms of a positive
4 effect. What you're trying to, I think, achieve in
5 comparison perhaps with the dacarbazine patients who are
6 going to be on trial or on study for a while is a
7 maintenance of that quality of life, and I think you see
8 that with the green line on all six scales.

9 DR. SCHILSKY: Now that you've seen the data,
10 are there questions? Dr. Nerenstone?

11 DR. NERENSTONE: One of the things that
12 concerns me about the quality of life analysis is that --
13 my concern is that the differences may not have anything to
14 do with the medications, but in fact the way that they're
15 given, just by bringing somebody into the office 5 days in
16 a row versus giving pills that they only get at Day 1. And
17 since this is a very subjective analysis, could you just
18 please comment on that?

19 DR. SAGANO: We had no specific questions on
20 that issue, and I think that's a very important one. In
21 fact, these six sort of general functioning scales are sort
22 of just measures of different aspects of quality of life.
23 They really don't get at the reasons why.

24 The only thing that we looked at when we looked
25 at other kinds of questions as to why we might be seeing

1 this sort of effect over time would be, in one of the
2 symptom scales for the EORTC is a thing that goes into an
3 issue that may relate to perhaps cumulative issues taking
4 place on one treatment arm. But of all the other things
5 that we looked at that were part of the QLQC-30, it was the
6 only thing that sort of gave us some information about what
7 may be happening to these patients over time. There was
8 nothing else we could look at.

9 DR. SCHILSKY: Dr. Raghavan?

10 DR. RAGHAVAN: One of the things -- I guess
11 I've missed the point. The denominator that you show there
12 at starting point is very small, and I understand that
13 there weren't that many responders, but I presume you
14 measured quality of life in everyone. I understand the
15 very laudable goal that you had of downplaying this
16 information and recognizing the numbers are small, but did
17 you not have data that related to the whole patient set
18 that might give us a better sense?

19 We've established repeatedly today that there
20 is a discrepancy when you've got small lesions between what
21 constitutes "response" and patient benefit. Looking at the
22 global set of patients treated in both arms, do you have
23 quality of life outcomes that are independent of response
24 category that show differences of any type that might
25 enlighten us in any way?

1 DR. SAGANO: The only place where we had
2 sufficient data from all the patients was at Cycle 1,
3 before you started getting this churning, this dropping out
4 very fast. Again, by Cycle 3 the majority of patients were
5 already out of the trial. So at each of the first couple
6 of cycles all the way through Cycle 5 and 6, you just saw
7 lots of people turning over, and as they progressed, their
8 quality of life actually did go down.

9 But what you see from Cycle 1 is what we would
10 anticipate to be sort of the toxicity burden up front
11 initially for all patients. So if you're looking at all
12 patients and you want to look at as many as we can, this is
13 the best data we have, which is the Cycle 1, which I think
14 just basically talks about a little bit of the toxicity
15 burden, which was fairly similar between the two drugs at
16 Cycle 1, and after that point in time and after you get
17 people dropping out, the early dropouts, then all you have
18 pretty much to look at are the responders who remain on the
19 study for long periods of time.

20 DR. SCHILSKY: Dr. Krook?

21 DR. KROOK: If you look at -- I'm looking at
22 the slide again. It would be nice to say which of these
23 are disease-related and which are drug, but you can't do --
24 we don't have that. Going back to what a lot of us did a
25 long time ago, as physicians -- at least some of us are

1 here -- we used to use performance score as kind of a
2 catchall before all these high scores, and so perhaps Dr.
3 Cohen could comment on in the responders -- I mean, I
4 realize that a lot of these people started with a PS of 0,
5 but were there people who improved their PS from, let's
6 say, 2 to 1 or from 1 to 0? You mentioned that they went
7 the other way, but did we see an improvement of that
8 physician-derived number as we looked at these in the
9 responders?

10 DR. COHEN: I'm sorry, I really can't answer
11 that. I don't have the data. I could get it, but I don't
12 have it with me right now.

13 DR. KROOK: Would the sponsor? I mean, did we
14 see an improvement in performance score in some of those
15 responders?

16 DR. FROST: As mentioned, the majority of
17 patients were performance 0 and 1. I think remarkable is
18 that these patients maintained their good performance. For
19 the patients with 0, there was not much room for
20 improvement. While the patients were in response, none of
21 them who had a 1 had decreased.

22 Again, we do not have detailed data, because
23 some of the patients -- I mean, basically the treatment
24 length was 12 months, and responders were ongoing, as
25 you'll see by 36 months, 24 months, and so on. We have

1 only limited data for performance status after that, but we
2 didn't see any decreases.

3 DR. SCHILSKY: Other questions? Dr. Simon?

4 DR. SIMON: Dr. Cohen, were you able to verify
5 the duration of response data for the responders, or did
6 you --

7 DR. COHEN: Yes.

8 DR. SIMON: So what kind of information do you
9 have?

10 DR. COHEN: The data I presented actually was
11 the FDA analysis of duration of response.

12 DR. SIMON: I'm not just saying that you redo
13 the statistical analysis, but you verified the accuracy of
14 the durations of response --

15 DR. COHEN: Yes, that's correct.

16 DR. SCHILSKY: Dr. Johnson?

17 DR. JOHN JOHNSON: I just wanted to comment
18 about this quality of life material. We're looking at a
19 certain number of points, and you might see on the scale
20 that there seemed to be a difference of 10 points or 15
21 points. We have no idea whether 10 points is important,
22 and we don't know how many points difference we would need
23 in order to have something clinically significant. There's
24 no definition.

25 For example, if you were doing a Kronofsky

1 performance scale, each 10-point interval along that scale
2 is defined in clinical terms, and you can look at 50 and 80
3 and make a judgment as to whether you think there is any
4 important change between 50 and 80. But between 50 and 80
5 on these scales, there's no way you can make such a
6 judgment.

7 DR. SCHILSKY: Yes?

8 DR. SAGANO: If I can comment on that, one of
9 the reasons we use the EORTC instrument is because there's
10 been a lot of validation on what the clinical meaning of
11 different score shifts looks like. Previous studies and
12 publications on this instrument have shown that 10-point
13 shifts in any of those six functioning scales appear to be
14 meaningful to patients in terms of things they can detect
15 when you look at the validations against their ability to
16 show differences in those scales.

17 DR. SCHILSKY: Thank you.

18 Any other questions from the committee for the
19 FDA?

20 (No response.)

21 DR. SCHILSKY: If not, thank you very much, Dr.
22 Cohen.

23 Now, I guess I should ask whether the committee
24 wishes to have any general discussion before we consider
25 the specific questions.

1 (No response.)

2 DR. SCHILSKY: Okay. No one is taking the
3 bait, so why don't we go on to the questions. We have what
4 is perhaps the longest preamble to a set of questions that
5 I've ever seen.

6 (Laughter.)

7 DR. SCHILSKY: I'll just give the committee
8 members a few minutes to glance through this again, and
9 it's largely a summary of both the efficacy and safety data
10 that has already been presented this morning.

11 The first question, then, which appears to be
12 at least two questions in one: "Do the results of this
13 study, particularly the objective tumor response rates and
14 response durations for temozolomide versus dacarbazine, and
15 the effect on progression-free survival, even in the
16 absence of any effect on survival, provide substantial
17 evidence of effectiveness? In considering this, note that
18 MTIC is thought to be the active metabolite for both
19 drugs." We're asked to consider whether this information
20 on the mechanism of action and the PK data for MTIC affect
21 the conclusion, even in the absence of evidence of an
22 effect on survival.

23 Perhaps we should deal with the first question
24 here, the first half of this question: Do the results of
25 the study, in the absence of any effect on survival,

1 provide substantial evidence of effectiveness of
2 temozolomide? Open for discussion.

3 DR. KROOK: Well, for discussion purposes, you
4 could turn the drug to dacarbazine the same. That's one of
5 the issues, so you -- I mean, does it provide effect of
6 this disease on either drug? I realize the question, but I
7 think it goes back to that question.

8 DR. SCHILSKY: Well, does anyone care to
9 address the issue of whether dacarbazine is a drug that is
10 known to have any efficacy in treating melanoma, since
11 that's the comparator here? It seems in a sense that's the
12 crux of the matter, as has been pointed out. I think most
13 everyone around the table is likely to agree that
14 temozolomide has not been shown to be superior to
15 dacarbazine. The sponsor has made the case that the two
16 drugs are equivalent. The question, though, is whether the
17 comparator, the dacarbazine, is a drug that has any
18 effectiveness, so is it, therefore, meaningful for
19 temozolomide to in fact be equivalent to that drug?

20 Comments on that?

21 DR. DAVID JOHNSON: I'll make a comment. I
22 actually think that confuses the issue of what we're being
23 asked to do. If we're separately being asked to give our
24 opinion about dacarbazine, I'm happy to do that, and I
25 think most of us have stated that we don't believe that

1 dacarbazine is a particularly effective drug. Although it
2 occasionally makes the tumor shrink, we're not convinced
3 that it does much else for the patient. Certainly, the
4 asymptomatic patient.

5 I would prefer to not get off on a sideline
6 about the validity of the comparator, because I happen to
7 agree with Dr. Spiegel when he made the comment earlier
8 that even though no conversation was held with FDA, I
9 suspect that had such a conversation been held, a decision
10 very well might have been made to use DTIC as the
11 comparator. And that's okay, because they came in looking
12 for superiority, not comparability, and that's a wholly
13 different issue, in my opinion.

14 The point that is being asked of us in this
15 question is, do we believe, based on the response rate,
16 durations of response, et cetera, that this is an effective
17 drug? And in a sense that takes us back to 1975. I mean,
18 we saw the data on which the FDA approved DTIC, and I don't
19 personally subscribe -- and have said so many times before
20 in this forum -- that simply seeing a tumor shrink is
21 sufficient to compel me to personally vote for approval. I
22 would like to see something else in concert with that
23 response rate that seems beneficial, and in many instances
24 that's judgmental. I would have certainly liked to have
25 seen in the symptomatic patient some evidence that they had

1 improved, even if it was a symptom assessment score on a
2 card that the patient ticked off 1 through 10, "I'm better,
3 I'm worse." That would have made me feel a little better
4 about how I feel about it.

5 So based on the way the question is phrased, my
6 personal view would be, no, I don't think that the results
7 of this study convince me that temozolomide -- I'm not
8 particularly interested in DTIC, I'm interested in
9 temozolomide -- has been shown to be an effective drug in
10 this disease.

11 DR. KROOK: Except for purposes of comparison.

12 DR. DAVID JOHNSON: Well, again --

13 DR. KROOK: I mean, if you go back to that --

14 DR. DAVID JOHNSON: Yes, if the question were
15 asked of me --

16 DR. KROOK: It's equal.

17 DR. DAVID JOHNSON: Well, you know, if
18 something is equal to nothing, it's still nothing.

19 DR. KROOK: Well, that's part of the problem.

20 DR. DAVID JOHNSON: That's true. But, again,
21 the study designed by the sponsor was a superiority study,
22 so we could all agree that DTIC is at worst a bad placebo,
23 and so I think it was reasonable to construct a study that
24 looked for superiority.

25 Now, candidly, had I been the advisor to the

1 FDA, had they come back and asked the question about an
2 equivalence trial, I would have warned them against doing
3 such. I would have said that the question will be raised
4 by a number of people, not the least of whom would be
5 myself, of the validity of using DTIC at all as a useful
6 drug for comparative purposes. In this country, I'll grant
7 you that it's likely that the study could only be done with
8 DTIC as a comparator. But I see the question as different,
9 and if I'm interpreting it incorrectly, I certainly can be
10 instructed differently by the FDA, but to my way of looking
11 at this, the comparator issue only confuses the issue
12 further, makes it an emotional one.

13 I think the issue is did temozolomide show
14 something that allows me to think that it's an effective
15 drug, and merely shrinking the tumor has not convinced me
16 that it's an effective agent.

17 DR. SCHILSKY: So, David, if this were a
18 single-arm trial that's presenting data that we've seen on
19 temozolomide, a large Phase II trial, just to ask it again,
20 would you conclude based on those data that temozolomide
21 had any effectiveness?

22 DR. DAVID JOHNSON: No. This question has been
23 asked of us before in accelerated approval settings, and
24 I've been troubled personally -- and I think many of us
25 have -- about that particular situation in which we're

1 often asked to make a conclusion based on Phase II data.
2 The times when we've come down in favor of approval, I
3 think we've been shown, in some instances in a very
4 convoluted way, patient benefit. Here even the sponsor, I
5 think appropriately, did not present data that they felt
6 were adequate to address those issues, and have really
7 asked us to simply look at a response rate, even though
8 it's a randomized trial, and then hope that emotionally we
9 will respond by saying, "Well, DTIC is an appropriate
10 comparator. It doesn't look worse than, even though it's
11 not an equivalency trial."

12 I personally think that that's carrying it a
13 little too far for us to go and approve the drug.

14 DR. SCHILSKY: Comments? Dr. Temple?

15 DR. TEMPLE: Let me ask that you comment
16 specifically on one of the endpoints, which was the time to
17 progression. By both their analysis and our analysis, time
18 to progression was improved compared to the active control.
19 As Dr. Cohen pointed out, the median difference was tiny,
20 but the tail showed something. Always a problem when you
21 have a very low response rate.

22 DR. DAVID JOHNSON: And I'm sensitive to that,
23 very much so. Remember, I do lung cancer work, so we're
24 very interested in the tails in curves, and medians don't
25 mean too much. I might have been willing to accept that

1 had it been linked to something that I could have construed
2 as a patient benefit in a clinical sense, and I don't see
3 that linkage. I personally don't see that linkage.

4 DR. SCHILSKY: Again, I think the time to
5 progression is a little bit confounded by the differences
6 in the frequency of evaluation, which is going to bias
7 things in favor of temozolomide. Those patients were
8 evaluated slightly less often with respect to progression,
9 so it's likely that progression is going to be identified a
10 little bit later in those patients.

11 DR. TEMPLE: We actually discussed that a
12 little bit, and I'm sure someone who knows how to do this
13 sort of thing could model the effect of having one group
14 measured every 4 weeks and the other group measured every
15 3. My guess is that it could certainly account for a few
16 days difference easily, but it probably can't easily
17 account for the difference in the tail, where it looks
18 larger. But we haven't done that modeling. We perhaps
19 could sometime. I think it is an interesting question.

20 DR. DAVID JOHNSON: Well, actually, I did have
21 my statistician do that modeling, and if the patient were
22 to get out to three and certainly if they were to get out
23 to four assessments, you can come up with a 14-day
24 difference, is the model that they constructed, which I
25 think 11 days is what in fact was observed. And then

1 according to, again, my statisticians, when one gets out to
2 the tail with the numbers that one's dealing with out
3 there, then those differences become less -- I mean, the
4 overlap in terms of the confidence intervals is there, so
5 then it becomes less relevant in terms of what that really
6 represents.

7 DR. SCHILSKY: Other comments? Jim?

8 DR. KROOK: My only other comment is the word
9 "substantial." My own feeling, as one of the reviewers,
10 the word "substantial" leans me to answer that question as
11 no.

12 DR. SCHILSKY: Does anyone have any comment to
13 make regarding the second half of this question? Does the
14 pharmacokinetic data we've seen in any way -- how do you
15 take that data into account, if at all, in rendering any
16 judgment here?

17 Are you going to answer the question?

18 DR. TEMPLE: No, I just wanted to comment on
19 the word "substantial." This may not make much difference
20 to you, but that word is taken directly from the law, and
21 believe it or not, it was meant to describe a modest
22 standard. In law when you want to say "really convincing,"
23 you say "beyond a reasonable doubt." In some civil actions
24 you say "preponderance of evidence," that means more than
25 half. When you say "substantial," you actually mean less

1 than that. The way the law comes out, it says "substantial
2 evidence from well-controlled studies," and the gestalt is
3 one of a reasonably high standard.

4 I don't know if that helps at all, but I had to
5 say it.

6 (Laughter.)

7 DR. SCHILSKY: Okay. Thank you for that
8 clarification.

9 (Laughter.)

10 DR. SCHILSKY: Well, perhaps we're prepared to
11 vote on the first question, since no one is interested in
12 commenting about the pharmacokinetics. I suppose we can
13 just contract the question to be, do the results of this
14 study provide evidence for effectiveness of temozolomide?

15 All who would say yes, raise your hands.

16 (Show of hands.)

17 DR. SCHILSKY: All who would say no?

18 (Show of hands.)

19 DR. SCHILSKY: Ten no.

20 Any abstentions?

21 (Show of hands.)

22 DR. SCHILSKY: One abstention.

23 Okay. I guess we don't have to answer the
24 second question, in view of our answer to the first
25 question. So perhaps we can go on to Question 3: Does the

1 committee recommend approval of temozolomide for treatment
2 of advanced metastatic melanoma?

3 Any discussion of that before we vote?

4 (No response.)

5 DR. SCHILSKY: All who would vote yes?

6 DR. KROOK: One comment, if I can, before we
7 vote. My thought to myself is that if I am a community
8 oncologist, which I am, and I have the opportunity to make
9 the decision whether to use a chemotherapy agent, the
10 choice which is directed to me by the present standard of
11 care is DTIC. The issue then becomes what I think I
12 brought up earlier, and that's the question David perhaps
13 does not want to ask or answer, does DTIC give a meaningful
14 response and effectiveness, and in a way he answered the
15 question, and I think a lot of us feel that same way. But
16 I go back to my experience with ease of administration,
17 convenience, and otherwise, as will reflect perhaps my
18 vote, unless it changes between now and then.

19 DR. SCHILSKY: Are you suggesting --

20 DR. KROOK: I guess I'm saying since at the
21 moment those of us who practice clinical medicine have DTIC
22 available, and at least I perceive that the mechanism of
23 action is similar or at least close to the same and the
24 toxicity is no worse, that this may be an ease of
25 administration and other factors to consider for those of

1 us who practice community medicine. I mean, is this a form
2 of a drug that is approved that is simply going to be able
3 to be administered easily, more conveniently for both a
4 physician, or a provider, as we're now called, and the
5 patient? That influences my vote as we come to this.

6 DR. SCHILSKY: So perhaps I could just ask you,
7 in view of your vote on the first question, whether you're
8 telling us that you would prefer to give an oral placebo to
9 an I.V. placebo?

10 DR. KROOK: Yes. In a way the answer is yes.
11 We give in to this -- and all of us face this in practice,
12 what do you do with somebody who wants to do something, and
13 you realize -- I mean, I pass out, as a lot of people do,
14 hormonal drugs, realizing they may not do much, but be
15 that, again, an improved quality of life, the person who's
16 taking it feels better. Now, this may be the American way,
17 but I do practice that way, and I do practice do no harm.

18 DR. SCHILSKY: I guess I would just comment
19 that it seems to me that there are oral placebos available
20 that are even less toxic than temozolomide.

21 DR. KROOK: Agreed.

22 DR. OZOLS: If you want to use this kind of an
23 agent, you're still talking about response rates being so
24 extraordinarily low that at most you're talking about
25 probably giving a patient one or two cycles before they

1 progress. So it's not like long-term oral versus long-term
2 I.V.

3 DR. SCHILSKY: Dr. Temple?

4 DR. TEMPLE: Let me make sure about one thing.
5 The second half of the first question gave you the
6 opportunity, but in a somewhat hard-to-follow way probably,
7 to say, "We don't actually care if effectiveness has been
8 shown by these trials, it's probably the same as DTIC, and
9 maybe that's good enough." What I heard in the discussion
10 was that you do not agree with that thought. But if I'm
11 wrong, you should tell us. What you said was, "It doesn't
12 really matter whether there's just another way to give
13 DTIC. The fact that 25 years ago we reached the conclusion
14 that that was effective shouldn't influence us now to
15 declare this drug effective."

16 I'm just describing what I heard you say. If
17 we've got that wrong -- that's what this question was
18 designed to raise, and we just need to be sure we
19 understand you. We certainly know that for reasons that
20 have been widely discussed, these trials don't show
21 effectiveness in terms of survival. But another view could
22 have been, "Well, you wouldn't really expect much, it's the
23 same drug, and we're willing to live with the past." But I
24 think you've told us, no, we're not.

25 DR. SCHILSKY: Okay. So you've prompted a lot

1 of discussion.

2 PARTICIPANT: Well, I think Derek had his hand
3 up the longest there.

4 DR. SCHILSKY: All right. Derek, go ahead.

5 DR. RAGHAVAN: Well, I think the key issue is
6 that it's almost the year 2000, and we have the opportunity
7 to maybe say that in 1975 an error was made, and the
8 problem is this, that patients with melanoma deserve
9 effective treatment, and they don't deserve ineffective
10 toxic treatment, and that's probably what DTIC is. That's
11 not the fault of the sponsor, because they're absolutely
12 right in saying that oncologists -- and it's not a North
13 American phenomenon, it happens in Europe, it happens in
14 Australia as a function of their frustration with the
15 inability to offer effective therapy to people with
16 melanoma. Recognizing that getting treatment helps some
17 patients get through that crisis period, people give DTIC
18 with good intention, it just happens that they're giving a
19 toxic drug that requires the patient to present
20 repetitively.

21 The reason that I think many of us who are
22 active clinicians on the committee are choking on this is,
23 we keep thinking to ourselves, "Well, given that that's a
24 systematic error in the system, maybe we can make the error
25 less by having a less unpleasant mode of delivery of a

1 useless drug." And I think David Johnson, with his usual
2 slow-speaking, very, very clear thought, has gotten to the
3 crux of the matter, which is, that's the wrong way to think
4 about this. We have to take this drug on its merits, and
5 basically, unfortunately, I guess through no fault of their
6 own, the sponsor has compared and demonstrated essentially
7 an absence of real difference from an ineffective drug that
8 happens to have some toxicity. The one bit of difference
9 is it probably has less toxicity based on delivery.

10 So I think that the issue that you're reading,
11 Bob, is the fact that none of us likes DTIC, we get
12 presented with patients, and we sometimes use it,
13 remembering the one anecdotal case. Tamoxifen will give
14 you anecdotal -- the longest response I ever saw was in
15 Australia, to a patient who was treated with tamoxifen and
16 nothing else for biopsy-proven metastatic melanoma in the
17 liver.

18 We all have the odd anecdote, but I think the
19 reality of the situation is, the way this has played, Dr.
20 Calvert has shown that there are occasional responders of
21 long term. On the balance of the data today, most of the
22 patients who did well were doing okay, except for having a
23 terrible diagnosis, when they hit the trial. So it makes
24 it very difficult to get really excited about this. The
25 fact that this now gives you an avenue for giving a pill,

1 as Dr. Schilsky said, there are lots of pills that don't
2 make you sick at all.

3 DR. SCHILSKY: And just one comment. I don't
4 believe we've seen any data here to suggest that
5 temozolomide is less toxic than DTIC. I think we all agree
6 that it's easier to give, but it doesn't appear to be less
7 toxic.

8 David, do you have a comment?

9 DR. SLEDGE: Do you ever look in this
10 direction?

11 (Laughter.)

12 DR. SCHILSKY: I'm sorry. Dr. Sledge?

13 DR. SLEDGE: Go ahead, Stacy.

14 DR. NERENSTONE: I just wanted to agree with
15 what's been stated, but also to suggest that if it does
16 become orally available, that you'll actually increase the
17 toxicity, because performance status 2 and 3 and 4 patients
18 that we would never consider because they can't come into
19 the office, and can't be monitored because their
20 performance status is too poor, are now going to be given
21 this medication. So instead of decreasing the overall
22 toxicity, I think it's going to perhaps do the opposite.

23 DR. SCHILSKY: Dr. Sledge?

24 DR. SLEDGE: Thank you.

25 You know, Derek said earlier, quoting George

1 Kinellas, that melanoma was the disease that gave cancer a
2 bad name. I think I'd extend that a little bit further,
3 which is, DTIC is a drug that gives medical oncologists a
4 bad name, in that the contempt that Hilary's plastic
5 surgeons hold medical oncologists in England is not just an
6 English phenomenon, but is a phenomenon in the United
7 States, and it's a contempt that's based on us arguing over
8 very tiny differences with fairly mediocre drugs, and I
9 think this is a fairly classic case of that.

10 DR. SCHILSKY: Other comments?

11 Have we clarified where we stand for you, Dr.
12 Temple?

13 DR. TEMPLE: Yes, that was very helpful.

14 DR. SCHILSKY: Mr. McDonough?

15 MR. McDONOUGH: As one sitting here hoping not
16 to become Stage 4, especially after this discussion, I
17 almost have to wonder why we're not voting to outlaw
18 dacarbazine. Because faced with the possibility of this
19 coming back and I'm told I'm going to get dacarbazine, I'm
20 not going to have a hell of a lot of faith in it.

21 DR. SCHILSKY: Any comments on how we outlaw
22 drugs?

23 (Laughter.)

24 MR. McDONOUGH: The second and last point is
25 this. I don't see how you can leave one kid on the block

1 that has a bad reputation and not let the other one on the
2 block, too. I mean, if you're going to purge, then you
3 ought to purge.

4 DR. TEMPLE: Well, that's obviously an
5 interesting and provocative question. I believe many of
6 the older therapies that have been approved would have
7 difficulty supporting their effectiveness in modern terms,
8 and an important question is which ones to go back and try
9 to extirpate, and I'd be interested in what people think.

10 To some extent we live with the past and figure
11 that drugs that don't work very well dwindle. If there's
12 something really bad about them, we do try to remove them
13 from the market if it's very clear. If it's not clear,
14 it's a difficult chore, and we don't usually undertake it.
15 But we're prepared to listen to advice on that subject.

16 DR. SCHILSKY: Of course, everything is
17 relevant, and it's likely that drugs that we've approved in
18 the last year, 20 years from now, we would hope, will be
19 viewed as being largely ineffective therapies.

20 DR. TEMPLE: Well, oncology is a little
21 different from some other disciplines. In a lot of
22 situations where there are standard, well-controlled trials
23 with standard, easy-to-measure endpoints, you can be fairly
24 sure that what you've got is what you've got. It's very
25 unusual for you to go back and decide that a drug just

1 doesn't have any effect. And even in this case, you
2 haven't decided that the drug's inactive, you've decided
3 that the endpoints that were used in the past aren't really
4 valuable, which is slightly different from concluding it
5 has no effectiveness at all. But still it's an interesting
6 question.

7 DR. SANTANA: I'd like to comment on the PK,
8 because I think you asked for that advice, and we haven't
9 commented on it.

10 I think it's very intriguing that when you give
11 this agent orally, you get a greater systemic exposure.
12 But what I think we didn't see was, how does that systemic
13 exposure translate to something either related to the tumor
14 or to the toxicity? So clearly the issue is that maybe
15 this drug, yes, the systemic exposure is important, but you
16 have to use it in the setting in a tumor in which the drug
17 is truly active that you can say there will be some
18 patients that don't respond because they're not getting the
19 appropriate systemic exposure, but if I use it this way,
20 I'm increasing systemic exposure, it's likely that other
21 patients will respond. But we didn't see that. I think
22 it's just not there.

23 So the observation is there that systemic
24 exposure is higher when you give it orally, but what does
25 that mean? I don't know. There's no data to support

1 beyond that observation.

2 DR. SCHILSKY: Except that I think we could
3 probably say that within the limits of this study there
4 doesn't seem to be a concentration/effect relationship,
5 because the patients on temozolomide got twice the drug
6 exposure and didn't seem to have any greater benefit.

7 DR. SANTANA: Well, be careful, because that
8 was the point I made earlier. That was only 14 patients or
9 15 patients in each of the arms, so you're now trying to
10 generalize those observations for the group at large, and
11 there was a lot of variability, interpatient variability,
12 in those numbers. There may be a lot of overlap, so you've
13 got to be careful.

14 DR. SCHILSKY: Good point.

15 Derek?

16 DR. RAGHAVAN: I think the other point that
17 comes out from the point Mr. McDonough made is, we should
18 remind ourselves that we shouldn't be doing harm. We have
19 at least one cooperative group prominently represented at
20 this table -- all the groups, but one chairman of a group
21 at the table -- and I guess it serves to remind us that the
22 issue of placebo control in this disease becomes very
23 important, and the fact of the matter is that private
24 practitioners who are practicing oncology don't have an
25 ethical responsibility to give DTIC, and it should remind

1 us that patients with metastatic melanoma should be entered
2 into clinical trials.

3 That's to remind the private practice sector
4 and the academic sector who are in practice and remind the
5 academic sector that we should be designing trials maybe
6 without DTIC as the control arm, because today I think
7 we've come to the very conclusion, with a lot of work from
8 Dr. Cohen, that we don't really know the state of the art
9 here, and maybe we need to go back and get some very simple
10 placebo-controlled trials that ask the question about DTIC,
11 notwithstanding the data we've heard today.

12 DR. SCHILSKY: Dr. Temple?

13 DR. TEMPLE: I guess I would offer another
14 observation and see what you think about it. This
15 situation reminds me again that in the past we've urged
16 companies to identify tumor-related symptoms, and, of
17 course, to do that you'd have to take people who were at a
18 stage where they were having them and in a fairly rigorous
19 way try to show whether you can relieve them. It's still
20 the kind of observation we hardly ever see done
21 systematically. It's been proposed a number of times.

22 For what it's worth, in situations where the
23 response rate is only modest, that may be the easiest way
24 to make a persuasive case that you're doing good, if you
25 can show a reasonable percentage of those, and it still

1 surprises me that that's so rarely attempted. Instead we
2 see scales that evaluate social function and all that kind
3 of stuff. Those are very insensitive to improvement.
4 There's almost no chance of winning on those, and yet we
5 see them over and over again, whereas something focused on
6 the disease itself, perhaps even the particular disease
7 from a particular patient rated on some kind of scale of 1
8 to 10, seems an enormously more promising way to go, and we
9 still never see it, and I just wondered if you had any
10 views on why.

11 DR. DAVID JOHNSON: Actually, I'm glad you said
12 that, because, again, we've had this discussion here
13 before, and it goes back to a point that Richard made
14 earlier, and that is, if this were a Phase II presentation
15 of temozolomide with a -- let's just give it an arbitrary
16 15 percent response rate, but something that Hilary's
17 country does much better than our own, they use the symptom
18 assessment scales and tick off the symptoms related to the
19 patient's disease -- it's sometimes easier in some diseases
20 like lung than it would be perhaps in melanoma, but
21 certainly in that setting symptom improvement often vastly
22 exceeds objective response results.

23 Lung cancer is a good example. In metastatic
24 disease, where one sees only about a 30 percent response
25 rate to the common chemotherapy regimens, up to 70 percent

1 of patients show symptom improvement. Now, one can argue
2 about is that a placebo effect. It's hard to imagine if
3 you're getting cisplatin that that's a placebo effect, but
4 it might be.

5 But those are the kinds of data that in my mind
6 -- that's what I was saying earlier. If we had seen these
7 data just exactly as they are, but in addition to that I
8 had seen an analysis of tumor-related symptoms that showed
9 improvement from the patient's perspective, I could have
10 felt -- then I would have exactly felt the way Jim has
11 expressed himself, and that is, as a clinician I would
12 prefer to give an oral drug to the intravenous drug, and it
13 would have changed my perspective completely on the
14 presentation of this product.

15 DR. TEMPLE: It's remarkable how infrequently
16 we see them. Sometimes. I mean, the photophrin kinds of
17 assessments are really those.

18 DR. DAVID JOHNSON: Right. That was done post
19 hoc to some extent, so it was a little bit contrived. But
20 I think the issue of having the patient tick off -- if you
21 can do a quality of life assessment, if one could do a
22 symptom assessment, it seems to me to be worthwhile. I
23 think it's worth FDA exploring the right tools for
24 recommending to sponsors what they might employ in that
25 situation.

1 DR. SCHILSKY: Okay. Any other discussion?

2 DR. KROOK: As long as we're -- and perhaps I'm
3 going to Dr. Temple here. What is the feeling of the FDA
4 toward DTIC?

5 DR. TEMPLE: I think we're going to consider
6 your remark.

7 DR. KROOK: Okay.

8 DR. JUSTICE: Can I just bring up the use in
9 Hodgkin's disease? Does anybody want to comment on that?

10 DR. DAVID JOHNSON: Yes. It's used.

11 DR. SCHILSKY: And when there was an explosion
12 in the Japanese factory that provides it, it's not clear
13 that anyone was disadvantaged by that event.

14 DR. JUSTICE: But, I mean, you might hear from
15 your colleagues if you recommend that we remove it from the
16 market.

17 DR. DAVID JOHNSON: No, no, no. We didn't say
18 take it off the market. Our Hodgkin's patients wouldn't
19 like it.

20 DR. JUSTICE: Well, I'm not sure that it's
21 labeled for Hodgkin's disease.

22 DR. DAVID JOHNSON: Then it's too bad.

23 (Laughter.)

24 DR. TEMPLE: Well, we hear you, and I think
25 we'll consider what we're prepared to undertake and perhaps

1 get back to you. Perhaps in closed session.

2 DR. SCHILSKY: Okay. Now, are we prepared to
3 vote on the third question, then? We've had excellent
4 discussion. So does the committee recommend approval of
5 temozolomide for treatment of advanced metastatic malignant
6 melanoma?

7 All who would vote yes, raise your hands.

8 (Show of hands.)

9 DR. SCHILSKY: One yes.

10 All who would vote no?

11 (Show of hands.)

12 DR. SCHILSKY: Ten no.

13 Any abstentions?

14 (No response.)

15 DR. SCHILSKY: Okay. I guess we are done.

16 Thank you very much.

17 DR. TEMPLETON-SOMERS: We'll be having a closed
18 session this afternoon, so if we can ask the committee and
19 the FDA to return by 12:45, we'll get an earlier start on
20 that.

21 (Whereupon, at 11:38 a.m., the open session was
22 adjourned.)

23

24

25