

1 the curves began, which is at 12 months after the start of  
2 study, approximately 9 months after the patients  
3 discontinued from study drugs, calls into question the  
4 potential impact of post-study therapy. Post-study therapy  
5 was not controlled by the protocol. The information was  
6 not prospectively collected, and the data was not  
7 completely available for all patients.

8 It is also important to note that the survival  
9 data in this study is not consistent with the efficacy  
10 parameters in the entire clinical program. All the other  
11 efficacy parameters, including survival, in the other two  
12 randomized studies were very comparable between the two  
13 treatment groups.

14 In summary, the results from this single-agent  
15 trial show that D-99 met the criteria for non-inferiority  
16 for the primary endpoint of response rates, as well as for  
17 duration of response and time to treatment failure.

18 Next I will present an analysis of the  
19 subgroups who were at high risk of cardiac toxicity, as  
20 defined by Dr. Alexander. Dr. Alexander had presented the  
21 meta-analyses for study 1 and 2 for this subgroup for the  
22 reduction in cardiotoxicity. For completeness, I'm now  
23 presenting for you the efficacy results for this group.

24 D-99 delivers very comparable antitumor  
25 efficacy for this group of patients who are at high risk of

1 cardiac toxicity. The hazards ratios are either very close  
2 to 1 or greater than 1, favoring D-99.

3 Now let's move on to study 3, the confirmatory  
4 trial for antitumor efficacy in combination regimen. Study  
5 3 compares D-99 plus cyclophosphamide to equal doses of  
6 epirubicin plus cyclophosphamide. A question has been  
7 raised by the FDA regarding the dose of epirubicin, so I  
8 will take a few minutes to address this issue.

9 Epirubicin is a widely accepted anthracycline  
10 in Europe and in Canada for the treatment of advanced and  
11 metastatic breast cancer. In a combination regimen,  
12 epirubicin was approved at doses ranging from 30 to 75  
13 milligrams per meter squared. Therefore, the dose of 75  
14 milligrams per meter squared in combination with  
15 cyclophosphamide that is used in study 3 is actually at the  
16 high end of the approved dosing in Europe where this study  
17 was conducted.

18 On an equal milligram basis, epirubicin and  
19 doxorubicin were shown to be equivalent in antitumor  
20 efficacy. Randomized controlled trials were conducted  
21 using combination therapies including epirubicin and  
22 doxorubicin at equal doses.

23 The Italian trial was the largest of these  
24 studies, and as you can see there was no difference in  
25 response rates and no difference in survival at equal doses

1 of epirubicin and doxorubicin.

2           Similar findings were observed in the other  
3 three independent studies. There was no difference in  
4 response rates and no difference in survival.

5           Similarly, there was some single-agent studies  
6 that were conducted at equal doses of epirubicin to  
7 doxorubicin, and the findings were similar to the ones  
8 presented here. At equal doses, there were no differences  
9 in response rates or median survival.

10           The Ontario Cancer Care Guidelines recently  
11 published their assessments on the issue of dose  
12 comparability in patients with advanced breast cancer. A  
13 thorough literature review was conducted and a meta-  
14 analysis of six trials was performed totaling 983 patients  
15 being included. The results show that the hazards ratio  
16 for the efficacy parameters was very, very close to 1 and  
17 the Ontario group concluded that epirubicin and doxorubicin  
18 are equally efficacious in advanced or metastatic breast  
19 cancer.

20           Epirubicin was reviewed at the last June ODAC  
21 meeting and the application for the metastatic breast  
22 cancer was rejected and was not recommended for approval by  
23 ODAC. It is important to note that none of those studies  
24 submitted in that NDA compared epirubicin to doxorubicin.  
25 However, this issue of comparability of epirubicin to

1 doxorubicin was discussed by the FDA. Upon reviewing a  
2 meta-analysis that was submitted to the sponsor, the FDA  
3 concluded that the two treatment groups are comparable in  
4 the first-line therapy of metastatic breast cancer.

5           There were a number of studies conducted  
6 comparing escalating doses of epirubicin. Three studies  
7 compared doses of epirubicin at 50 to 100 milligrams. This  
8 study here was actually the study that was reviewed at the  
9 June ODAC meeting. While the results show that 100  
10 milligrams per meter squared of epirubicin produced high  
11 response rates, there were no differences in survival with  
12 the higher doses.

13           This study here evaluated escalating doses of  
14 single agent epirubicin. The results show that there was a  
15 dose response up to 90 milligrams of epirubicin as a single  
16 agent, but there was no additional benefit beyond 90  
17 milligrams per meter squared.

18           There was one study that was presented at this  
19 year's ASCO that compared 75 to 100 milligrams of  
20 epirubicin which showed that there was no additional  
21 benefit with 100 milligrams per meter squared.

22           Furthermore, there were also no studies  
23 comparing equal doses of epirubicin to doxorubicin where  
24 doxorubicin was shown to be superior.

25           Therefore, we are confident that the 75

1 milligrams per meter squared of epirubicin is an  
2 appropriate dose for comparison in study 3.

3 As mentioned earlier, the trial was terminated  
4 at 160 patients due to resource and administrative  
5 considerations. The responsibility for the clinical  
6 development program for D-99 was under the Pfizer  
7 Corporation until July of 1997. When The Liposome Company  
8 assumed the responsibility for TLC D-99 in 1997, this study  
9 was stopped due to resource considerations.

10 At the time of termination, there was  
11 absolutely no knowledge of the study results. The early  
12 termination reduced the sample size and resulted in a  
13 larger variance of the estimates, which actually makes it  
14 more difficult to meet the predefined criteria. As you  
15 will see later on, despite the reduced sample size, D-99  
16 fulfilled the predefined criteria of non-inferiority in  
17 this study.

18 Now let's move on to the results from this  
19 trial. The endpoints in this study were identical to that  
20 in study 1, and the objective response and disease  
21 progression were assessed on a treatment-blinded basis by  
22 Dr. Noza Azarnia.

23 Patient characteristics were well balanced  
24 between the two treatment groups and objective response  
25 rate was 46 percent versus 39 percent, fulfilling the

1 criteria of ruling out the 15 percent delta as well as the  
2 more stringent criteria of ruling out a 10 percent delta.

3 The median duration of response was 10 months  
4 for the D-99 treated group versus 7.8 months for the  
5 epirubicin group. This difference was statistically  
6 significant at a p value of 0.03.

7 Time to progression was 7.7 months for the D-99  
8 patients versus 6.3 months for epirubicin. The hazards  
9 ratio was 1.45 and the lower bound for the 95 percent limit  
10 was 1.03.

11 There was a statistically significantly longer  
12 time to treatment failure for the D-99 treated patients.  
13 The median was 6.8 months versus 4.4 months. The p value  
14 was 0.03, and the hazards ratio was 1.50.

15 There was no difference between the two  
16 treatment groups in overall survival. The median survival  
17 for D-99 was 18 months versus 16 months for the epirubicin  
18 treated patients. The hazards ratio was 1.15 and the lower  
19 bound for the 95 percent limit was .82.

20 Results from this study show that D-99 plus  
21 cyclophosphamide meets the criteria for non-inferiority  
22 compared to epirubicin plus cyclophosphamide at equal  
23 doses. It is important to note, once again, that the  
24 hazards ratios were all greater than 1, favoring D-99, and  
25 there is a statistically significant difference in duration

1 of response and in time to treatment failure favoring D-99.

2 In conclusion, the TLC D-99 clinical program  
3 provided two independent, well-controlled studies  
4 evaluating the antitumor efficacy of D-99 in combination  
5 with cyclophosphamide. Both studies fulfilled the criteria  
6 for non-inferiority for all of the efficacy endpoints. It  
7 is also important to note that the results from the D-99  
8 plus cyclophosphamide arm in study 3 were very similar to  
9 that observed in the D-99 plus cyclophosphamide arm in  
10 study 1, hence providing an independent replication of the  
11 results for the antitumor efficacy of D-99 in combination  
12 with cyclophosphamide from the pivotal trial.

13 Dr. Jerry Batist, principal investigator for  
14 the pivotal study 1 and single-agent study 2, will now  
15 discuss the safety profile.

16 DR. BATIST: Thank you very much, Dr. Lee.  
17 Good afternoon, colleagues.

18 I've been an investigator with D-99 studies for  
19 over 10 years, so I have a large clinical experience with  
20 this novel formulation of doxorubicin, and I am pleased to  
21 be able to present the safety data to you.

22 For the most part, I'm going to be talking  
23 about one part of the database which is 323 patients, all  
24 of whom were in comparative phase III studies, in order to  
25 give you the context of a comparative trial with which to

1 compare the toxicities of D-99 as in study 1 to dogs and  
2 study 3 to epirubicin.

3 For some less frequently observed toxicities,  
4 I'm going to look at a larger number of patients which, as  
5 to these patients, patients who were treated on phase I and  
6 phase II studies, and that larger group all were treated at  
7 a starting dose of less than 100 milligrams per meter  
8 squared every 3 weeks. It's 542 patients.

9 Naturally in the development program of D-99,  
10 even larger, more intense doses were explored. Among those  
11 patients, there were no new toxicities or any different  
12 toxicities that you'll not see described in this discussion  
13 today. It's just that they were more frequent and more  
14 intense. Hence, the decision to focus our development on  
15 the 60 to 75 milligrams per meter squared range.

16 Now, you've heard from Dr. Alexander that D-99  
17 results in significantly less cardiotoxicity than does  
18 doxorubicin, and the next few slides will summarize what  
19 I'm going to show you in terms of safety data this  
20 afternoon.

21 I'm going to show you that there's no increase  
22 in severity or incidence of doxorubicin toxicities and no  
23 unexpected toxicities; furthermore, that D-99 is associated  
24 with less mucositis and diarrhea than is doxorubicin; and  
25 also that D-99 patients presented with no grade 3 palmar-



1 | plantar erythrodysesthesia, or hand-foot syndrome as we  
2 | call it, which would result in dose modifications or  
3 | reduction in the dose. In fact, among the very few cases  
4 | of very low grade hand-foot syndrome, the incidence was 0.3  
5 | percent.

6 |           Then I'm going to describe the D-99 versus  
7 | epirubicin study 3 toxicity. I want to put this in context  
8 | a little bit. You've heard from Dr. Lee the published  
9 | results of a number of studies in which epirubicin and  
10 | doxorubicin at equivalent doses were compared. You've also  
11 | heard that the overall response rates and the survival at  
12 | equivalent doses were the same. There was no significant  
13 | difference.

14 |           In the Pritchard analysis of all of these  
15 | studies, what was also true was that in the doxorubicin  
16 | group, there was more significant toxicity, more  
17 | significant neutropenia, mucositis, fever, nausea and  
18 | vomiting, and also importantly, more episodes of cardiac  
19 | toxicity, both congestive heart failure and other indices  
20 | of cardiac damage.

21 |           What you've heard today is that unlike  
22 | doxorubicin at equivalent doses compared to epirubicin D-99  
23 | has a similar cardiac-sparing effect to that of epirubicin.  
24 | On the other hand, like doxorubicin in all of those  
25 | studies, it is more myelosuppressive and does result in

1 more mucositis.

2 This is the hematologic toxicities observed in  
3 study 1 and study 2 where D-99 is compared to doxorubicin,  
4 and they're generally very similar with the notable  
5 exception of grade 4 neutropenia, which is more frequent in  
6 the doxorubicin treated patients than in those who receive  
7 D-99. This is associated with a smaller number of patients  
8 who had this degree of myelosuppression for greater than 7  
9 days. In study 2, looking at all grades of infection,  
10 there were significantly fewer in the D-99 group compared  
11 to the dox group.

12 Nonhematologic toxicities are shown here. The  
13 toxicities that are indicative of mucosal damage -- that  
14 is, stomatitis, mucositis, diarrhea -- in all of the cases,  
15 the numbers favor D-99 with less toxicity compared to  
16 doxorubicin. They reach statistical significance for  
17 stomatitis and mucositis in study 1 and study 2 and for  
18 diarrhea in study 2.

19 The treatment related deaths are shown here. 1  
20 patient in study 1 died of sepsis in the D-99 group that  
21 was treatment related, and 1 patient in study 2 died of  
22 congestive heart failure in the dox group that was  
23 treatment related. There were no treatment related deaths  
24 in study 3.

25 The hematologic toxicities for study 3 are

1 shown here. As mentioned there is less myelotoxicity with  
2 epirubicin. There's a statistically significantly lower  
3 incidence of grade 4 neutropenia with epirubicin compared  
4 to D-99. There was not a statistical difference in the  
5 duration of the grade 4 neutropenia between the two groups  
6 and this did not result in any septic deaths.

7 Nonhematologic toxicities are shown here.  
8 They're generally the same with the exception of stomatitis  
9 and mucositis being less frequent with epirubicin compared  
10 to D-99.

11 Then finally I want to refer to that larger  
12 number of patients because I want to describe skin  
13 toxicities which are infrequent, though clinically very  
14 difficult for patients treated with doxorubicin. These are  
15 the patients, all of whom started at less than 100  
16 milligrams per meter squared on a 3 weekly basis, 542  
17 patients. In that number of patients, we saw only 3 cases  
18 of hand-foot syndrome, no grade 3 cases, which is the grade  
19 at which we would have to reduce the dose of the drug, 1  
20 grade 2 and 2 grade 1. There was only 1 case of grade 1  
21 radiation recall in the skin, and as it happened there were  
22 7 patients who had accidental extravasation of D-99. In  
23 none of these cases was there necrosis or ulceration of the  
24 skin.

25 So, in summary, I've shown you that there was

1 significantly less cardiac toxicity of D-99 compared to  
2 doxorubicin. There is less mucositis and diarrhea compared  
3 to doxorubicin. Hand-foot syndrome is extremely rare, and  
4 in the few cases where it occurred, it was not severe  
5 enough to require dose modification. Where we saw  
6 extravasation, we saw no evidence of necrosis.

7 Now, I want to just take a second to respond to  
8 Mr. Cohen's concerns about safety that came up at the very  
9 beginning. I think we've made the case and our contention  
10 is that the dose of D-99 would be equivalent to doxorubicin  
11 in the 60 to 75 milligrams per meter squared range, so that  
12 there would be no requirement for a change in the practice  
13 of physicians, in contradistinction to what happens with  
14 Doxil. Therefore, there wouldn't be a safety issue in that  
15 regard. Where there might be a safety issue is in  
16 educating our colleagues that this is not Doxil.

17 Finally, as a practicing clinician who treats  
18 many women with breast cancer, I want to express my  
19 personal enthusiasm at the prospect of having this novel  
20 drug available. This provides dramatically reduced cardiac  
21 toxicity without bringing along with it any added new  
22 toxicities.

23 Thank you. Dr. Lee will provide a conclusion.

24 DR. LEE: Doxorubicin is one of the important  
25 agents for the treatment of breast cancer. All women

1 receiving doxorubicin as part of their treatment for breast  
2 cancer are at risk of the side effect of cardiotoxicity  
3 from doxorubicin treatment. Despite decades of efforts to  
4 reduce the cardiotoxicity of doxorubicin, there remains a  
5 continued and increasing need for a less cardiotoxic  
6 doxorubicin which could deliver a comparable level of  
7 antitumor efficacy.

8 TLC D-99 fulfills the objective of improving  
9 upon the therapeutic index of doxorubicin. On an equal  
10 milligram basis, D-99 significantly reduces cardiotoxicity  
11 while delivering antitumor efficacy that's comparable to  
12 doxorubicin. Both of these endpoints were demonstrated in  
13 the pivotal study 1 and these results were reproducible and  
14 independently confirmed in two separate studies.

15 Reduction of cardiotoxicity compared to  
16 doxorubicin is highly significant in both studies comparing  
17 D-99 to doxorubicin. D-99 reduces cardiotoxicity by 75 to  
18 80 percent. The estimated dose at which a 5 percent risk  
19 of developing congestive heart failure was 780 milligrams  
20 per meter squared with D-99. Based on our own database, as  
21 well from the literature, the 5 percent risk of congestive  
22 heart failure for doxorubicin was 400 to 450 milligrams.  
23 So, compared to doxorubicin, this difference represents an  
24 additional 4 to 5 cycles of treatment with D-99 before  
25 reaching the same level of risk of CHF.

1                    Preservation of antitumor efficacy is  
2 demonstrated in both studies using combination regimens  
3 with cyclophosphamide, the indication that we are seeking  
4 today. In both studies 1 and 3, hazards ratios are all  
5 greater than 1 in favor of D-99, and all the parameters met  
6 the criterion for demonstrating non-inferiority.

7                    D-99 is associated with other safety  
8 advantages. Compared to doxorubicin, D-99 had  
9 significantly less mucositis and diarrhea. The D-99  
10 formulation of liposomal doxorubicin is not associated with  
11 increased hand-foot syndrome. There were no reports of  
12 grade 3 or 4 hand-foot syndrome in the entire clinical  
13 program. TLC D-99 is also not associated with severe  
14 necrosis or ulceration upon accidental extravasation.

15                    TLC D-99 provides clinical benefits and offers  
16 an important therapeutic option for breast cancer patients.  
17 Compared to doxorubicin, D-99 is a safer formulation while  
18 delivering comparable efficacy. The demonstrated patient  
19 benefits support the approval of D-99 for the first-line  
20 treatment of metastatic breast cancer in combination with  
21 cyclophosphamide.

22                    Thank you very much for your attention, and we  
23 are ready to take your questions.

24                    DR. SCHILSKY: Thank you very much.

25                    Are there questions from the committee? Dr.

1 Nerenstone?

2 DR. NERENSTONE: Correct me if I'm wrong, but I  
3 think the way you defined time to treatment failure was a  
4 mixture of patients who progressed as well as those who had  
5 to drop out due to toxicity. One of the ways people could  
6 stop on the doxorubicin arm was if they reached a value of  
7 doxorubicin where the investigator thought that they might  
8 have some cardiac toxicity at a certain dose of  
9 doxorubicin, even in fact if they had no signs of cardiac  
10 toxicity. It was a nonobjective level, but it was at the  
11 investigator's discretion.

12 Can you tell me how many patients were actually  
13 stopped because of the cumulative dose of doxorubicin they  
14 had received and if any of those patients were actually  
15 responding at the time they were stopped?

16 DR. LEE: Dr. Nerenstone, can I clarify?  
17 You're asking the endpoint for time to progression or time  
18 to treatment failure?

19 DR. NERENSTONE: I believe it was your time to  
20 treatment failure.

21 DR. LEE: All right. May I have the slide?

22 This is for study 1. 5 percent of the patients  
23 versus 10 percent of the patients were stopped due to an  
24 ejection fraction drop, a documented cardiotoxicity  
25 endpoint. An additional 1 percent versus 5 percent of the

1 patients were discontinued from treatment because the  
2 investigator or the patient was concerned that there may be  
3 additional risk of cardiotoxicity and hence the patient was  
4 stopped from treatment.

5 DR. NERENSTONE: Do we know how many of those  
6 patients were actually responding when they were stopped?  
7 Or by virtue of the fact that they were stopped, they had  
8 to be responding at that point. Is that correct?

9 DR. LEE: By virtue of the fact that they were  
10 stopped due to a toxicity endpoint, they have not  
11 progressed. They could be either responding patients or  
12 stable patients.

13 DR. NERENSTONE: And I have one other question.  
14 Dexrazoxane was allowed on study, and there's no discussion  
15 of how many patients were on it and anything about their  
16 response rates as opposed to those patients who were not on  
17 it. Can you give us any more details about that?

18 DR. LEE: None of the patients actually was  
19 treated with dexrazoxane in this study because the protocol  
20 allowed patients to be treated with the amendment at the  
21 higher doses.

22 DR. SCHILSKY: Dr. Margolin?

23 DR. MARGOLIN: I'm going to sort of pursue the  
24 same pathway and hopefully break my set of questions down  
25 into not too many.



1                   The first one I guess is the most  
2 straightforward one and maybe directed at one of the  
3 statisticians, which is, even though what appeared to be  
4 your posteriori look-back at the distribution of your  
5 identified cardiac factors looked like they were extremely  
6 well balanced between groups, at least as I recall in study  
7 1, I'm not certain from a statistical point of view whether  
8 that's as good as prestratification which was apparently  
9 not done for preexisting cardiac risk factors. That's  
10 question 1.

11                   DR. LEE: The only stratification factor in the  
12 study was prior use of adjuvant doxorubicin. That is the  
13 only stratification factor. There were no other  
14 stratification factors for other cardiac risk factors.

15                   DR. MARGOLIN: I know that. That's why I asked  
16 the question.

17                   DR. LEE: Could you please clarify?

18                   DR. MARGOLIN: Maybe Dr. Lamborn could answer  
19 the question. You understand my question. Right?

20                   DR. LAMBORN: As I understand, you're referring  
21 to slide 53, study 1, pivotal, cardiac risk factors where  
22 they list the balance of the risk factors.

23                   DR. MARGOLIN: Exactly.

24                   DR. LAMBORN: And the question was would there  
25 have been any additional benefit to doing baseline

1 stratification, and my sense is, as long as you ultimately  
2 have balance, which is what you hope for, that that's okay.

3 DR. LEE: Thank you very much.

4 DR. MARGOLIN: Thank you.

5 Then I guess the only other related question  
6 I'll ask is probably more rhetorical, but I'm also bothered  
7 by -- I guess you had probably some very good consulting  
8 cardiologists and people who specialize in this area, but  
9 it was somewhat bothersome that on slides 54 and 55, which  
10 I think are the same slide, you had quite a few patients  
11 grouped in the 400 to 499 milligrams per meter squared  
12 cumulative dose of dox, and even 7 patients 500 to 599, and  
13 2 600 to 699. Some of us would probably stop sooner than  
14 that or would have added the dexrazoxane even without  
15 changes in the MUGA determined LVEF because it gets a  
16 little scary up there, and we know those tests are not  
17 perfect.

18 DR. LEE: I'd like Dr. Winer to address that  
19 please.

20 DR. WINER: Can you just clarify your question  
21 a little bit? Are you asking about whether those patients  
22 should have continued to be treated or --

23 DR. MARGOLIN: I guess the comfort level of  
24 allowing treatment to such high doses just because there  
25 wasn't a change in the LVEF or because the protocol said

1 | you didn't you have to or because the dexrazoxane was  
2 | considered p.r.n.

3 |           DR. WINER: Well, it's a tough issue. In the  
4 | course of the study, every attempt was made I believe to  
5 | try to make sure that patients would be treated as safely  
6 | as possible in the sense that MUGAs were done every cycle  
7 | after 500. They were shipped off to Yale. There was no  
8 | treatment decision until the individual institutions and  
9 | Yale had both read them. It's still a concern.

10 |           I think that in every situation, it's a matter  
11 | of weighing the risks and benefits of continued treatment.  
12 | I can tell you personally, having taken care of many of  
13 | these patients, with each and every patient we debated  
14 | whether it made sense to go on. There were patients who  
15 | pushed to go on where I said, no, I really want to stop.  
16 | Undoubtedly there were patients who came off the trial. I  
17 | know for sure there were because I took some off because of  
18 | cumulative dose. I think it's worth mentioning that those  
19 | were patients who were not considered treatment failures.  
20 | They were censored in that analysis because, in fact, it  
21 | wasn't a treatment failure. They came off.

22 |           DR. SCHILSKY: Dr. Kelsen?

23 |           DR. KELSEN: Can I ask a follow-up to that?  
24 | It's a question for your position and then a question about  
25 | the survival curves.

1                   What is your position on the addition of  
2                   Zinecard to this agent should it reach approval? Are you  
3                   going to recommend that it be used at a certain dose, or do  
4                   you feel so strongly that cardiotoxicity is so unlikely  
5                   that you believe there is no need for a cardioprotective  
6                   agent?

7                   DR. LEE: I would like to call upon Dr. Speyer  
8                   who has a lot of experience with Zinecard to address this.

9                   DR. SPEYER: Zinecard is a very interesting  
10                  drug.

11                  DR. SCHILSKY: For the record, could you  
12                  identify yourself and state your affiliation?

13                  DR. SPEYER: I'm Dr. James Speyer.

14                  Zinecard is a very interesting drug. As you  
15                  know, Zinecard is only approved for use after cumulative  
16                  dose of doxorubicin at 300 milligrams per meter squared.  
17                  It is an additional drug that has to be added, and  
18                  therefore there is possible additional myelosuppression.

19                  The possibility of interference with the  
20                  antitumor efficacy was debated at this committee a number  
21                  of years ago. It certainly led to the recommendation for  
22                  waiting until patients had gotten 300 milligrams per meter  
23                  squared. It also has led in the community to some  
24                  reluctance to use it.

25                  This trial simply didn't test that. What D-99

1 provides is an opportunity to use an anthracycline from the  
2 start with significantly less cardiotoxicity with  
3 doxorubicin.

4 DR. KELSEN: I do appreciate the answer but if  
5 I could just ask this again. Is your position that the  
6 cardiotoxicity risk is so small -- I believe I heard 1.5  
7 percent on one of those slides -- that you believe another  
8 advantage of this is that you do not need, at any dose, to  
9 use a cardioprotective?

10 DR. SPEYER: My own personal view is that  
11 that's the case, and the only way I would think about doing  
12 that is if I were really seeing benefit and I were seeing a  
13 fall in ejection fraction and we weighed the risks versus  
14 benefits in that particular case.

15 DR. KELSEN: Then a second question. We're  
16 going to hear from the review board I believe a significant  
17 concern about survival in study 2, and I just want to make  
18 sure I understood the point that you were making. If I  
19 understood what you were saying correctly, the curves  
20 overlap during the period of time that patients in study 2  
21 were receiving the study compound or the conventional  
22 control arm. The curves diverge in favor of the  
23 conventional arm after the median was well past, but you  
24 don't have data to address the issue as to whether salvage  
25 was different between the two arms. Is that correct?

1 DR. LEE: That is correct. One more point of  
2 clarification. Actually the curves overlap for the first  
3 12 months. In that study, actually the median duration of  
4 treatment was 3 months. So, actually the curves overlap  
5 for an additional 9 months after the end of treatment for a  
6 majority of the patients.

7 DR. SCHILSKY: Dr. Raghavan?

8 DR. RAGHAVAN: A follow-up question to Dr.  
9 Kelsen's because I guess I missed the point of the answer.  
10 This is study 2, you slide 86. So, the curves are very  
11 nicely together for the first 12 months, but then at 18  
12 months, there's a 15 percent difference in survival. At 24  
13 months, there's a 15 percent difference in survival. The  
14 curves come back together again and then they diverge,  
15 although by then the power of the study is weak because  
16 there are 3 and 8 cases, although you could ask the  
17 question does that mean that there just weren't enough  
18 patients alive of the ones who were further out in the D-99  
19 arm.

20 So, I always get very uneasy if I see a  
21 survival curve that starts to drop away. You've kind of  
22 dismissed it and I kind of want to bring you back to it.  
23 So, can you talk a little more about the one test of head-  
24 to-head efficacy of new drug versus old drug? Talk a bit  
25 more and let's forget about the first 12 months. Let's

1 | talk about longer-term survival. What's the deal there?

2 |           DR. LEE: First of all, given the totality of  
3 | the information, we really do not believe that this  
4 | divergence in the curve is a reflection of treatment  
5 | differences.

6 |           I'd like to call upon Dr. Eric Winer, who is  
7 | principal investigator for this study, to give some  
8 | clinical perspective.

9 |           DR. WINER: The data are the data, and in study  
10 | 2 there is a trend towards a lower survival in patients who  
11 | were treated on the D-99 arm. It's something that the  
12 | sponsor has been very concerned about. It's something that  
13 | I think all of the consultants have paid a great deal of  
14 | attention to. I certainly have. I was involved in that  
15 | study.

16 |           I think for a number of reasons I'm quite  
17 | convinced that this is not a result of the inferiority of  
18 | D-99, and I think there are a few issues to bring up.

19 |           One is that in that study the response rates  
20 | are absolutely identical in the two arms. There are few  
21 | treatments, unfortunately, that clearly have been  
22 | demonstrated to change survival in metastatic breast  
23 | cancer, and in almost all cases where that has occurred to  
24 | my knowledge, it does not occur in the absence of a  
25 | difference in response. So, it certainly doesn't go along

1 | with the other parameters in the study.

2 |           Second, in the two other studies that have been  
3 | presented, no difference in any efficacy parameter.

4 |           And third -- and I think this is the weakest of  
5 | the points but I think it's worth noting -- the survival  
6 | curves do stay together for those 12 months and separate  
7 | after that. At least in my mind, it at least raises the  
8 | question of the extent to which post-study treatment is  
9 | playing a role. Post-study treatment was not controlled  
10 | for at all, and even retrospectively going back and looking  
11 | at it, the sponsor really was not able to sort that out.

12 |           So, I think for all of those reasons, I don't  
13 | believe that the survival curves indicate that it's a less  
14 | efficacious drug. But it's an issue.

15 |           DR. SCHILSKY: Other questions? Dr.  
16 | Nerenstone?

17 |           DR. NERENSTONE: This is going to brought up I  
18 | know by the FDA reviewers, but for your third study you  
19 | make the argument that epirubicin is equal to doxorubicin  
20 | on a milligram-per-milligram basis. However, when you look  
21 | at the toxicity profile, in particular on your slides 117  
22 | and 118, clearly the D-99 is more toxic with ANC less than  
23 | 500, with a p value that's significant compared to the  
24 | epirubicin combination arm, likewise the stomatitis,  
25 | mucositis, and greater than grade 3 side effects. Overall,



1 | there's also nonsignificantly nausea and vomiting -- you  
2 | can argue that's pretty close -- but infection and  
3 | neutropenic infection, although those are not statistically  
4 | significant.

5 |           This is a reversal of the toxicity profile that  
6 | we saw in studies 1 and 2. How do you interpret those  
7 | dissimilar results if you assume that epirubicin is equal  
8 | to doxorubicin?

9 |           DR. LEE: I'd like to call upon Dr. Jerry  
10 | Batist to discuss this.

11 |           DR. BATIST: Thank you.

12 |           You've heard reference to one of two meta-  
13 | analyses that were performed to look at the question of  
14 | what is the right dose of epirubicin relative to  
15 | doxorubicin. The one that you heard most about was the one  
16 | that gathered a group of eminent medical oncologists who  
17 | practice principally in the treatment of breast cancer,  
18 | chaired by Kathy Pritchard. They were charged with  
19 | establishing practice guidelines for the use of epirubicin.  
20 | Their conclusion was that it was absolutely equivalent in  
21 | antitumor efficacy, and their recommendation, moreover, was  
22 | in this dose range, 60 to 75 milligrams per meter squared.

23 |           Now, you're absolutely correct that we saw  
24 | enhanced toxicity in some parameters. As I pointed out,  
25 | and was observed in that meta-analysis as well, at

1 equivalent doses of doxorubicin and epirubicin, that's  
2 exactly what is seen as well: equivalent overall response,  
3 equivalent overall survival, increased nausea/vomiting,  
4 increased neutropenia, increased mucositis, but also  
5 importantly increased cardiac toxicity. That was observed  
6 even in a number of very small series of patients. And  
7 that's where there's a dramatic distinction.

8 So, this is equivalent to doxorubicin we can  
9 say, as it performs on a milligram-per-milligram basis in  
10 comparison to epirubicin, enhancement in some of these  
11 toxicities, some, but not all, but it's very different from  
12 doxorubicin because it preserves the cardioprotection that  
13 we see with epirubicin.

14 DR. LEE: If I may, I would also like to call  
15 upon Dr. Bob Leonard who is one of our investigators from  
16 study 3 and has a lot of experience using epirubicin in  
17 Europe. Maybe he can shed some light on the equal dose  
18 issue.

19 DR. LEONARD: Yes. I'm Bob Leonard, medical  
20 oncologist in Edinburgh, Scotland.

21 As you're probably aware, we have an enormous  
22 experience of using epirubicin in Europe as a standard drug  
23 in the treatment of advanced breast cancer and increasingly  
24 recently used in the adjuvant setting as well.

25 Now, the data you've heard in the comparative

1 | analyses simply speaks to the experience that we've had  
2 | using the drug in combination where we regard it as an  
3 | equivalent drug to doxorubicin with probably a better  
4 | safety profile in terms of the clinical toxicity and  
5 | probably in terms of the cardiac toxicity as well. None of  
6 | the data we've heard in the studies today would contradict  
7 | that routine clinical experience that we have. It's very  
8 | hard to use doses of epirubicin above the equivalent of 25  
9 | milligrams per meter squared weekly even as a single agent  
10 | in advanced breast cancer because of clinical toxicities.  
11 | So, it's a standard dose of a standard drug used very  
12 | widely and in fact in Europe at a much higher level of  
13 | patient exposure than even doxorubicin is these days.

14 | I don't know if that answers all of your  
15 | questions, but I think it answers some of them.

16 | DR. SCHILSKY: Thank you.

17 | Dr. Johnson.

18 | DR. DAVID JOHNSON: I want to also come back to  
19 | Dr. Kelsen and Dr. Raghavan's point because, as I've  
20 | listened to the presentation and read through the material  
21 | provided by the sponsor, I'm reasonably persuaded that  
22 | there's less cardiac toxicity. I'm not persuaded that  
23 | there's equal efficacy to doxorubicin.

24 | What you've given us are three studies, none of  
25 | which was powered or designed to really look at survival

1 endpoints. They looked at response endpoints.

2 DR. LEE: That's true.

3 DR. DAVID JOHNSON: Actually you gave us data  
4 about epirubicin where you can change response but you  
5 didn't change survival. In your own data, you showed us  
6 that.

7 The third study you showed us was actually an  
8 aborted study. So, I'm having a hard time even figuring  
9 out how to use those data.

10 So, I'm equally concerned with this difference  
11 in survival, and I think it would be surprising to me,  
12 frankly, if you did not have information about second-line  
13 treatment or the subsequent treatment for these patients  
14 and at least some differences that might have emerged that  
15 could have explained or given some possible explanation for  
16 the difference in survival beyond the fact that this drug  
17 is less efficacious than doxorubicin.

18 So, for example, if you could show me that the  
19 doxorubicin arm all received a taxane or 50 percent of them  
20 did or so and no one on the D-99 arm did, I would be much  
21 more comfortable with your conclusions about the  
22 comparability of the efficacy of the two agents.

23 DR. LEE: Dr. Johnson, I'd like to respond to  
24 the issue about the lack of information on post-study  
25 therapy, and then I'd like to call upon Dr. Steve

1 | Piantadosi to talk about the validity and integrity of  
2 | study 3 being a terminated trial.

3 |           First of all, the post-study therapy  
4 | information was really incomplete for us to make any kind  
5 | of inference or conclusion on. We simply didn't have  
6 | information on 20 percent of the patients. Bear in mind  
7 | that this study actually started in 1992, quite a while  
8 | back, and we actually retrospectively tried, as hard as we  
9 | can, to collect complete information on post-study  
10 | treatment, but the information was simply not available for  
11 | 20 percent of the patients, and even in the remaining 80  
12 | percent of the patients, there were a lot of patients that  
13 | clearly had missing data. As you might imagine, patients  
14 | move around. They move to other sites. They may have died  
15 | some years ago, and it's very difficult to go back and  
16 | collect information to the extent that we can address this  
17 | post-study therapy issue.

18 |           Then I'd like Dr. Piantadosi to maybe address  
19 | the study 3 early termination.

20 |           DR. WILLIAMS: Could I address the point you  
21 | just mentioned before we go on?

22 |           From our review of your data, we saw that 69  
23 | percent in each arm, at least, had been documented to get a  
24 | taxane. Now, there may have been, in that other 31 percent  
25 | in each arm, others, but at least from the data you

1 submitted, 69 percent in each arm had a taxane.

2 DR. LEE: Yes, that is true, and actually we  
3 interpret that as sort of an indication of the lack of  
4 information also because during this period of time almost  
5 all metastatic breast cancer patients who had already been  
6 treated with a doxorubicin-based treatment would have  
7 received taxanes, and close to 30-40 percent of them,  
8 according to the records, didn't receive taxanes. So, we  
9 really do not believe that we have adequate information to  
10 draw any conclusions from this.

11 Dr. Piantadosi?

12 DR. PIANTADOSI: Thank you. I'm Steve  
13 Piantadosi from the Johns Hopkins Oncology Center, a  
14 consultant to the company. I'm sure the committee thought  
15 they had seen the last of me this morning, but I'm back.

16 (Laughter.)

17 DR. PIANTADOSI: I may be here tomorrow too,  
18 weather permitting.

19 I share the concern over study 3 and the  
20 illustration of an unfortunate consequence of having to do  
21 clinical trials in the real world, and that is resource  
22 limitations.

23 The essential question, as far as I'm  
24 concerned, is whether or not you believe that the study  
25 represents an unbiased estimate of the treatment effect.

1 The only way that the study could be biased is if a  
2 decision about termination were made with knowledge of the  
3 results, barring some other disaster with disclosed  
4 randomizations or something like that. But we're very  
5 confident in the methodology of the study. The only issue  
6 is whether or not it was terminated with knowledge of the  
7 results, and in fact that was not the case.

8 Now, as such, one could then imagine that the  
9 study had been designed with this particular sample size  
10 that is not an aborted study at all. Consider, for  
11 example, if the study had gone to twice its sample size  
12 rather than half its sample size. Would anybody then  
13 speculate, well, we should throw out half the data because  
14 the investigators didn't follow the original plan to  
15 conduct the study? And I think the answer is no. So, as  
16 long as you have confidence that the study is showing you  
17 an unbiased estimate of the treatment effect, it should be  
18 considered for the strength of evidence as it stands.

19 As such, it represents a higher hurdle for the  
20 treatment to overcome because the imprecision that results  
21 from the smaller sample size widens the confidence  
22 intervals on all of the outcome measures, primary and  
23 secondary, and as you saw from the results, even so, this  
24 study meets the prespecified hurdles.

25 DR. LEE: I just want to add and assure you

1 | that we had absolutely no knowledge of the study results  
2 | when we terminated the trial.

3 | DR. DAVID JOHNSON: I believe you.

4 | (Laughter.)

5 | DR. DAVID JOHNSON: I actually have another  
6 | question, and that has to do with your proposed indication.  
7 | Why are you specifically asking to do this in combination  
8 | with cyclophosphamide?

9 | DR. LEE: We have two independent randomized  
10 | trials conducted in combination regimens that show very  
11 | comparable and replicated, reproducible antitumor efficacy,  
12 | and on that basis, we are recommending the indication for  
13 | combination treatment.

14 | DR. DAVID JOHNSON: Yes, that's true, but you  
15 | could also make the argument that "comparability" then is  
16 | on the basis of the cyclophosphamide.

17 | DR. LEE: I'd like Dr. Eric Winer to address  
18 | this, please.

19 | DR. WINER: You could make that argument.  
20 | Cyclophosphamide was there. The question is in those  
21 | studies is cyclophosphamide masking any inferiority of D-99  
22 | versus doxorubicin. Obviously, there's no experiment that  
23 | can provide an answer to that. Cyclophosphamide is an  
24 | active drug. It's a less active drug, I think we all  
25 | believe, than doxorubicin. I personally think that it's



1 | unlikely that it would be masking an effect, and in other  
2 | trials that have included cyclophosphamide where other  
3 | agents have been compared, there have been differences seen  
4 | despite the presence of cyclophosphamide.

5 |           If I can just, for a second, come back to your  
6 | question about the survival issue because it's clearly a  
7 | very, very important issue, and I realize I already  
8 | addressed this, so bear with me for a second.

9 |           But in the absence of seeing a difference in  
10 | response in the two arms in study 2 or a difference in  
11 | response duration or a difference in time to progression,  
12 | it's very hard for me to attribute a difference in survival  
13 | that's occurring many months out to the two treatments in  
14 | question. I'm left, although it is less than a fully  
15 | satisfying answer, recognizing that metastatic breast  
16 | cancer is an incredibly heterogeneous illness and that  
17 | there are differences in patients and that some of that can  
18 | happen in studies that are of reasonable size but not huge.

19 |           DR. DAVID JOHNSON: In response to that, Eric,  
20 | let me say that treatment for some other solid tumors --  
21 | and I'll use an example, say lung cancer, non-small cell.  
22 | Treatment is brief and oftentimes the curves will follow  
23 | along precisely the same for several weeks, to even months,  
24 | after treatment is completed, then separate out well beyond  
25 | the time of treatment administration. So, I don't know

1 | that this is necessarily unusual or unique to this  
2 | particular study. This may be something we've seen in  
3 | solid tumors. So, I don't know that, just because it  
4 | doesn't follow the trend of the other two studies, we  
5 | should throw these data out.

6 |           I'm very concerned about these data actually.  
7 | As I said, it troubles me about whether this is in fact  
8 | comparable and efficacious. I suspect it is, but that's  
9 | not what we're asked here. We're not asked to guess what  
10 | we think. We're asked to render an opinion on what the  
11 | data show.

12 |           DR. SCHILSKY: Let me ask one question since it  
13 | hasn't come out yet and this has sort of been disturbing  
14 | me, as I've been sitting here listening to the data. I'd  
15 | appreciate any comments from FDA as well. It has to do  
16 | with the choice of response rate as the primary efficacy  
17 | parameter.

18 |           Typically the committee usually considers  
19 | response rate as at best a surrogate estimate for efficacy.  
20 | Here a decision was made to develop the entire clinical  
21 | program based on a response rate as the primary efficacy  
22 | parameter. I'm puzzled by how that decision was reached.  
23 | I'm actually quite surprised that that was the agreed upon  
24 | efficacy parameter. Perhaps we could have some discussion,  
25 | and I'll ask you first, Dr. Lee, if you could just describe

1 to us how the decision was reached to use response rate as  
2 the efficacy parameter.

3 DR. LEE: Okay, and then perhaps Dr. Williams  
4 can add to that.

5 During the discussion of the protocol design,  
6 response rate was chosen as the primary endpoint at least  
7 in part because of the fact that we built in three interim  
8 analyses. In order for the three interim analyses to  
9 really be realistic so that, as you accrue the patients,  
10 you have sufficient information to act upon it, the  
11 information or the endpoints that you have to act upon  
12 should be rather readily available rather than having to  
13 wait for a long time.

14 However, in discussions with the FDA review  
15 team, they also said that you can use response rate as a  
16 primary efficacy endpoint but we need to make the  
17 commitment to follow up and collect sufficient information  
18 for time to progression. Hence, as you can see, in all of  
19 the three trials, we did follow up patients to provide  
20 sufficient information on time to disease progression. In  
21 fact, if you had chosen time to progression as the  
22 endpoint, we would have met the criteria for study 1 and 3,  
23 and for study 2, we just missed because of the duration in  
24 that study.

25 Dr. Williams?

1 DR. WILLIAMS: Rich, I was involved with these  
2 decisions, so I know what we were thinking because I was  
3 thinking them.

4 (Laughter.)

5 DR. SCHILSKY: Maybe you could share that with  
6 the rest of us.

7 DR. WILLIAMS: You're correct. This was novel.  
8 We had not done it before. The thinking behind it was that  
9 this was doxorubicin, and if you could ever believe a  
10 surrogate that tumor response was going to reflect a  
11 benefit you're going to see, that it would likely be in  
12 this surrogate.

13 I think we really stretched because we thought  
14 this was an important trial to do, and it was basically  
15 impossible to do an equivalence trial I think sized for  
16 survival equivalence, the number of years you have to go in  
17 breast cancer to see a death and then to do equivalence.  
18 So, because there was the hope that there was going to be a  
19 decrease in cardiac toxicity, we said we would take one-  
20 sided. We said we would take a ratio of response rates of  
21 .75, not the 15 percent absolute amount that was quoted  
22 earlier. And I think we really stretched. My feeling is  
23 that's as far as we should stretch to try to bring a drug  
24 with a decreased cardiotoxicity into the marketplace.

25 We had seen with dexrazoxane the actual

1 decrease in response rate in the largest trial of that  
2 submission. So, we had seen this occur.

3 It's still debatable whether that was the right  
4 decision, but that's the decision we made and that's why I  
5 don't feel very flexible about stretching those boundaries  
6 any further.

7 DR. SCHILSKY: Yes. I was just curious about  
8 the thinking because this morning we saw a drug which  
9 essentially is fluorouracil, and yet the studies were  
10 required to be powered around a survival endpoint and  
11 result in much bigger trials. So, I think it's important  
12 for the committee to understand sometimes the thinking  
13 behind --

14 DR. WILLIAMS: Of course, the response rate is  
15 higher in breast cancer. Maybe the response rate is a  
16 little more respected in breast cancer as a manifestation  
17 of effect, but I still think it is debatable.

18 DR. SCHILSKY: Other questions for the sponsor?  
19 Dr. Lippman?

20 DR. LIPPMAN: I'd just like to ask, I guess,  
21 Dr. Piantadosi about this. I don't imagine it would have  
22 an effect, but in study 2, which is the one that is raising  
23 the concern, in the design there were three planned interim  
24 analyses, but because of rapid accrual, I guess one or two  
25 of them weren't done. Does that affect the statistical

1 power? Does that change anything?

2 And then I guess the other comment that I have  
3 would relate to slides 87 and 90, this issue of looking at  
4 efficacy just in the patients at high risk for  
5 cardiotoxicity. But initially the interim analysis  
6 question.

7 DR. PIANTADOSI: Yes, thank you. My opinion  
8 regarding the interim analysis question is that having  
9 missed an interim analysis carries no impact or importance  
10 on the final outcome of the study. The study could easily  
11 have been designed without interim analyses and we'd be  
12 looking at the same data.

13 DR. LIPPMAN: I guess I was just wondering if  
14 it actually strengthened some of the findings because we  
15 know the more interim analyses you do, that you have to  
16 adjust for that I guess.

17 DR. PIANTADOSI: Well, you adjust typically the  
18 type 1 error level. No, I don't think it strengthens any  
19 of the findings.

20 DR. WILLIAMS: We didn't adjust for the one  
21 interim analysis that was done. Right? Wasn't there one  
22 interim analysis performed?

23 DR. LEE: For study 2 now, right? The second  
24 interim analysis and third interim analysis were performed  
25 and the boundaries were adjusted way ahead of time. We

1 stuck to those boundaries.

2 DR. WILLIAMS: And the final overall alpha is  
3 -- what is that that's presented? 95 percent or 90  
4 something else?

5 DR. LEE: The overall type 1 error is still  
6 .05.

7 DR. WILLIAMS: And did you use 95 percent  
8 confidence intervals, though, at the end or adjust --

9 DR. LEE: Yes.

10 DR. PIANTADOSI: The interim analyses were  
11 conducted using very conservative O'Brien-Fleming kind of  
12 rules. So, the final analysis is probably so close to .05  
13 it's not worth arguing about.

14 DR. SCHILSKY: Dr. Lamborn?

15 DR. LAMBORN: I'm just curious. Did you in  
16 fact look to see whether in study 2, using response as a  
17 time-dependent variable, you saw any relationship of  
18 response to survival?

19 DR. LEE: No, we did not specifically look at  
20 that. If I understand your question correctly, your  
21 question is whether responders have longer duration of  
22 survival?

23 DR. LAMBORN: With the appropriate analysis.

24 DR. LEE: No. Having read a lot of the recent  
25 publications saying that that is not appropriate, we did

1 not do that analysis.

2 DR. SCHILSKY: We'll have Dr. Raghavan ask the  
3 last question.

4 DR. RAGHAVAN: I'd like to ask Dr. Winer. I'd  
5 be concerned to do down a good drug that's less  
6 cardiotoxic, but to come back to the issue of study 2, when  
7 I look at the company's submission, table 13, page 39, you  
8 made the point that you thought that because response and  
9 time to progression and everything were identical, that  
10 therefore it implied that the change we were seeing in  
11 survival had to do with post hoc effects. And I don't want  
12 to make too much of this because the company has done  
13 under-powered trial.

14 But you've got 0 versus 2 percent CRs. You've  
15 got 32 percent immediate progression versus 27 percent  
16 progression. You've got 34 percent versus 39 percent  
17 stable. I don't know that that's inconsistent with a  
18 difference in survival based on worse efficacy. I really  
19 do understand that the numbers are small, but you seem very  
20 sure that all the other indices didn't fit with it. Can  
21 you just expand on that based on the data that the company  
22 has put in? Because it seems to me consistent with a  
23 survival deficit. It's really only the 26 percent partial  
24 response versus 24 percent that's the same.

25 DR. WINER: I think if we applied any



1 | statistical test to these numbers, they would all be very  
2 | comparable. These are all extremely small differences.

3 |           Again, just to come back for a second to this  
4 | survival difference, I don't want to mislead anyone. I  
5 | remain concerned about this. I think it's the single most  
6 | important issue here. Because of the benefits of the drug,  
7 | I feel that that outweighs this particular concern.

8 |           But just in terms of survival in metastatic  
9 | breast cancer, it was just a few years ago that all of us  
10 | would stand up and give talks and say that there was no  
11 | evidence that anything we did affected survival in patients  
12 | with metastatic breast cancer. Now, we all believed there  
13 | was some impact, but it was impossible to show it in  
14 | trials.

15 |           I know of three trials recently either  
16 | published or presented that show survival differences: the  
17 | Herceptin trials where there were clearly differences in  
18 | all efficacy parameters; the docetaxel versus mitomycin,  
19 | vinblastine trial published in the JCO this year as second-  
20 | line therapy where there was a very dramatic difference in  
21 | both response and survival; and finally, there was an  
22 | Australian trial comparing CMFP versus Taxol where in fact  
23 | there was no difference in response but there was a  
24 | difference in survival. But in that trial, patients who  
25 | received CMFP never received Taxol. So, the Taxol patients

1 | were receiving an extra treatment.

2 |           So, again I don't want to mislead. I can't be  
3 | certain that there is no difference, but given all of this  
4 | information and the other studies, that's why I have  
5 | arrived to the view that I have. I think these are all  
6 | very good questions.

7 |           DR. SCHILSKY: Thank you very much.

8 |           DR. LEE: Dr. Schilsky?

9 |           DR. SCHILSKY: Yes.

10 |           DR. LEE: If I may because just now there was a  
11 | discussion about the use of relative risk, and we would  
12 | be --

13 |           DR. SCHILSKY: If you can be very brief.

14 |           DR. LEE: Yes. I'd like to call upon Dr. Gary  
15 | Koch to perhaps give some statistical perspective on the  
16 | interpretation of the two statistical approaches: one  
17 | that's based on absolute difference in response rates  
18 | versus the relative risk approach, particularly for study  
19 | 2.

20 |           DR. KOCH: Gary Koch, a statistical consultant  
21 | to The Liposome Company, and I'm with the University of  
22 | North Carolina.

23 |           What has been emphasized in this discussion is  
24 | excluding originally a 15 percent difference in response  
25 | rate, but the studies actually showed with 95 percent

1 confidence intervals that you could exclude a 10 percent  
2 difference. Now, it was mentioned a few minutes ago that  
3 one could look at relative risk and that one would like the  
4 ratio of rates to be at least .75 or to exclude a 25  
5 percent difference in whatever the response rate is.

6 Now, the response rate in study 2 was 25  
7 percent, and 25 percent of 25 percent is 6 and a quarter  
8 percent. So, if you were designing the study to  
9 demonstrate exclusion of a 25 percent difference when the  
10 response rate was 25 percent, you would need a sample size  
11 of over 500 patients per group.

12 Now, you would be actually running 500 patients  
13 per group in a situation where you've already seen what the  
14 differences are in cardiotoxicity. You'd be producing p  
15 values that would have eight or nine 0's in front of a 1  
16 for cardiotoxicity in order to have just a bit more comfort  
17 level with relative risk for a response rate that's down  
18 around 25 percent.

19 Now, usually when you're dealing with  
20 proportions that vary between 25 percent and 75 percent,  
21 almost always statisticians emphasize the response  
22 difference, and a response difference of less than 10  
23 percent is very compelling. Relative risk is appealing  
24 when you're talking about rates of 2 percent or 5 percent  
25 and you talk about doubling the rate, but the risk ratio is

1 | really not a very sound measure when you're talking about  
2 | rates that are anywhere from 25 percent to 75 percent.

3 |           DR. WILLIAMS: Could I ask Dr. Koch a question?  
4 | If what we're really interested in is preserving the  
5 | antitumor efficacy of a drug in whatever way it's going to  
6 | be used, is it not reasonable to consider what percentage  
7 | of that efficacy one might lose? Certainly that was our  
8 | thinking. And one must realize that in the combination  
9 | studies, we're not looking at all doxorubicin efficacy.  
10 | So, what you're saying is if 10 is okay with a response  
11 | rate of 25, then 40 percent loss of the doxorubicin  
12 | efficacy is not of concern.

13 |           DR. KOCH: Well, what I'm saying is that if  
14 | you're going to talk about the difference in efficacy, it  
15 | should be in terms of a straight percent. If you're  
16 | looking at Kaplan-Meier curves, you should say the Kaplan-  
17 | Meier curves never get farther apart than 10 percent in a  
18 | confidence interval. If you're looking at response rates,  
19 | then you're saying that they never get farther than 10  
20 | percent.

21 |           Now, if you're talking about death rates from  
22 | treating patients with myocardial infarction and the  
23 | control treatment has a rate of 9 percent and the test  
24 | treatment can reduce that by half to 4 and a half percent,  
25 | then it's useful to talk about risk ratios for that. But

1 | when you're talking about rates that are between 25 percent  
2 | and 80 percent, you should simply say how close do you want  
3 | them to be. Do you want them to be within 5 percent, in  
4 | which case you'll need sample sizes of over 500 per group,  
5 | or are you satisfied that they're within 10 percent?

6 |           DR. WILLIAMS: I would agree with you except  
7 | that we're using this as a surrogate for survival, and in  
8 | that case we're talking about the percentage of efficacy  
9 | that one might think is retained and thinking what that  
10 | might do in a large survival study.

11 |           DR. KOCH: Well, I understand that, but then if  
12 | you basically say that the sponsor not only has to provide  
13 | a comfort level on response rate, they need to also provide  
14 | a comfort level on time to progression and on overall  
15 | survival. When you put forward a criterion on hazard ratio  
16 | that you would like the lower limit of the confidence  
17 | interval for the hazard ratio to be above a number, like  
18 | .75, if the hazard ratio is above .75, the Kaplan-Meier  
19 | curves never get farther apart than 10 percent.

20 |           So, you're basically requiring sponsors to get  
21 | multiple wins. If a sponsor in study 1 and study 3 provide  
22 | compelling results with respect to response rate, time to  
23 | progression, and overall survival, that should be fairly  
24 | reassuring. If in study 2 they do it for response rate and  
25 | time to progression, the sponsor is demonstrating effect 8

1 out of 9 times. Even for overall survival they do it for  
2 the first year, and it's not until you're dealing with time  
3 after virtually all patients have had a progression --  
4 that's one of the things you need to remember in study 2.  
5 Virtually all the patients have a progression by 1 year.  
6 So, what happens to them after 1 year is related to those  
7 treatments that they're getting after they've had a  
8 progression.

9 So, the sponsor has assured you with respect to  
10 antitumor efficacy that they fulfilled the criterion 8  
11 times out of 9, and on the 9th one, they're not that far  
12 away except for the phenomenon that happens after 1 year  
13 for unexplainable reasons.

14 DR. WILLIAMS: But the criteria that they  
15 fulfilled are their criteria, not the ones that the FDA  
16 specified in 1994.

17 DR. SCHILSKY: Well, I'm sure we'll have  
18 additional discussion about this. So, why don't we take a  
19 brief break and reconvene at 3:45.

20 (Recess.)

21 DR. SCHILSKY: Dr. Cortazar will give the FDA  
22 presentation.

23 DR. CORTAZAR: Thank you, Dr. Schilsky, members  
24 of the advisory committee, colleagues, ladies and  
25 gentlemen. I'm going to present the FDA analysis of TLC

1 D-99. The indication under consideration is for first-line  
2 treatment of metastatic breast cancer.

3 I would first like to acknowledge all the  
4 members of the TLC review team.

5 I do not want to repeat things that you have  
6 already heard. I will try to emphasize the FDA's  
7 perspective in areas where there may be differences.

8 This slide outlines the critical times of  
9 interaction between the applicant and the FDA. I'm going  
10 to start with the end of phase II meeting.

11 Doxorubicin-based chemotherapy, which is  
12 considered the gold standard for the first-line treatment  
13 of metastatic breast cancer, is believed to convey a  
14 survival benefit of approximately 6 months. For the  
15 application of new drugs for this indication, FDA requests  
16 information about survival.

17 However, TLC, which is a liposomal doxorubicin,  
18 is a special case. It has the same active molecule as  
19 doxorubicin. In this case, FDA indicated that response  
20 rate would be an appropriate endpoint to demonstrate  
21 effectiveness when comparing TLC and doxorubicin.

22 The sponsor planned to power the studies to  
23 detect an absolute 15 percent increment in response rate  
24 and estimated the overall response rate would be 60  
25 percent. The agency noted that the sponsor's plan would

1 not be appropriate because if the response rate for the  
2 doxorubicin-containing arm was substantially lower than 60  
3 percent, the study would be under-powered. For example, if  
4 the actual response rate was 26 percent, as noted in study  
5 2, this absolute increment of 15 percent will represent  
6 well over half of the doxorubicin response rate.

7 Also, FDA stated that the sponsor would need to  
8 demonstrate that the response rate of TLC is at least 75  
9 percent of the response rate of doxorubicin by using a one-  
10 sided confidence interval.

11 These standards are less stringent than often  
12 required in equivalence trials but are appropriate because  
13 TLC was supposed to be less cardiotoxic than doxorubicin.

14 So, in summary, the agency would consider non-  
15 inferiority to be proven satisfactorily if in both trials  
16 the lower bound of the one-sided 95 percent confidence  
17 interval excludes 0.75 where R equals the ratio of the TLC  
18 response rate to the doxorubicin response rate.

19 During the June 30th meeting, the sponsor  
20 proposed to formally close the single agent trial after  
21 enrolling 224 patients. They stated that the accrual was  
22 very slow and that the results, after the third interim  
23 analysis, showed the study met the efficacy and safety  
24 endpoints. FDA agreed they had documented less  
25 cardiotoxicity with TLC D-99 but did not agree with their



1 claim of comparable efficacy. The FDA expressed concern  
2 about the survival curves for the single agent study which  
3 appeared consistently better for doxorubicin and the  
4 difference approached statistical significance. FDA  
5 recommended a confirmatory trial since the single agent  
6 trial appeared to show that TLC D-99 may be inferior to  
7 doxorubicin in its antitumor effect, especially survival,  
8 and wasn't likely to show non-inferiority.

9 The sponsor suggested as a supportive trial a  
10 randomized study of TLC D-99 versus epirubicin 75  
11 milligrams per meter squared in combination with  
12 cyclophosphamide. The FDA questioned the epirubicin dose  
13 in the control arm as being too low and suggested that a  
14 dose of 100 to 120 milligrams per meter squared might be  
15 more appropriate. The FDA expressed the concern that  
16 comparison to epirubicin at this dose would not be  
17 interpretable in a regulatory context unless one could  
18 establish that epirubicin and doxorubicin are equivalent on  
19 a milligram-per-milligram basis.

20 On December 14, 1998, the NDA was submitted.

21 Again, I will not repeat what you have already  
22 heard from the applicant's presentation, just to remind you  
23 study 1 is a combination study, study 2 is the single agent  
24 study, and study 3 is the combination trial versus  
25 epirubicin.

1           These trials shared the following design  
2 features. They were phase III, multi-center, randomized,  
3 parallel, open-label trials. All of them enrolled  
4 metastatic breast cancer patients with no prior  
5 chemotherapy for metastatic disease, and patients had  
6 measurable or evaluable disease.

7           I would like to point out the differences in  
8 the trial design. Studies 1 and 3 are combination trials.  
9 Study 2 is a single agent trial. Study 3 was stopped  
10 prematurely after enrolling 160 patients.

11           Doses of TLC D-99 are lower on study 1, 60  
12 milligrams per meter squared. They are higher in study 2  
13 and study 3, 75 milligrams per meter squared. Only study 2  
14 allowed dose escalations of TLC D-99 and doxorubicin.

15           Response rate was the protocol-specified  
16 primary endpoint for the three trials, while cardiotoxicity  
17 was not an endpoint for study 3.

18           Study 3 was not conducted under the U.S. IND,  
19 so the plans for statistical analysis were not reviewed  
20 with FDA. This was a European trial submitted to the FDA  
21 as a supportive trial for the NDA.

22           We believe the dose of epirubicin used in study  
23 3 has not been established as equivalent to doxorubicin for  
24 the following reasons. Doses of epirubicin of 100 to 120  
25 milligrams per meter squared have been used in combination

1 therapy and were more effective than the lower doses. The  
2 trials that supported the approval of epirubicin for  
3 adjuvant breast cancer compared doses of 50 versus 100 in  
4 combination therapy, and the epirubicin doses of 100 were  
5 associated with statistically significant improvement in  
6 both disease-free survival and overall survival.

7 In addition, trials in metastatic breast cancer  
8 comparing epirubicin doses of 50 versus 100 in combination  
9 therapy have shown a statistically significant improvement  
10 in response rate and time to progression and a  
11 nonstatistically significantly longer survival for the  
12 higher dose.

13 Epirubicin at 75 milligrams per meter squared  
14 has not been proven a standard treatment for first-line  
15 metastatic breast cancer. A recent ODAC considered an  
16 application for epirubicin as first-line treatment for  
17 metastatic breast cancer and even at higher doses of  
18 epirubicin, 100 milligrams per meter squared, there wasn't  
19 sufficient evidence for approval.

20 We believe epirubicin has not been demonstrated  
21 to be equivalent to doxorubicin on a milligram-per-  
22 milligram basis. The MTD of doxorubicin is about 90  
23 milligrams per meter squared while the MTD of epirubicin is  
24 in the range of 150 to 180 milligrams per meter squared.  
25 Also, the cumulative recommended doxorubicin dose is lower

1 than the cumulative epirubicin dose, 450 to 500 milligrams  
2 per meter squared for doxorubicin and 900 milligrams per  
3 meter squared for epirubicin.

4 Therefore, we believe epirubicin at 75  
5 milligrams per meter squared in combination with  
6 cyclophosphamide is not the best comparator to TLC D-99 75  
7 milligrams per meter squared with cyclophosphamide because  
8 this dose of epirubicin may be suboptimal.

9 Of the two large studies comparing epirubicin  
10 to doxorubicin in combination, each at 50 milligrams per  
11 meter squared, a significant difference in survival was  
12 noted in one, the French multi-center trial. This survival  
13 curve from their report in JCO suggests there is a  
14 sustained difference in the survival curves. After  
15 covariate adjustments, the author stated that this  
16 difference was no longer statistically significant, but  
17 none of the details of the adjustment analysis were  
18 provided. This finding adds to the doubts we have that  
19 epirubicin and doxorubicin are equivalent on a milligram-  
20 per-milligram basis.

21 We do not believe the applicant has adequately  
22 established the non-inferiority of TLC to doxorubicin.  
23 Although the applicant's studies were powered assuming a  
24 response rate of 60 percent, the response rates observed  
25 for studies 1 and 2 were well under the expected 60

1 percent.

2           Although the agency stated it would use an odds  
3 ratio for the efficacy comparisons, we are presenting the  
4 relative risk, which is the ratio of the two response  
5 rates. This concept is easier to understand and is  
6 slightly less conservative than the odds ratio.

7           Using the ratio of response rates approach,  
8 only the combination trial demonstrates marginal  
9 equivalence, with a lower bound of the confidence interval  
10 of 0.78. The study comparing single drugs fails with the  
11 lower bound of the confidence interval of 0.62. The one-  
12 sided 95 percent confidence interval could not exclude in  
13 study 2 that the TLC arm was proportionally 38 percent  
14 worse than doxorubicin.

15           This slide summarizes the overall survival for  
16 studies 1 and 2. Survival was the secondary endpoint in  
17 both trials. You may note some subtle differences between  
18 the FDA and the applicant's survival analysis. The  
19 applicant submitted an updated survival analysis post-NDA  
20 submission. This update was not planned in the original  
21 protocol, and for this reason FDA decided to use the  
22 original submission data. The results of the applicant's  
23 updated analysis were similar to the original submission.

24           The differences of the median survival in study  
25 1 are not reflective of the whole curve. As you will see

1 in the next slide, the curves cross. The hazard ratio was  
2 1, indicative of similar overall survival. The 95 percent  
3 confidence interval lower bound for the hazard ratio was  
4 0.71. However, demonstration of non-inferiority for  
5 survival was not explicitly discussed with the agency.

6 The median survival in study 2 was 5.5 months  
7 longer for the doxorubicin arm, with a p value of 0.07, and  
8 the 95 percent confidence interval lower bound for the  
9 hazard ratio of 0.54.

10 This slide shows the survival curves for the  
11 two treatment arms in study 1. Again, these curves cross  
12 at about 15 months. The hazard ratio is 1 and indicates no  
13 difference in overall survival.

14 This slide shows the survival curves for study  
15 2. This near significant survival trend in favor of the  
16 doxorubicin arm is very concerning. The applicant argues  
17 that this trend might be due to an imbalance in baseline  
18 prognostic factors at the largest study center. The  
19 applicant excluded that center and reanalyzed the survival.  
20 FDA believes this approach is totally unjustified because  
21 the randomization was done centrally and there's no  
22 evidence of an imbalance for these prognostic factors for  
23 the whole patient population.

24 FDA selected prognostic factors based on a  
25 literature review and performed a multivariate analysis to

1 correct for imbalances in important prognostic factors.  
2 This slide shows the results of the multivariate analysis.  
3 You can see it did not correct the adverse trend in  
4 survival and in fact the finding became more convincing  
5 with a p value of 0.03 in favor of the doxorubicin arm.

6 FDA then also included progesterone and  
7 estrogen receptors, the two covariates that the applicant  
8 used to show the imbalance, and the analysis is still  
9 marginally significant in favor of the doxorubicin arm,  
10 with a p value of 0.05.

11 In evaluation of the case report forms and  
12 electronic data of tumor measurements, FDA detected a  
13 number of progression events in the applicant's original  
14 analysis from patients who died many months or years after  
15 the last formal evaluation for progression.

16 Therefore, FDA requested the applicant to  
17 reanalyze TTP. The purpose of this analysis was to exclude  
18 inappropriate late events that have had inadequate follow-  
19 up and to include legitimate early events. This slide  
20 shows the results of their reanalysis. The studies were  
21 not designed to show formal equivalence. The 95 percent  
22 confidence interval lower bound was 0.81 for study 1 and  
23 0.66 for study 2.

24 I want to remind you study 3 is a combination  
25 trial comparing TLC to epirubicin, each at 75 milligrams

1 per meter squared. This trial was submitted as a second  
2 trial to demonstrate the efficacy of TLC D-99 in  
3 combination with cyclophosphamide. The trial was performed  
4 in Europe, outside of the U.S. IND, was stopped  
5 prematurely, and accrued 160 patients out of the 280  
6 patients planned.

7 The overall response rates were 46 percent in  
8 the TLC arm and 39 percent in the epirubicin arm. The two  
9 rates were not statistically different with a p value of  
10 0.4. The ratio of response rates is 1.19. The associated  
11 one-sided 95 percent confidence interval is 0.81.

12 Because this study was stopped prematurely,  
13 estimates of efficacy and confidence intervals must be  
14 viewed with skepticism. Moreover, unless one accepts  
15 epirubicin at this dose to be an established first-line  
16 treatment of metastatic breast cancer, these results are  
17 uninterpretable. The issue with this trial is not the  
18 results but the adequacy of the comparator arm.

19 The median survival was 18.5 months for TLC and  
20 16 months for the control arm, with a log rank test p value  
21 of 0.35. The two-sided lower bound for the hazard ratio  
22 was 0.79.

23 As requested by FDA, the applicant reanalyzed  
24 TTP. TLC showed a trend toward a longer time to  
25 progression compared to the epirubicin arm.



1           In summary, study 3 showed similar response  
2 rates and a similar survival and a trend toward longer time  
3 to progression for TLC D-99 compared to epirubicin.  
4 However, problems with this study include a relative low  
5 dose of epirubicin was used, 75 milligrams per meter  
6 squared, instead of 100 to 120 milligrams per meter  
7 squared. This study does not test efficacy of TLC D-99 in  
8 combination against a proven dose of epirubicin and,  
9 therefore, does not independently substantiate the results  
10 of study 1. Furthermore, the statistical findings may be  
11 viewed with skepticism since this was a small study  
12 performed outside of the IND and was stopped prematurely.  
13 The results of this trial are not bad. However, FDA has  
14 doubts that the dose of epirubicin used in this trial can  
15 be used as a surrogate for the same dose of doxorubicin.

16           Cardiotoxicity was the primary safety endpoint  
17 for studies 1 and 2. It was evaluated with serial month  
18 dated measurements of left ventricular ejection fraction by  
19 MUGA scans and by the clinical evaluation of congestive  
20 heart failure. As you can see, TLC is statistically  
21 significantly less cardiotoxic than doxorubicin as measured  
22 by protocol-defined cardiac events: 6 percent for TLC, 21  
23 percent for doxorubicin in study 1; 17 percent for TLC, 36  
24 percent for doxorubicin in the single agent trial.

25           Also, there were more congestive heart failure

1 events in the doxorubicin arm for both studies, and the  
2 median time to cardiac event was significantly higher for  
3 TLC in both studies.

4 This is a very busy slide. I don't expect you  
5 to see all the details. This slide summarizes the  
6 toxicities observed in the three trials.

7 Neutropenic fever was similar between treatment  
8 arms on both studies 1 and 2. On study 3, neutropenic  
9 fever was higher in the TLC arm than the epirubicin arm  
10 with a difference that was near significance.

11 Significantly more thrombocytopenia for the TLC  
12 arm than epirubicin on study 3.

13 Stomatitis was significantly higher in the  
14 doxorubicin arm in studies 1 and 2. Again, significantly  
15 higher for the TLC arm than the epirubicin arm in study 3.

16 Diarrhea was higher in the doxorubicin arm in  
17 study 1, with a significant incidence in study 2.

18 FDA and the applicant agreed on the reported  
19 values for the cardiac toxicity primary endpoint. Non-  
20 inferiority in response rate of TLC compared to doxorubicin  
21 has not been established. Response rate estimates were  
22 similar but because of the study size, the confidence  
23 interval of the ratio of response rates included a lower  
24 bound well under 0.75 in study 2. Using the ratio of  
25 response rates approach, only the combination trial

1 demonstrates non-inferiority with a lower bound confidence  
2 interval of 0.78.

3 Overall survival was similar for the  
4 combination study with a p value greater than 0.9, hazard  
5 ratio of 1, and a 95 percent lower bound confidence  
6 interval of 0.71. The median survival on study 2 was 5.5  
7 months longer for the doxorubicin arm, with a p value of  
8 0.07. The applicant suggests the survival benefit in the  
9 doxorubicin arm is attributable to an imbalance in  
10 prognostic factors favoring doxorubicin. However, the FDA  
11 performed a multivariate analysis adjusting for prognostic  
12 factors, and instead of correcting the adverse trend, the  
13 adverse findings were strengthened, with a p value of 0.03.

14 The comparator arm on study 3 is not adequate  
15 because the epirubicin dose was suboptimal. Epirubicin at  
16 75 milligrams per meter squared has not been established as  
17 first-line treatment in metastatic breast cancer.

18 The cardiotoxicity data looks very promising,  
19 and it is very disappointing that the studies are too small  
20 and did not have the power to show equivalence in antitumor  
21 efficacy. However, the FDA review team believes there is  
22 insufficient evidence to support TLC D-99 for first-line  
23 treatment of metastatic breast cancer. Using the ratio of  
24 response rates approach, only the combination trial, study  
25 1, demonstrates non-inferiority to doxorubicin with a lower

1 bound confidence interval of 0.78. Even though comparison  
2 with standard therapy in study 1 is marginally persuasive,  
3 the findings are not replicated in study 2 with a negative  
4 survival trend, and study 3 cannot be interpreted in this  
5 context. The applicant has demonstrated that TLC D-99 is  
6 less cardiotoxic than doxorubicin, but this endpoint alone  
7 does not support the proposed indication.

8 DR. SCHILSKY: Thank you very much.

9 Are there questions for FDA?

10 Let me start off with a question while people  
11 are getting their thoughts together. One of the important  
12 issues I think is the whole issue of the epirubicin dose in  
13 study 3. Somehow, as you were going through your  
14 discussion of epirubicin, I had the sense that you were  
15 looking at the same literature as the sponsor but coming up  
16 with totally different results. You showed us a survival  
17 curve of what I thought you said was the French randomized  
18 study comparing FAC and FEC, and you indicated that there  
19 was a survival advantage I think you said for the FAC in  
20 that study. I believe that's the same study that was  
21 included on one of the sponsor's slides, and at least on  
22 that slide, the median survival results are said to be not  
23 significantly different in the two arms of the study.

24 You then I think said that there were a number  
25 of other studies, looking at various different doses of

1 |     epirubicin in combination, which appeared to show some sort  
2 |     of a dose-response relationship, with higher doses  
3 |     producing better outcomes. Yet, when I go back to the  
4 |     sponsor's slide in which they summarized a number of  
5 |     studies looking at different doses of epirubicin in  
6 |     combination, they did show the higher epirubicin doses  
7 |     associated with higher response rates, but none of those  
8 |     studies showed an advantage in survival for the higher  
9 |     epirubicin dose.

10 |             So, maybe you could just clarify again how it  
11 |     is that you've come to the conclusion that epirubicin at  
12 |     the doses used is not an effective therapy.

13 |             DR. WILLIAMS: I probably did the more recent  
14 |     review of epirubicin, as I was involved in the recent  
15 |     approval -- or recent evaluation. I've reviewed these  
16 |     literature in detail, especially after a recent interaction  
17 |     with the sponsor.

18 |             Now, remember, it's not our responsibility to  
19 |     show that they're not equivalent. It is the sponsor's  
20 |     responsibility to show us they are equivalent. We have  
21 |     shown a lot of data that there appears to be a different  
22 |     antitumor effect between 0 and 100. If we don't have  
23 |     studies large enough to show a survival effect, that isn't  
24 |     our responsibility.

25 |             I was shocked when I found this survival curve

1 within the article in JCO for 1988 because the abstract  
2 says it was not significant, but the actual text says it  
3 was significant. But we noticed the difference in number  
4 of sites and we adjusted and it's no longer significant.  
5 No p values are given before or after adjustment. It's  
6 amazing to me. So, that's where that comes from, and  
7 that's one of the two large studies comparing the 50  
8 milligrams per meter squared dose in combination.

9           Again, that's not as good a test, I think, of  
10 equivalence if it had been 75 milligrams per meter squared  
11 because the more efficacy there is, the easier it is to  
12 show a difference.

13           Now, you talk about the dose-response trial  
14 that the sponsor cited. Again, it's under-powered so you  
15 can't say anything definitely, but in the point values for  
16 the tumor effects, both response and time to progression,  
17 you see a change between 60 and 90. Now, again, I don't  
18 know if 75 is above or below the plateau or if there is a  
19 plateau, but that isn't our responsibility. If we're going  
20 to use something as a surrogate for equivalence, then it  
21 needs to be proven to us, and it apparently wasn't proven  
22 to the sponsor of epirubicin well enough that they thought  
23 they could submit it to us and get an approval on that  
24 basis.

25           DR. SCHILSKY: I'm not trying to get to the

1 | issue of whose responsibility it is to prove what. I'm  
2 | just trying to understand why it appears that we have the  
3 | two groups referring to the same literature and showing us  
4 | apparently opposite results. I guess part of it is  
5 | selective reading of certain parts of the literature.

6 |           Questions from other committee members? Dr.  
7 | Krook?

8 |           DR. KROOK: I go back to the administrative  
9 | history here. There was a meeting on June 30th, 1998.  
10 | Study 2 was closed. It seems that most of us are looking  
11 | at this and there was obviously a decision made, in  
12 | combination with the FDA, to close the study early. Now  
13 | we're looking at survival differences or whatever. I'm  
14 | wondering if we could go back to there and why was the  
15 | decision made at that point to close this. I suspect that  
16 | might have affected the survival, less patients, and the  
17 | numbers.

18 |           DR. WILLIAMS: Again, it might be appropriate  
19 | if I answer since I was involved in those decisions.

20 |           Both from the minutes and from my recollection,  
21 | we never advised to close the study. The company wanted to  
22 | close the study. It seemed like the main reason was they  
23 | were having accrual problems. We were concerned. So, we  
24 | said you could add Zinecard to the other arm of the study  
25 | if you're concerned that investigators don't think it's

1 | ethical to continue. But we never advised them to stop.  
2 | We stated if you want this to stop, that is your decision,  
3 | but we're concerned about these values. So, I don't think  
4 | there's any disagreement.

5 | DR. KROOK: Again, I'm not a statistician. If  
6 | we had the full complement of the study, would we do better  
7 | statistically since that's where we seem to look at things?  
8 | I think the answer is yes, but maybe Dr. Lamborn can  
9 | comment. We obviously didn't go to the planned study  
10 | accrual. Therefore, the statistical reasons change and the  
11 | confidence intervals change, if I'm right.

12 | DR. LAMBORN: As I understand your question,  
13 | obviously if you have a larger pool of information, your  
14 | confidence intervals are going to be narrow. Whether or  
15 | not this would have affected the direction, for example, of  
16 | the survival, there's no way of knowing. There's no reason  
17 | to believe, as Dr. Piantadosi mentioned before, that an  
18 | early stop that was not a basis by looking at something is  
19 | going to affect that. But you definitely narrow your  
20 | confidence intervals.

21 | DR. SCHILSKY: Dr. Kelsen.

22 | DR. KELSEN: Could you put back up the survival  
23 | curve of FAC versus FEC? When you looked at this curve, it  
24 | sort of looks a little bit like the curve from the second  
25 | study that we're concerned about because it looks like the



1 | survivals are absolutely overlapping for the first 200  
2 | days. I guess that's in days. So, that's about two-thirds  
3 | of a year. And then they diverge and they don't come back  
4 | together again, although they don't reach statistical  
5 | significance. That looks an awful lot like the curve that  
6 | you showed us or that we've seen from the sponsor for the  
7 | phase II. Is your interpretation saying there's a salvage  
8 | therapy difference? Is there any data in that paper as to  
9 | a salvage therapy difference?

10 | DR. WILLIAMS: There's a paucity of data to  
11 | totally understand the study, and that's why I don't trust  
12 | these results one way or the other. I think they raise  
13 | doubt.

14 | But you're not going to see a difference in  
15 | curves unless you have deaths, and the fact is with breast  
16 | cancer, it takes a longer time than it does with colon  
17 | cancer or lung cancer. If you look how far you've come  
18 | down on the survival curve before you see a difference, it  
19 | looks like to me it may be 75 percent.

20 | DR. KELSEN: I was struck. We had this real  
21 | long discussion before as to whether or not there was a  
22 | difference in efficacy of the liposomal preparation and the  
23 | parent analog. Well, for the first 3 to 6 months or a year  
24 | maybe, they're identical. But I'm struck that it almost  
25 | looks like the same --

1 DR. WILLIAMS: But this is always going to  
2 occur with first-line breast cancer treatment.

3 DR. KELSEN: Yes.

4 DR. WILLIAMS: And you're always going to have  
5 a later part in the curve. So, if you ever have an effect  
6 on the curve, it's going to be sometime after you stopped  
7 your first treatment.

8 DR. SCHILSKY: Dr. Lippman?

9 DR. LIPPMAN: To clarify, I think, Grant, you  
10 were the one that mentioned this. Initially we got into  
11 this discussion with Dr. Koch about absolute versus  
12 relative changes in response rate and there's no way to win  
13 type of situation. But I think you were the one who said  
14 this, that initially when you target a 60 percent response  
15 rate, then a 10 percent absolute difference means something  
16 different entirely than if, unfortunately, your response  
17 rates are lower at 20 percent. So, obviously, the absolute  
18 difference means different things based on the overall  
19 response rate I guess is the point.

20 DR. WILLIAMS: You've got to remember that we  
21 were doing something different and using response rate for  
22 first-line approval of breast cancer here. This response  
23 rate is because we have the same drug, same molecule, and  
24 we're using it as a surrogate of what we think that effect  
25 is going to have on ultimate survival years down the line.

1           In that circumstance, we're interested in how  
2 much of the antitumor or of the beneficial effect imparted  
3 by doxorubicin are we losing, and I don't believe we ever  
4 conceived of using a response rate down in the 20's range  
5 to demonstrate equivalence for that effect. It was  
6 theorized that the response rate would be higher. As  
7 mentioned earlier, if it was going to be lower, it wouldn't  
8 have been practical to say that a ratio of .75 would be  
9 your goal. If you thought it was that low, then you  
10 probably should have designed the endpoint to be survival,  
11 but with the combination arm, the response rate turned out  
12 to be up in a higher range where it was reasonable to do  
13 equivalence trials.

14           So, what really happened was the response rate  
15 was much lower than planned. The study was much under-  
16 powered for this outcome, and we don't have the study  
17 showing equivalence that we wanted.

18           DR. SCHILSKY: Grant, the issue of using  
19 response rate as a surrogate to predict survival years down  
20 the road -- I guess one of my concerns about that is that,  
21 if we are willing to accept some reduction in response rate  
22 for this agent in exchange for the benefits with respect to  
23 cardiac toxicity, can we assume that reduction in response  
24 rate actually will translate into some decrement in  
25 survival down the road, and is it a one-to-one

1 relationship? I'm not comfortable with accepting that as  
2 -- you may say the response rate is a surrogate for  
3 benefit, but I'm not sure in my own mind that one can  
4 accept the notion that some change in response rate is a  
5 reliable predictor of a similar change in survival.

6 DR. WILLIAMS: Right, and that's why we asked  
7 for a demonstration of non-inferiority. If you're going to  
8 start extrapolating and say, well, I think maybe we've got  
9 5 percent difference in response, then that translates.  
10 No, I don't think anybody could do that.

11 The responsibility of the new drug is to show  
12 that you have efficacy, and in this setting a non-  
13 inferiority design was chosen to show that you have the  
14 same efficacy as doxorubicin and response rate was chosen  
15 as a surrogate. I do believe that's debatable, but that's  
16 the agreement.

17 DR. SCHILSKY: Let me ask one other question to  
18 the committee. It has to do with the level of concern  
19 about the survival data in study 2 in the context of the  
20 proposed indication of using this drug in combination with  
21 cyclophosphamide because what we seem to have is study 1  
22 which there seems to be a reasonable level of comfort with  
23 the notion that that may demonstrate equivalence in  
24 combination with cyclophosphamide. We have study 2 where  
25 there's concern about inferior survival in a single agent

1 comparison. We have study 3, which at best may be  
2 difficult to interpret, and we have a proposed indication  
3 not to use the drug as a single agent, but to use it  
4 together with cyclophosphamide.

5 I guess I'm just wondering if those people on  
6 the committee who have been concerned about the potential  
7 survival decrement in study 2 are equally concerned in the  
8 context of the proposed indication.

9 Dr. Raghavan.

10 DR. RAGHAVAN: I think that what this  
11 illustrates is that there are no shortcut paths to drug  
12 development, and if you have under-powered studies, you get  
13 to the FDA and you get into trouble.

14 Now, as was expressed by Dr. Williams, the  
15 third study, while it is sort of comforting in a general  
16 sense, in reality isn't comforting at all for all the  
17 reasons that were enunciated. It's almost valueless data  
18 to us because of the lack of controls and the early  
19 stopping and sort of breaking all the rules of good trial  
20 design.

21 So, that then leaves us with two trials, one of  
22 which, any way you cut it or slice it, gives the drug what  
23 appears to me to be the potential for inferior anticancer  
24 effect. That then leaves you with one study, which isn't a  
25 very big study, which leaves you with a comfortable feeling

1 | that this is a much less cardiotoxic drug with equivalent  
2 | activity.

3 |           The problem is what do you do with that. I'm  
4 | persuaded by investigators that I really respect  
5 | representing the company that this is a less cardiotoxic  
6 | drug and they would like to use it regularly, single agent  
7 | or whatever. Yet, I look at the data and I'm very troubled  
8 | by study 2. Dr. Koch's statistical discussion, while I  
9 | accept his points about the 75 percent lower limit, the  
10 | rest of it I really found didn't address the issue very  
11 | well.

12 |           So, I think we're left with a situation of  
13 | almost equipoise. How much concern do we have that  
14 | Adriamycin in an unrestrained environment will poison  
15 | hearts? I was struck by the lady who spoke about here  
16 | difficulties with cardiac toxicities right at the  
17 | beginning, and I'm very sympathetic to that. On the other  
18 | hand, I was also struck by the fact that she said, but I'm  
19 | happy to be here.

20 |           So, I think when people look at us, if we're  
21 | being harsh on this drug, I don't think we're being  
22 | bureaucrats and being persnickety about trials. I think  
23 | we're actually looking at a drug and saying is there  
24 | evidence that to cut down toxicity, which can be avoided  
25 | other ways, we're not sacrificing cure rates or response

1 rates. And we know that this will get translated down the  
2 line into the adjuvant setting.

3 So, I think we have the problem of just not  
4 enough information to be really comfortable.

5 DR. SCHILSKY: Dr. Margolin?

6 DR. MARGOLIN: I'd like to echo that and just  
7 sort of add a corollary which is that even though,  
8 according to data we were given today and what we all sort  
9 of know from being in the clinics, that the most popular  
10 combination with doxorubicin and its buddies is with  
11 cyclophosphamide. Things are changing fast in breast  
12 cancer. We're learning about how one can combine with  
13 various drugs. New drugs are coming up all the time. And  
14 if we have something for which we can only feel comfortable  
15 with the preservation of activity in this very strict  
16 setting of co-administration of these drugs, I think we're  
17 going to be stuck with more of a problem than a solution.

18 DR. SCHILSKY: Ms. Zook-Fischler?

19 MS. ZOOK-FISCHLER: Well, as a patient, I'm  
20 coming from a somewhat different perspective, and I'm a  
21 patient in treatment at the moment. My first concern for  
22 myself and other women dealing with breast cancer is always  
23 survival as the bottom line.

24 Nevertheless, it seems to me, for those  
25 particular patients for whom cardiotoxicity would be a

1 | problem, it becomes a moot point because if you can't give  
2 | them the full dose of doxorubicin, it would be good for the  
3 | physician and the patient to have an option because they  
4 | may not be able to get the survival advantage that you're  
5 | speaking about with the doxorubicin. So, I personally from  
6 | a patient's point of view, even though I'm most concerned  
7 | about survival, I would like the option to be available to  
8 | patients for whom the other drug is not really the best  
9 | choice.

10 | DR. SCHILSKY: Dr. Lamborn.

11 | DR. LAMBORN: I'd just like to make a comment  
12 | about study 3 from a statistical standpoint. I understand  
13 | the issue of whether the epirubicin is a reasonable  
14 | control, and I don't want to address that because I think  
15 | that could stop the thing right there.

16 | But if the issue is the fact that the study was  
17 | stopped early, I do want to reiterate that the early  
18 | stopping, if it was not done because of the effect, does  
19 | not preclude looking at those confidence intervals and  
20 | deciding if they are useful. So, just to make sure that  
21 | we're making the decision on the right pieces of  
22 | information.

23 | DR. WILLIAMS: As a regulatory comment, as a  
24 | reviewer I'm not sure that I can ever with certainty say  
25 | why a study was stopped.



1 DR. SCHILSKY: Let me just bring us back to are  
2 there any other questions to be directed to FDA, because  
3 otherwise we're drifting into discussion again. Dr.  
4 Lippman.

5 DR. LIPPMAN: I just wondered if the FDA had  
6 looked at this type of subset analysis that was presented  
7 by the sponsor in slide 90 on the subset of women that were  
8 at high risk for cardiotoxicity, because the issue was just  
9 raised about women that are at high risk and this would be  
10 a good option. In this one, both studies 1 and 2 were  
11 lumped together and the survivals are equivalent. Has  
12 study 2 been looked at in this group?

13 DR. WILLIAMS: Well, I'll make a couple  
14 comments. First, I want to remind Ms. Fischler that there  
15 is a drug which is approved, which is Zinecard, which is  
16 available to be given after 300 per meter squared, which is  
17 the dose after which most people get congestive heart  
18 failure and cardiac toxicity. It is available. So, I  
19 can't see that this would be that helpful in terms of  
20 fulfilling a need after 300 per meter squared.

21 Regarding the post hoc analysis of patients at  
22 high risk, I really don't think that is quite the issue.  
23 First of all, I believe the data is under-powered for  
24 equivalence overall. It's certainly going to be under-  
25 powered for equivalence in any subset.

1                   Secondly, that isn't the question. We believe  
2 there's a cardiac benefit. There may be a lot more in  
3 these patients, but we're dealing with the fundamental  
4 issue of whether we know there's equivalent efficacy. So,  
5 I think in the presence of an approved agent that should  
6 prevent or certainly decrease the number of events, if  
7 applied after 300 per meter squared, I don't see how that  
8 analysis is really relevant beyond the main question you  
9 have which is, is the benefit here worth the doubt  
10 regarding equivalent efficacy?

11                   DR. SCHILSKY: Dr. Lamborn?

12                   DR. LAMBORN: Dr. Williams, I just wanted to  
13 check that I had understood something that you said a  
14 little while ago. I thought I heard you say that if in  
15 fact you had anticipated a response rate on the order of 25  
16 percent, that you would not have been comfortable with  
17 using response as a surrogate for survival in demonstrating  
18 equivalence. Is that what you said?

19                   DR. WILLIAMS: I don't think it would have been  
20 practical, first of all, to power a study. We were  
21 comfortable with the concept that in doing an equivalence  
22 or a non-inferiority study, you need to rule out loss of  
23 not more than a certain amount of the efficacy of the drug.  
24 In this case, .75 was below the .8 that is often discussed.  
25 Powering a study to demonstrate that with such a low

1 response rate would probably lead you to a similar size  
2 study for showing equivalence in more ultimate endpoints  
3 such as survival.

4 DR. SCHILSKY: Any other questions for the FDA?  
5 Dr. Beitz?

6 DR. BEITZ: I just wanted to point out that if  
7 the committee does feel the need for additional  
8 information, that perhaps in your deliberations you could  
9 advise as to the nature of additional studies that could be  
10 performed with this compound to establish efficacy in  
11 relevant populations.

12 DR. SCHILSKY: Dr. Johnson?

13 DR. DAVID JOHNSON: Well, actually I want to  
14 discuss that too.

15 The question I wanted to pose to the FDA is  
16 putting myself for the moment into the sponsor's shoes, I'm  
17 trying to think of a way that I'm going to prove the  
18 comparability of my product, thinking that, as I've been  
19 told by my expert colleagues around the country, that it's  
20 becoming increasingly difficult to show survival  
21 differences based on a single agent because of the  
22 availability of other products.

23 I do a lot of work, of course, in lung cancer,  
24 and I hear this a lot, that the reason there wasn't a  
25 survival advantage with drug X over drug Y is because of

1 all the salvage therapy that went on. Now, that's always  
2 humorous to me in lung cancer, but it may be actually  
3 relevant in breast cancer. The only caveat that I would  
4 say about that is that a really good drug seems to be able  
5 to overcome that particular issue, Herceptin being a recent  
6 example of that.

7 My sense is this is sort of why you chose the  
8 response or at least agreed with the sponsor about using  
9 response as an endpoint, which I too like Rich was a bit  
10 surprised to see that we had thought that was acceptable.  
11 Is that something we need to discuss, or is it only because  
12 the product was perceived to be the same thing in just a  
13 different package?

14 DR. WILLIAMS: Of course, this was 1994 that  
15 this study was designed. The reason we accepted response  
16 was solely because it was two different forms of  
17 doxorubicin, and we thought that the antitumor efficacy  
18 would hopefully reflect the other.

19 We've had these discussions. I think you gave  
20 both sides of the argument about follow-up therapy. A good  
21 drug seems to be able to overcome it, and obviously the  
22 advisory committee took the position that it still could be  
23 shown and the time to progression wasn't sufficient in  
24 first-line breast cancer.

25 DR. SCHILSKY: If there are no other questions

1 | directly for FDA, before we get into our discussion, we do  
2 | have two additional requests from the public to speak to  
3 | the committee. First is Dr. Marissa Weiss representing  
4 | Living Beyond Breast Cancer.

5 |           DR. WEISS: My name is Dr. Marissa Weiss, and  
6 | I'm a physician. I take care of breast cancer patients.  
7 | I'm an oncologist. I'm also President and founder of  
8 | Living Beyond Breast Cancer, which is a nonprofit  
9 | educational organization. And I'm author of the book,  
10 | Living Beyond Breast Cancer.

11 |           I invited myself here. Liposome did not invite  
12 | me here. They do buy a table at our gala each year, as  
13 | every other pharmaceutical company does do, and they do  
14 | provide an unrestricted grant for our outreach, but so do  
15 | other companies.

16 |           Living Beyond Breast Cancer's mission is to  
17 | help women who have been diagnosed with breast cancer live  
18 | as long as possible with the best quality of life. I think  
19 | everyone is here for the same reason, which is that the  
20 | whole point of finding breast cancer early and treating it  
21 | effectively is to give life after treatment is finished.  
22 | And that's why I'm here because I see Evacet as an  
23 | opportunity for women who have breast cancer to live beyond  
24 | their treatment with a good quality of life. And we're not  
25 | just talking about quality of life. For those people here

1 | who do treat women with breast cancer with Adriamycin-based  
2 | regimens, you can die of that complication, the  
3 | cardiotoxicity.

4 |           I chose to speak after the data were presented  
5 | because I wanted to address some of the issues that were  
6 | raised. I think everyone here knows that we want to have  
7 | better treatment options to present to patients when they  
8 | have metastatic disease, what that disease progresses, that  
9 | that's what the purpose of this drug and other advances  
10 | that have come forth for women with breast cancer.

11 |           I have to say that I've been following this  
12 | discussion all around, and I'm really struck that everyone  
13 | is taking the survival differences very seriously, which is  
14 | terrific. But we are talking about one endpoint in one  
15 | study that's observed after 1 full year of follow-up that  
16 | wasn't even statistically significant. By many other  
17 | criteria, these drugs did look like they were equally  
18 | effective. We're not talking about proving beyond the  
19 | effectiveness. We're just talking about equivalence here.

20 |           With respect to the comments of if we start  
21 | using this drug, not just with Cytoxan but then maybe in  
22 | the adjuvant setting and maybe you're going to start  
23 | sticking it with Herceptin, that I think is irrelevant to  
24 | this discussion because that's not what this indication is  
25 | up for. We're talking about looking at this drug with

1     Cytosan as first-line chemotherapy for women with  
2     metastatic breast cancer.

3             I think we have to keep some of this in  
4     perspective because Doxil has been approved for use in  
5     cancer. That's ovarian cancer not breast cancer, but it  
6     associated with the higher side effects of PPE and this  
7     drug is not. But probably more importantly, epirubicin was  
8     approved in the adjuvant breast cancer setting. However,  
9     the bar for efficacy was lower for epirubicin since it was  
10    compared to CMF and not to an Adriamycin-based regimen.

11            Also, if these data showed a trend to improved  
12    survival with Evacet over Adriamycin, we wouldn't have a  
13    discussion here. I know that the goal here is to prove at  
14    least equivalence.

15            I think that the data presented to ODAC in the  
16    spring on epirubicin was with the doses of 100 to 120  
17    milligrams per meter squared, but this is not the standard  
18    dose in Europe nor is it the dose used in patients with  
19    metastatic breast cancer. It was the dose used in the  
20    United States for the adjuvant treatment, but you really  
21    can't fault this study for using the 75 milligrams per  
22    meter squared dose since it was the standard of care in  
23    Europe at that time, even though it was not used at that  
24    point in the United States.

25            So, I just don't want anyone here to lose sight

1 of the fact that as doctors taking care of people, women  
2 with breast cancer, that we need better options to treat  
3 the women that we have the privilege of taking care of.  
4 When you have a medicine that's working, when you've got  
5 somebody in front of you who has got metastatic breast  
6 cancer for which an Adriamycin-based regimen is working,  
7 you want to keep using the drug that's working. In this  
8 situation, twice the number of women who received the  
9 Adriamycin-based regimen had to stop their treatment for  
10 fear of the cardiac toxicity relative to Evacet.

11 So, the main thing is I see this as a  
12 physician, that this gives me a tool sitting across the  
13 table from a woman in a blue gown who's got metastatic  
14 disease, who's trying to find something that she can extend  
15 her life with, I can keep going with the medication that  
16 she's already responding to. And I see this drug as giving  
17 women an option, and I think it's an important medication  
18 that should be approved.

19 Thank you.

20 DR. SCHILSKY: Thank you very much.

21 (Applause.)

22 DR. SCHILSKY: We had a request from Ms. Meeker  
23 who we heard from earlier today to address the committee  
24 once again.

25 MS. MEEKER: Thank you. I want to repeat that



1 I'm not here subsidized or connected with anybody. I'm  
2 here on my own. I'm here because this group was addressing  
3 the treatment of metastatic breast cancer, not initially  
4 diagnosed breast cancer.

5 In metastatic breast cancer, treatment may  
6 produce no response. The time of a remission is measured  
7 in months for all of the metastatic patients. The time to  
8 a second recurrence is measured in months. Ultimately, we  
9 still measure overall survival of metastatic breast cancer  
10 patients in months, not years.

11 I am really astonished and quite emotional I  
12 think because I hear a discussion that seems to me to  
13 assume that we're going to be alive for years, so it's very  
14 important whether the drug being discussed by you according  
15 to your rules -- and I won't attempt to have that  
16 discussion -- has months of less effectiveness rather than  
17 Adriamycin.

18 In my case, for example, when I developed my  
19 first recurrence -- and there's a mistake on the little  
20 thing I typed out this morning at home. I was given CMF  
21 because of the metastases to my left brachial plexus.  
22 During that time, I developed a second primary. I was not  
23 a candidate for anymore Adriamycin-based chemotherapy  
24 unless there would have been one that hopefully would not  
25 have damaged by heart. There might be one of those in the

1 future.

2 I now have metastatic disease. I'm in not a  
3 remission but at least only in a state with bone  
4 metastases. My future is measured, according to the median  
5 at least, in months. I don't believe it and I'm going to  
6 fight with all my heart. But what I want to do now is stay  
7 alive and healthy until there is something that will  
8 address my breast cancer.

9 Just as a couple of other people have stated,  
10 what we need is tools of choice for physicians to prescribe  
11 for their patients so that if one chemotherapy is not  
12 available or efficacious, there might be another one. In  
13 my case, the choices are constrained because of my reaction  
14 to the Adriamycin. Unfortunately, I had bad side effects  
15 even though I had very positive treatment effects.

16 It seems to me that whatever the rules for  
17 adoption of the drug, if you are down at the third decimal  
18 place to the right of the decimal point in discussing  
19 relative efficiency of the drugs, that you're describing a  
20 drug that might be useful for me and would be the only one  
21 that my body could possibly tolerate.

22 Thank you.

23 DR. SCHILSKY: Thank you.

24 (Applause.)

25 DR. SCHILSKY: I think we can go on to the

1 | questions, and I'm sure they will engender some additional  
2 | discussion. Yes?

3 | DR. LEE: I know I asked for one. Can I --

4 | DR. SCHILSKY: No, I'm afraid due to our time  
5 | constraints, we need to go ahead with the questions.

6 | So, we have a series of questions. We have a  
7 | preamble describing the study results. And I will ask for  
8 | a formal vote on each of these questions unlike what we did  
9 | this morning.

10 | Question 1. Do these studies demonstrate that  
11 | Evacet is significantly less cardiotoxic than doxorubicin  
12 | at the doses and schedules studied?

13 | Does anyone want to take a crack at answering  
14 | that one? Dr. Nerenstone?

15 | DR. NERENSTONE: Yes. I think that that's the  
16 | one thing that we could probably all pretty much agree on.

17 | DR. SCHILSKY: All right. Well, any further  
18 | discussion on that?

19 | (No response.)

20 | DR. SCHILSKY: Let's see if we do.

21 | (Laughter.)

22 | DR. SCHILSKY: All who would vote yes in  
23 | response to that question, please raise your hand.

24 | (A show of hands.)

25 | DR. SCHILSKY: 11 yes.

1 All who would vote no?

2 (No response.)

3 DR. SCHILSKY: 0 noes. So, it's unanimous.

4 Moving on two question 2. Is study 1 an  
5 adequate and well-controlled clinical trial demonstrating  
6 the efficacy of Evacet in the first-line treatment of  
7 breast cancer?

8 Comments from anyone?

9 (No response.)

10 DR. SCHILSKY: No comments. Yes, Ms. Zook-  
11 Fischler.

12 MS. ZOOK-FISCHLER: I just wondered if a word  
13 was omitted. Was that to be first-line treatment of  
14 metastatic breast cancer?

15 DR. SCHILSKY: Yes.

16 Dr. Margolin?

17 DR. MARGOLIN: Actually I'd like to ask for  
18 another clarification which is, does the FDA intend for us  
19 not to have Evacet plus Cytosan in that line?

20 DR. WILLIAMS: That's a point you can discuss.  
21 This was the proposed indication basically and you can make  
22 a discussion of that.

23 DR. SCHILSKY: Well, the proposed indication  
24 from the sponsor was Evacet in combination with  
25 cyclophosphamide. So, we could amend the wording to say is

1 study 1 an adequate and well-controlled clinical trial  
2 demonstrating the efficacy of Evacet in combination with  
3 cyclophosphamide in the first-line treatment of metastatic  
4 breast cancer?

5 DR. WILLIAMS: I think that's fine. That's  
6 really more appropriate. The final vote, if one voted that  
7 two of these studies were appropriate, then you could have  
8 the discussion whether it should be restricted to  
9 cyclophosphamide or not.

10 DR. SCHILSKY: So, let me just restate the  
11 question again with these modifications. Is study 1 an  
12 adequate and well-controlled clinical trial demonstrating  
13 the efficacy of Evacet in combination with cyclophosphamide  
14 in the first-line treatment of metastatic breast cancer?

15 All who would vote yes, please raise your hand.

16 (A show of hands.)

17 DR. SCHILSKY: 9 yes.

18 All those would vote no?

19 (A show of hands.)

20 DR. SCHILSKY: 1 no.

21 Any abstentions?

22 (A show of hands.)

23 DR. SCHILSKY: 1 abstention.

24 So, it is 9 yes, 1 no, 1 abstention.

25 Question 3. Considering the standards of

1 efficacy agreed to by the agency for this situation, is  
2 study 2 an adequate and well-controlled clinical trial  
3 demonstrating the efficacy of Evacet in the first-line  
4 treatment of breast cancer?

5           Maybe I could ask for a clarification here just  
6 so we're all clear. Perhaps the agency could restate what  
7 were the standards of efficacy the agency agreed to.

8           DR. WILLIAMS: That was the response rate, .75.  
9 That was the standard.

10           DR. SCHILSKY: So, you're asking us that in  
11 considering that standard with respect to response rate as  
12 the measure of efficacy, is study an adequate and well-  
13 controlled trial demonstrating efficacy for Evacet.

14           DR. WILLIAMS: We would expect you to take  
15 everything into consideration. I guess when it was  
16 written, some people might not actually buy the concept  
17 that the response rate was a surrogate, but taking in  
18 consideration the fact that we did commit to that idea, we  
19 wanted you to take that into consideration.

20           DR. SCHILSKY: So, it might be worthwhile  
21 before we vote on this to just refer one last time to the  
22 study 2 results that are shown in the table in the  
23 questions because this is the single agent study in which  
24 the response rates are 26 percent in each arm, the response  
25 duration is 7.8 months for Evacet, 6.5 months for

1 doxorubicin. The overall survival is 14.6 months median  
2 for Evacet, 20.1 months median for doxorubicin. That has a  
3 p value of 0.07, and the time to progression was 3.8 months  
4 for Evacet and 4.3 months for doxorubicin, also with a  
5 nonsignificant p value of 0.58.

6 Dr. Margolin.

7 DR. MARGOLIN: I think in the interest of  
8 fairness to the sponsor, since I think Grant is giving us a  
9 little bit the option to decide whether we want to stick by  
10 the FDA's very strict definition, it would be interesting  
11 to have, if somebody has at hand, what the confidence  
12 intervals were on those response rates.

13 DR. WILLIAMS: I'd like to challenge your  
14 statement. I consider our standards, the fact that we used  
15 response rates to start with, to be not at all strict and  
16 the .75 is actually lower than .8. If you use Dr. Koch's  
17 approach, they're lenient.

18 DR. SCHILSKY: Dr. Kelsen.

19 DR. KELSEN: If I can pursue that just a little  
20 bit. If I read this question right, the standard of  
21 efficacy that you agreed to was that the lower bound on the  
22 ratio of response rates, since we're talking about that  
23 now, would not drop below .75. Is it a correct statement  
24 that when you say considering the standards of efficacy  
25 that you agreed to, that the lower bound of the response

1 rate around this 1.0, .62 is below the .75 that you -- it's  
2 the ratio in this case that you required when you made this  
3 discussion with the sponsor.

4 DR. WILLIAMS: That's what I'm referring to.  
5 The other endpoints, I don't believe they're insignificant,  
6 but that was what I was referring to.

7 DR. SCHILSKY: So, we have that piece of  
8 information, and of course, we've been discussing for the  
9 last several hours the concern about the apparent decrement  
10 in survival, although it doesn't quite make it as a  
11 statistically significant difference.

12 Dr. Lippman?

13 DR. LIPPMAN: Yes, I just wanted to address  
14 that because it came up in one of the presentations  
15 recently that it wasn't significant, and we lived by the p  
16 value of .05. So, it could be by chance even though we  
17 know that there's a biologic continuum. But the concern I  
18 think that was consistent between the FDA and the sponsor  
19 was that the p value trended more towards significance  
20 after adjustment for covariates and was statistically  
21 significant in the agency analysis in the covariate  
22 adjustment.

23 DR. SCHILSKY: Dr. Kelsen?

24 DR. KELSEN: This is sort of a procedure  
25 question. The way that's written, how can one say anything



1 other than no since the way it's written, it says you said  
2 that they have to have .75 and it's .62. So, I'm a little  
3 lost as to how one --

4 DR. WILLIAMS: We just asked you to consider.  
5 We didn't say you're bound by them.

6 DR. KELSEN: Okay, thank you.

7 DR. SCHILSKY: So, I think what we're trying to  
8 get to the bottom line here is that this study doesn't  
9 appear to make it with respect to the response rate rules  
10 that had been agreed to previously, and there is a  
11 concerning trend in the survival, although not a  
12 statistically significant trend. I just wanted to be sure  
13 that everybody remembered the course of the discussion as  
14 we go through these questions.

15 David?

16 DR. LEE: I would like to provide the lower  
17 bound of the confidence limit that was requested by Dr.  
18 Margolin. For this study, the lower bound is minus 9  
19 percent based on a difference in response rates.

20 DR. SCHILSKY: Thank you.

21 David?

22 DR. DAVID JOHNSON: Again, my sense has always  
23 been that we're advisory. We're not policy makers here.  
24 We've heard the fact that the FDA agreed with the sponsor  
25 for a particular endpoint doesn't mean we have to agree

1 with that endpoint. A large part of the discussion that  
2 has been ongoing today has dealt with that particular  
3 issue. I think that some of the discussion we've had has  
4 at least made fairly clear to me that some of us are  
5 uncomfortable with that as an endpoint to declare  
6 comparability and efficacy. I think this is an issue that  
7 we're going to be wrestling with not just this time but in  
8 the future as well, and I'm not sure there's an easy  
9 solution to it.

10 Based on the strict definition, if we accept  
11 what the FDA has said, then the answer to the first  
12 question in my view has to be yes, and the answer to the  
13 second question has to be no. There's not even reason for  
14 voting. I think that we have to remember that we're only  
15 advisory. We're not policy makers here.

16 DR. SCHILSKY: Dr. Raghavan.

17 DR. RAGHAVAN: I think the other point that I'd  
18 add -- two points. One is that the assumption was made --  
19 and I think it was a mistake post hoc -- that response was  
20 an appropriate surrogate on the assumption that survival  
21 would be equivalent or potentially equivalent, and that  
22 assumption was not fulfilled. We can second guess all the  
23 reasons for it. I have yet to hear a compelling  
24 explanation that let's me feel that more patients would  
25 have been alive in that single-arm study on the new drug

1 | than on the old drug, and dead patients don't get side  
2 | effects.

3 |           The second point I would make is that when we  
4 | talk about statistical significance, as Scott started to  
5 | say, driven by a p level, even when we allow for prognostic  
6 | variable adjustment of a 2 percent chance that we're making  
7 | a mistake, so I don't know what we're really talking about.  
8 | It seems to me fairly clear that in study 2 more patients  
9 | died on the new drug.

10 |           DR. SCHILSKY: Dr. Lamborn?

11 |           DR. LAMBORN: In a way, I'd like to follow up  
12 | on the same thing. I think that whenever you pick your  
13 | primary efficacy measure, you do it on the assumption that  
14 | the secondary measures do not have a strict p value  
15 | criteria, but you expect them to be consistent or you  
16 | worry. In this case I think we're in the situation where  
17 | the primary efficacy was the surrogate to start with, and  
18 | the secondary measure is certainly worrisome. So, I think  
19 | that's the way the p value ought to be looked at, and I  
20 | think that's what others in the group are saying as well.

21 |           DR. SCHILSKY: Although Dr. Johnson felt that  
22 | we may not actually need to vote, we'll vote anyway. So,  
23 | just to restate the question, considering the standards of  
24 | efficacy agreed to by the agency for this situation, is  
25 | study 2 an adequate and well controlled clinical trial

1 | demonstrating the efficacy of Evacet in the first-line  
2 | treatment of breast cancer?

3 | All who would vote yes, raise your hand.

4 | (No response.)

5 | DR. SCHILSKY: All who would vote no?

6 | (A show of hands.)

7 | DR. SCHILSKY: 10 no.

8 | Anyone who wishes to abstain?

9 | (A show of hands.)

10 | DR. SCHILSKY: 1 abstention. It's 0 yes, 10  
11 | no, 1 abstention.

12 | Question 4. In the first-line treatment of  
13 | breast cancer, can we assume that efficacy of epirubicin,  
14 | 75 milligrams per meter squared, is equivalent to that of  
15 | doxorubicin, 75 milligrams per meter squared, when given in  
16 | combination with cyclophosphamide, 600 milligrams per meter  
17 | squared?

18 | Comments?

19 | (No response.)

20 | DR. SCHILSKY: No comments.

21 | Shall we just vote it? So, can we assume the  
22 | efficacy of epirubicin at 75 is equivalent to that of  
23 | doxorubicin at 75 in first-line treatment of metastatic  
24 | breast cancer? All who would vote yes?

25 | (No response.)

1 DR. SCHILSKY: All who would vote no?

2 (A show of hands.)

3 DR. SCHILSKY: 8.

4 Abstentions?

5 (A show of hands.)

6 DR. SCHILSKY: 2 abstentions.

7 Let me just ask for those who would vote no  
8 again, please raise your hand.

9 (A show of hands.)

10 DR. SCHILSKY: 8 no.

11 And abstentions?

12 (A show of hands.)

13 DR. SCHILSKY: 3 abstentions.

14 My right visual field here is obscured by this  
15 projector. It's hard to see.

16 Question 5. Considering the standards of  
17 efficacy required by the agency in this situation, is study  
18 3 an adequate and well-controlled clinical trial  
19 demonstrating the efficacy of Evacet in the first-line  
20 treatment of metastatic breast cancer?

21 All who would vote yes?

22 DR. LAMBORN: Can I ask a question first?

23 DR. SCHILSKY: Yes, please.

24 DR. LAMBORN: If the statement is that it is  
25 not equivalent, demonstration of equivalence has not been

1 | made, which was the previous vote, under what guidelines  
2 | are we then looking at the question of this as -- I'm not  
3 | sure that question 5 is relevant if the majority vote was  
4 | that the comparator was not demonstrated equivalent to  
5 | doxorubicin and the indication is for -- I'd just like a  
6 | discussion.

7 | DR. DAVID JOHNSON: Now you're being logical.  
8 | (Laughter.)

9 | DR. DAVID JOHNSON: You can't do that.

10 | DR. SCHILSKY: Do you feel you need us to vote  
11 | on that one?

12 | DR. WILLIAMS: No.

13 | DR. SCHILSKY: All right. No vote required.

14 | Question 6. Do you recommend approval of  
15 | Evacet in combination with cyclophosphamide for the first-  
16 | line treatment of metastatic breast cancer?

17 | Discussion on this one?

18 | DR. DAVID JOHNSON: Yes. I'd actually like  
19 | some discussion on this issue because as I recall the  
20 | standards that we've adhered to in previous discussions,  
21 | we've looked for a pivotal trial that we felt confident  
22 | gave us the results we were looking for and then adequate  
23 | supporting data to really back that up. Here I think,  
24 | while I said this quite a long time ago, in my heart I  
25 | believe -- no pun intended -- that this drug works and

1 | potentially has a role, I don't think the data that have  
2 | been presented to us are convincing of that. While the  
3 | first trial the majority felt was adequate and well  
4 | designed, et cetera, it's hard to imagine that we can vote  
5 | anything other than no on this in light of the fact that we  
6 | don't consider the supporting data is sufficient. Now,  
7 | again, we're an advisory group. I guess we can do what we  
8 | want to do, but it's difficult for me to understand how we  
9 | would do that candidly.

10 | DR. SCHILSKY: Other comments? Dr. Raghavan.

11 | DR. RAGHAVAN: The other thing that has been  
12 | kind of troubling -- well, there are two bits to it. One  
13 | was that Grant Williams made the point that there actually  
14 | are alternatives for those who want to continue to use  
15 | Adriamycin. But there has been almost a tacit assumption  
16 | -- and I'm unaware of data that support this assumption --  
17 | that treating forever is a good thing for patients with  
18 | metastatic disease and that Adriamycin is the only drug out  
19 | there.

20 | The reality of the situation is that for the  
21 | person who's had a major myocardial infarction before she  
22 | gets her breast cancer and who never has access to  
23 | Adriamycin, in addition to the fact that there's CMF which  
24 | sometimes doesn't work, there must be 15 other drugs that  
25 | can be either approved used or on some form of literature

1 approval used for breast cancer. So, it's not as if this  
2 is the only way that we can get this lifesaving drug for  
3 women with breast cancer.

4 The reason I make this point is that,  
5 therefore, I'm not sure that we should lower the bar by  
6 comparison with other drugs that we look at. The way the  
7 rhetoric has gone today, it sounded a little bit like the  
8 bad, old committee is keeping a very, very vital drug from  
9 people with breast cancer. I think that the offset of that  
10 is that we can make a worse mistake which is to forget that  
11 there are good alternatives that are proven and work in  
12 second, third, fourth, fifth line and then introduce a drug  
13 that may actually have less people alive at a time point,  
14 particularly if used as a single agent. I recognize that  
15 the approval indication is for in combination, but therein  
16 lies a problem in terms of trying to dissect out the  
17 various components of response. So, I think we just need  
18 to keep in mind what we're actually talking about here.

19 DR. SCHILSKY: Ms. Zook-Fischler.

20 MS. ZOOK-FISCHLER: I just have to reiterate  
21 what I said before. It seems to me approving this drug  
22 doesn't eliminate the physician -- approving this drug  
23 would not eliminate the other options. It would just add  
24 to the arsenal of options. I think that from my experience  
25 with the women I've known, breast cancer is such an



1 individual disease and each women's response to it on an  
2 emotional level, besides the physical level, is very  
3 personal. I think the more options out there, the better.

4 DR. SCHILSKY: Dr. Margolin?

5 DR. MARGOLIN: I think I agree much more with  
6 Dr. Raghavan, but there's a reason which is that the danger  
7 we're trying to avoid is getting a drug out there that  
8 hasn't been proven not to be inferior and will be used for  
9 the wrong reasons in too many people who will then not have  
10 been treated right and will miss their best chance to have  
11 their best long-term remission.

12 DR. SCHILSKY: Dr. Krook?

13 DR. KROOK: A little different opinion. I  
14 listened to Dr. Johnson here. I think the pivotal study  
15 does sway me towards the fact that this would be a drug --  
16 we may argue whether Cytosin adds something or not. Most  
17 of us perhaps don't use AC in the metastatic situation.

18 The other two studies -- and again it was  
19 brought up, are they supportive or not supportive. I think  
20 there's enough, at least in my opinion, in the other two  
21 studies to perhaps support it. I can look at the survival  
22 and say there's very few people out there that far. So, I  
23 guess I would say the pivotal study at least leans me  
24 towards saying that this is an option and would be viable  
25 and the others don't totally not unsupport it.

1 DR. SCHILSKY: So, you're prepared to?

2 DR. KROOK: So, I'm prepared to say that  
3 looking at the pivotal study, I see that at least it's  
4 similar with efficiency. I'm willing to say, as we've  
5 said, that it improves the lack of cardiotoxicity, and that  
6 the other two are somewhat supportive, although I see the  
7 survival problem.

8 DR. SCHILSKY: So, you'd be prepared to vote in  
9 favor of approval on the strength of one pivotal trial for  
10 which the primary efficacy endpoint is response rate.

11 DR. KROOK: You're going to ask for hands  
12 sooner or later.

13 (Laughter.)

14 DR. SCHILSKY: Dr. Lippman.

15 DR. LIPPMAN: I think that the concern is that  
16 the pivotal trial is in combination. If the pivotal trial  
17 had been single agent, you'd feel comfortable about that  
18 drug. I think Dave Johnson brought that up. So, that's  
19 one of the issues.

20 Of course, data in other trials could maybe not  
21 be supportive, but the fact that they're going in the wrong  
22 direction and very close to statistical worrisome levels is  
23 part of the issue. Your comment about the pivotal trial,  
24 the concern I have is that it's a combination.

25 DR. SCHILSKY: Dr. Nerenstone, a comment?

1 DR. NERENSTONE: I was just going to echo  
2 really what Dr. Lippman said. I think as somebody out in  
3 the community who is treating these women and you tend to  
4 use single agent treatment, the single agent study was not  
5 positive and, in fact, survival went in the same direction  
6 as the lack of response rate. That is, it didn't meet its  
7 primary goal, but also survival was in the wrong direction.  
8 So, that study is internally consistent, and that's the  
9 problem. So, I have a lot of concerns.

10 DR. SCHILSKY: I think we've had a good  
11 discussion. Why don't we go ahead and vote? I'll restate  
12 the question. Do you recommend approval of Evacet in  
13 combination with cyclophosphamide for the first-line  
14 treatment of metastatic breast cancer?

15 All who would vote yes, please raise your hand.

16 (A show of hands.)

17 DR. SCHILSKY: 2 yes.

18 All who would vote no?

19 (A show of hands.)

20 DR. SCHILSKY: 9 no.

21 Okay, that concludes today's session. Thank  
22 you very much.

23 DR. BEHRMAN: Dr. Schilsky?

24 DR. SCHILSKY: Yes.

25 DR. BEHRMAN: I know it's running late, but

1 | could we get just a little more guidance on this concept of  
2 | response rate as the endpoint? Is this something that the  
3 | committee was very unhappy about seeing, mixed feelings,  
4 | something you'd want to discuss at a later date?

5 | DR. WILLIAMS: Right. If you have a  
6 | discussion, it should be specifically about, say, liposomal  
7 | doxorubicin, not all the different possibilities.

8 | DR. BEHRMAN: In the setting where the compound  
9 | -- where it's the same molecular entity.

10 | DR. SCHILSKY: I think that's an important  
11 | question. We can take a few minutes to discuss it. Well,  
12 | Dr. Raghavan, do you want to start off?

13 | DR. RAGHAVAN: I'm very unhappy with that  
14 | concept.

15 | (Laughter.)

16 | DR. SCHILSKY: Dr. Johnson, anything to add?

17 | DR. DAVID JOHNSON: Well, probably for the  
18 | first and maybe the only time in my life, I agree with  
19 | Derek.

20 | (Laughter.)

21 | DR. SCHILSKY: I think I raised the issue  
22 | earlier today and I'm equally uncomfortable with having  
23 | selected response rate as the primary efficacy parameter  
24 | because even though we expect fairly high response rates in  
25 | breast cancer, particularly with an active drug like