

1 Those tentative breakpoints are then used in the
2 design and conduct of Phase III clinical trials which are
3 meant to support safety and efficacy, and it is in the
4 context of those Phase III clinical trials which collects
5 more extensive clinical efficacy data that the correlation
6 between clinical outcome and these tentative breakpoints are
7 developed.

8 That activity is done within the review process of
9 a new drug application at the FDA, so, in fact, during the
10 review of an antibiotic, we review not only the clinical
11 efficacy information, the basic pharmacology and toxicology,
12 but the microbiology package includes, in fact, the proposed
13 standardized assays, quality control information, as well as
14 the proposed breakpoints and all of the information that is
15 shown here to support setting those interpretive criteria.

16 The NCCLS is also an organization which reviews
17 similar sorts of information, often the same package of
18 information, and also develops interpretive criteria for new
19 drugs and organisms.

20 So, the information that is considered in
21 developing the interpretive criteria, setting these
22 categories of susceptible, intermediate, and resistant,
23 include the assay characteristics, population distributions
24 of MICs for the important pathogenic organisms.

25 This is usually based on in vitro information that

1 is collected on a large number of organisms, the example
2 that is given or proposed is 500 organisms, which represent
3 both geographic diversity around the country. They should
4 be recent clinical isolates relevant to the infections that
5 are being sought, and should represent not only susceptible
6 organisms, but if there is evidence on relevant resistant
7 mechanisms, those should also be represented in this sample
8 of microorganisms for which the distribution of MICs is
9 produced.

10 This is often presented in tabular form or most
11 conveniently in histograms, which it is very easy to then
12 determine what the overall population distribution of these
13 MICs are.

14 The third part of the equation are pharmacokinetic
15 and pharmacodynamic information. That includes not only
16 strict or simply blood levels or tissue levels, but also an
17 attempt to look at what are the important pharmacodynamic
18 correlates with a particular class of drug and organism.

19 For example, parameters such as time above MIC or
20 AUC to MIC ratio. Many of these are peak concentration to
21 MIC ratio, may, in fact, be important in predicting efficacy
22 of certain drugs. These have been worked out for different
23 classes of antibiotics. These factors are also considered
24 in determining what are likely achievable, not only blood
25 levels, but pharmacodynamic parameters, which are associated

1 rith predicted efficacy.

2 Finally, correlation of test results with clinical
3 outcomes. As I stated, proposed interpretive criteria are
4 developed in the course of drug development for antibiotics,
5 and these are incorporated into the Phase III clinical
6 trials, so that within an application, a sponsor may then
7 analyze baseline MICs for the particular isolated organisms
8 and then correlate that with clinical outcomes observed in
9 the Phase III trials.

10 These are usually broken down by organism and site
11 of infection to really get a sense of how not only the drug
12 is performing at a specific site of infection, but what the
13 correlation with the particular MIC and the site of
14 infection and the etiologic organism are.

15 [Slide.]

16 To finish up this section of my talk, I just put
17 up a list of organisms for which interpretive criteria have
18 been established, and this is from the most recent NCCLS
19 publication actually.

20 You can see that there are, in fact, a large
21 number of bacteria for which interpretive criteria have been
22 established. There are also clearly important pathogenic
23 organisms not on this list, for example, chlamydia
24 pneumonia, mycobacterium tuberculosis, to name just a few.

25 I think that the challenges in developing sort of

1 standardized test methodology and interpretive criteria
2 really harken back to some of the issues I have talked about
3 and some of the issues that this committee has been
4 grappling with in terms of developing standardized
5 methodology for HIV susceptibility testing.

6 [Slide.]

7 What I would like to move on to now is to touch on
8 a couple of these regulatory issues, and that is how is this
9 susceptibility information, once it is obtained in the
10 course of drug development, now included in the product
11 labeling, which is the source really of how we communicate
12 all of this information about the utility of this
13 information to the practitioner in produce labeling.

14 Importantly, it is also sort of the basis for a
15 product promotion, and in that sense, these two important
16 goals of providing accurate important information and
17 accurate information for product promotion are very
18 important, and need to be sort of integrated into how the
19 approach is taken.

20 [Slide.]

21 In 1992, the Division of Anti-Infective Drug
22 Products published a clinical points to consider document,
23 which was titled, "Clinical Development and Labeling of
24 Anti-Infective Drug Products."

25 It was quite a comprehensive document that dealt

1 with not only a number of general issues in the development
2 of antibiotics, but also had a number of sections that dealt
3 specifically with clinical trial design within specific
4 indications, and the level of evidence to support approval
5 of specific indications.

6 It provided a regulatory framework for the
7 development and product labeling of anti-infective drugs,
8 and while there are certain parts of that document that we
9 have more recently started to re-review, that is, specific
10 guidance in terms of clinical trial design, I think there
11 are some general parts of the document which are still
12 important to consider.

13 The overall goal of this document was specifically
14 related to reporting of susceptibility testing, and the
15 format of the Microbiology section was to eliminate
16 advertising or other promotion that implied greater
17 effectiveness of one compound versus other compounds based
18 solely on in vitro microbiologic data.

19 To that end, one of the sections recommended a
20 format for the Microbiology subsection. In it, it included
21 a description of testing methodology and interpretive
22 criteria and also a format for the listing of susceptible
23 microorganisms.

24 [Slide.]

25 In regard to the inclusion of susceptibility

1 information, the reason I am sort of laying this out is
2 because susceptibility is the converse of resistance, and
3 the approach in antibacterial labeling historically has
4 always been to label products for those infections due to
5 susceptible microorganisms.

6 As I said, at the end of my talk I will speak
7 briefly about the whole issue of specific resistance claims
8 and how that has come to the fore given recent sort of
9 clinical and scientific development.

10 Susceptibility information is included in two
11 parts. There is what is often called the first list or list
12 of organisms found specifically in the Indications and Usage
13 section. Specific wording in the label is that the drug has
14 been shown to be active against most strains of
15 microorganisms, both in vitro and in clinical infections as
16 described in the Indications and Usage section, and I will
17 speak more about this in a minute.

18 The second list of organisms are organisms for
19 which only in vitro data are available, and the specific
20 wording for their inclusion is drug X exhibits in vitro MIC
21 concentrations -- and this would be of a clinically relevant
22 susceptible breakpoint based on the interpretive criteria
23 set during the review -- or less against most greater than
24 equal to 90 percent strains of the following microorganism,
25 however, the safety and effectiveness of the drug in

1 treating infections due to these microorganisms has not been
2 established in adequate and well-controlled clinical trials.

3 [Slide. 1

4 In regard to the first list, the first lists again
5 are those organisms which are listed in the Indications and
6 Usage section, and for the purposes for this document, an
7 indication was defined as the treatment and/or prevention of
8 infections at a specific body site due to a specified
9 susceptible microorganism.

10 I think the important point I want to make here is
11 that the indication is supported by substantial evidence of
12 effectiveness from adequate and well controlled clinical
13 studies. So, there are clinical data contained within the
14 application which support not only the use of the activity
15 of the drug at the body site, but also for the specific
16 listed microorganism.

17 In general, organisms considered to be etiologic
18 agents in at least 10 percent of the specific infections
19 successfully treated within any particular indication may be
20 included in that list.

21 Now, clearly, there are review issues that relate
22 to this, but this is the general guideline that is provided
23 in the points to consider document. So, again, these are
24 organisms for which there are clinical data to support
25 effectiveness and safety.

1 [Slide.]

2 The second list or the in vitro list, a list of
3 criteria were developed to support their inclusion in that
4 list, again with the caveat that there were no clinical data
5 submitted in the application to support safety and
6 effectiveness.

7 At least 100 isolates of each microorganism would
8 be tested -- again, this is a general guideline -- that they
9 be geographically representative throughout the U.S., that
10 they be recent clinical isolates, represent clinically
11 relevant susceptible or resistant mechanisms.

12 They should be pathogens at body sites of
13 infections for which clinical effectiveness have been
14 established, and this links it to the approved clinical
15 indications for the product, and the mean MIC90 should be
16 equal to or less than the clinically susceptible breakpoint.

17 [Slide. 1

18 Finally, the point to consider document in the
19 Micro subsection also lays out the format for the
20 description of the test methodology, again describing
21 diffusion or dilution techniques, describe quality control
22 measures, provides the interpretive criteria, which came
23 from the FDA review, and also provide reference of NCCLS
24 methodology, if appropriate.

25 [Slide.]

1 That sort of lays out how within product
2 labeling's claims or descriptions of activity against
3 susceptible organisms are described. Now, more recently, we
4 have been reviewing or asked to review specific claims of
5 effectiveness for the treatment of infections due to
6 resistant organisms, and they may come in two forms - either
7 those based purely on in vitro information or that
8 information which may be collected in the course of the
9 clinical development of the product.

10 There are examples of labels which carry
11 information on in vitro activity for resistant organisms,
12 for example, penicillin resistant Strep pneumo or
13 methicillin resistant Staph aureus, which are included in
14 the second list or the in vitro list that I have described
15 within some product labeling.

16 Those will have had to fulfill the criteria that I
17 have already laid out in terms of inclusion in that list.

18 [Slide.]

19 The second are claims of clinical effectiveness
20 and where are we in terms of the quantity or the quality of
21 evidence and how much evidence do we require to support
22 claims of clinical evidence for the treatment of resistant
23 infections.

24 This is an issue that we have had two recent
25 advisory committee meetings on, and I think it is quite a

1 complex issue, and what I will do is sort of lay out some of
2 the considerations that have been discussed.

3 [Slide.]

4 This again just gets back to the idea that the
5 indication, that is, the treatment of infection at a
6 specified body site due to a specified microorganism, either
7 susceptible or resistant, say, PRSP, would be supported by
8 a substantial evidence of effectiveness from adequate and well
9 controlled clinical studies, and that in general the
10 organisms to be considered in at least 10 percent of the
11 specific infections successfully treated may be included in
12 the list.

13 [Slide.]

14 I think the general framework that we have
15 developed in terms of thinking about the types of evidence
16 we would like to see to support claims of effectiveness in
17 these situations are laid out in the next two slides.

18 That is, there should be data on activity in vitro
19 against both susceptible and resistant strains of the
20 organism, and that there be an exploration of the relevance
21 of the mechanism of resistance to the mechanism of action of
22 the drug.

23 For example, if a sponsor is developing a product
24 for penicillin resistant Strep pneumo, and the drug is a
25 quinilone, in fact, the relationship of the resistance to

1 penicillin may or may not be relevant to the site of
2 activity of the drug. Whether or not that bears on the
3 observed clinical activity of the product is something that
4 needs to be explored and developed throughout the product
5 development of that product, and that can be done in a
6 number of ways.

7 One is to look at activity in animal model
8 systems, again, developing data on activity against
9 susceptible and resistant strains in vitro, and also
10 something that was alluded to in the discussion of HIV
11 resistant strains is that information on whether resistant
12 strains behaved differently than susceptible strains, that
13 is, are resistant strains more or less virulent, and this
14 could either be in animal models or is there any clinical
15 evidence that they either are more virulent or respond
16 differently to therapy.

17 Finally, the clinical information that provides a
18 framework for assessing whether or not a product has
19 demonstrated clinical effectiveness for the treatment of a
20 resistant organism includes effectiveness of the product for
21 the treatment of infections at a particular body site, for
22 example, if you are developing a product for the treatment
23 of penicillin resistant Strep pneumo, we need to know, in
24 fact, that the product is effective for the treatment of
25 pneumonia, that is, it fulfills all the

1 pharmacokinetic/pharmacodynamic characteristics that are
2 requisite of such an agent.

3 Secondly, it is effective for the treatment of
4 susceptible strain to the organism. That tells us something
5 about in general the activity of that product against that
6 genera and species of microorganism.

7 Thirdly, that there is some clinical data that
8 speaks to the effectiveness of the treatment for the
9 resistant organism in question.

10 [Slide.]

11 One of the questions, sort of a central question
12 is how much clinical information is necessary for us to draw
13 a conclusion, in fact, that effectiveness has been
14 demonstrated.

15 Again, these considerations relate to the type of
16 evidence that I have spelled out in the previous slide, that
17 we would like to see a sponsor develop in the course of
18 seeking one of these indications, that is, how related is
19 the mechanism of resistance and the mechanism of action of
20 the drug, what do the in vitro and in vivo data tell us on
21 the relative activity of the drug against susceptible and
22 resistant strains.

23 Finally, this issue of biologic behavior, is there
24 any evidence that the resistant strains are more or less
25 pathogenic than susceptible strains or are they more or less

1 likely to respond to therapy.

2 All of that speaks to how readily we can
3 extrapolate from the large body of evidence that may be
4 available on activity against susceptible strains to the
5 more difficult to collect activity on resistant strains.

6 That is my last slide. I will stop there.

7 DR. HAMMER: Thank you very much.

8 Are there questions from the committee?

9 I have one or two questions. The data and the
10 history of bacterial infections and the in vitro activity
11 and clinical effectiveness are in a very important
12 framework, and we have had the greatest history for that,
13 but much of that clinical testing except for diseases like
14 enterococcal and endocarditis and other special
15 circumstances can be a single identified organism and a
16 single identified antibiotic, so the clinical outcome issues
17 are often easier as far as clinical outcome and
18 microbiologic outcome.

19 I think one of the things we have been wrestling
20 with, of course, is we can potentially deal with the in
21 vitro aspects, but the combination therapy aspects and the
22 clinical outcome issues are more problematic.

23 Do you have any comments on that as far as
24 labeling issues? I can actually foresee that there may be
25 for anti-HIV drugs now a resistance section within the label

1 that gives an in vitro description, not too dissimilar from
2 what we see for antibacterial agents, and then there can be
3 the clinical data, whatever it might be, in the clinical
4 description aspect of it.

5 But do you have any comments on that, because this
6 leap is a big leap as far as the clinical effectiveness side
7 of it?

8 DR. CHIKAMI: Yes, I guess in general in the
9 development of most antibiotics, we don't run into that
10 problem. In the area in which there is most experience is
11 an area that I really don't know well, and that is anti-
12 tubercular therapy.

13 That is where I would look for most of the
14 analogies, so I can't answer that question.

15 DR. HAMMER: The other question I would have --
16 and maybe Dr. Wong wants to comment on this -- when you
17 think about other microbiologic categories, susceptibility
18 testing, a lot of research, and a lot off activity, attempts
19 at standardization, but still at least for the non-
20 mycologist, still confusion is the area of antifungal
21 therapy, and what progress is being made there.

22 Does that give us hope that other groups of
23 organisms besides bacteria that we will be able to bring
24 rational issues of susceptibility testing and to standards
25 to testing and labeling? Maybe Dr. Wong wants to comment.

1 DR. WONG: Well, I mean antifungal susceptibility
2 testing has been standardized, but the extent to which the
3 standardized results have been related to clinical outcomes
4 is really minimal.

5 So, in some respects, you know, the situation is
6 similar to what we see with HIV and that we now have
7 techniques that appear to give reproducible results, but the
8 relationship between the results of those susceptibility
9 tests and clinical outcomes is just in its very early
10 development of analysis.

11 So, I don't know that we can draw any conclusions
12 or analogies from the antifungal field at present.

13 DR. HAMMER: But we can take comfort.

14 DR. WONG: Right, we are not alone in not knowing
15 exactly how to interpret the results.

16 DR. HAMMER: Thank you. Dr. Yogev.

17 DR. YOGEV: I think if you want to stay in the
18 bacterial area, we need to look into the enterococcus as an
19 example of a combination with so-called resistant glycoside
20 and yet the combination with ampicillin wouldn't work, so
21 maybe you have to look in the other one which I never saw
22 any agency regulation is the immunocompromise, because there
23 we have a lot of other committees, they will work together
24 to try to decide which triple combination is better or dual
25 combination in this population, and I think that is where

--

1 the bacteria can help us, if at all.

2 DR. HAMMER: Dr. Masur.

3 DR. MASUR: One of the things that I am not clear
4 about is what the relationship of the FDA is to a group like
5 NCCLS. If you are talking about establishing breakpoints or
6 other laboratory parameters, I guess it is desirable to have
7 an independent respected group which is establishing that
8 for you, but is the proposal that the FDA would determine
9 what appropriate breakpoints and techniques would be or
10 would they defer this to some other organization, and if so,
11 what other standard would you accept, would you accept what
12 the commercial assays are telling you, because obviously, we
13 spend a lot of time talking about whether those are based in
14 solid data or not.

15 In other words, if you are saying you want to
16 compare something to a breakpoint, whose breakpoint are you
17 going to use?

18 DR. CHIKAMI: In regard to antibacterials, the
19 current status is that the FDA, in the course of their
20 review of a new drug application, will review the proposed
21 methodology, which may have also undergone NCCLS review
22 independently, and we will review the data to support
23 setting the interpretive criteria.

24 Again, the NCCLS -- and we have representatives on
25 the committee of the NCCLS that does set those interpretive

1 criteria -- will again do that independently.

2 DR. MASUR: Does that mean that the NCCLS might
3 consider taking this project up? This would be quite an
4 undertaking.

5 DR. HAMMER: I think Dr. Charache might be able to
6 help us here.

7 DR. CHARACHE: I refuse to speak to the NCCLS, but
8 I can perhaps add some information.

9 DR. HAMMER: That doesn't stop us from inferring,
10 so please go ahead.

11 DR. CHARACHE: I have noticed that. It is
12 wonderful. We can also give comfort to it is not only fungi
13 that has standardized assay, which has to be interpreted in
14 terms of what it means clinically, but also mycobacteria, as
15 well, and the viral group is well underway now in terms of
16 getting into the same pickle.

17 But I think the key concept here has been to
18 develop an assay in which the answers always mean the same
19 thing. This is like you have got a swamp, you drop piles
20 down, you build a platform, and then you go from there to
21 see what the relationship is between a given number and what
22 happens clinically.

23 I do think that in terms of who sets the
24 breakpoints now, the manufacturers come to the NCCLS before
25 they start their clinical trials, and at that point, present

1 data which is based primarily on pharmacology and
2 microbiology, which proposes what the breakpoints will be
3 for that clinical trial, just as a starting place, so they
4 can have a legitimate clinical trial based on some
5 information on susceptibility and resistance.

6 The NCCLS approves or modifies the proposed
7 breakpoints at that stage. The clinical trials are run, and
8 then they come back to the NCCLS, and the final breakpoints
9 are decided based in part on the clinical information that
10 is produced, as well as the advanced pharmacological data.

11 The original breakpoints are set by having no
12 fewer than five laboratories do the testing on the same
13 clinical isolates, as well as different isolates to
14 determine reproducibility and standardization.

15 So, it is not done in a single laboratory, and
16 that is a very important point. It is done in multiple
17 labs.

18 After the breakpoints are selected, every effort
19 is made to have concordance between the FDA breakpoints and
20 the NCCLS breakpoints. Increasingly, this has been
21 accomplished. There are a few of the older drugs in which
22 they are not the same, which presents major problems to drug
23 manufacturers and equipment manufacturers, if they have two
24 different numbers they have to worry about.

25 So, I think the overall process is one which it

1 probably is a good idea to think about right now for the
2 viral testing and how these are set up in terms of
3 considering your breakpoints, which address pharmacologic,
4 as well as performance standards, and in which the reference
5 viruses that are used to determine your IC50s are the same
6 in different laboratories.

7 So, the IC50 numbers mean the same thing. Right
8 now they can mean very different things as a function of how
9 the method has been set up.

10 I think the NCCLS could be very helpful in helping
11 to work out some of these things because they put a lot of
12 thought into it over time. I should mention that that group
13 is made up, one-third of industry, one-third academics, and
14 one-third government, and the government does include the
15 FDA and sometimes other groups that classify as government
16 according to their scheme.

17 So, it has developed competency in a number of
18 areas. Now, I think in terms of what we are seeing here,
19 step one is to get some standardization. It doesn't
20 necessarily mean that every company would have to use the
21 same standard approach. It means they have to be able to
22 translate it from one to another and say this is number
23 means something that will have some basis in which you can
24 talk the same language.

25 DR. HAMMER: Great. Thank you very much.

1 Mr. Harrington.

2 MR. HARRINGTON: I had a question about the FDA.
3 How many patients you require in the resistance dataset as
4 compared to the approval dataset? Is there a standard
5 amount of clinical data on numbers of patients with
6 resistant organism treated with the agent in order to get
7 approval, and do you need statistical significance in that
8 group or do you just sort of use a subjective judgment that
9 you have seen enough patients to put it in?

10 DR. CHIKAMI: I think it depends on the context,
11 which are some of the considerations that I laid out in my
12 last slide. It depends on the context of the overall drug
13 development. For some of the development programs that have
14 been targeted specifically at resistant organisms, in fact,
15 clinical trials have been targeted toward again enrolling
16 patients with resistant pathogens.

17 Those are, of course, adequate and well controlled
18 statistically powered studies. In other settings where you
19 are looking at collecting efficacy data on resistant
20 isolates in the course of a broader clinical trial, say, for
21 example, community acquired pneumonia, in fact, the evidence
22 may be much smaller.

23 There again, you would have to make a judgment
24 based on again the overall context of the product in terms
25 of its activity both at the site of infection and its

1 activity demonstrated against susceptible organisms which,
2 by and large, are much more common as etiologic agents.

3 DR. HAMMER: Thank you.

4 Any other questions or comments?

5 Dr. Yogev.

6 DR. YOGEV: I think one of the dangers to accept
7 the bacteriology as a model for what we are doing is we are
8 dealing with a different disease, which is systemic, and not
9 organ oriented, which most bacteria area.

10 One of the problems I had in the past with this
11 issue of pharmacological versus MIC, they are related to
12 what is achievable in the blood, and the best example is
13 Keflex for years was thought to be very good for otitis
14 media, to find out that it hardly penetrated over there to
15 get that ratio.

16 So, while we are considering what parameters to
17 use, we should not forget the CNS and other tissue what this
18 virus is, and most of the bacteria are not.

19 DR. RAMMER: Agreed. I think this example is just
20 the area that has been most well standardized for us to see
21 how far off we are, and the pathogenesis of the disease puts
22 us even further off than just the technical aspects of
23 things

24 Thank you very much.

25 I am sorry, Dr. Jackson.

1 DR. JACKSON: Just one question. In terms of just
2 practically speaking, for a given bacteria, **say,**
3 pneumococcus or any other one, in terms of calculating the
4 mean MIC90s, is there a standardized panel of isolates that
5 is used in this area that is given to the drug companies?

6 DR. CHIKAMI: You mean as quality control?

7 DR. JACKSON: If you wanted, like Dr. Charache was
8 talking about, if you want to talk about this is the MIC90,
9 whether it is between laboratories or looking at different
10 drugs, is there some sort of standard panel that has been
11 used to define what the MIC90 is?

12 DR. CHIKAMI: There are quality controlled
13 organisms set that with known susceptibility MICs to answer
14 that specific question. In the course of development of a
15 product, of course, we would also expect to see its activity
16 or MICs calculated or tested against clinically relevant
17 isolates, as well. So, it is the combination of two that
18 allows us to look at the activity of a new product.

19 DR. HAMMER: I think we do need to move on. Thank
20 you very much.

21 Dr. Jeff Murray will now talk to us about the
22 regulatory issues related to HIV drug resistance testing and
23 drug development.

24 Use of HIV **Resistance Testing in**
25 **Drug Development: Regulatory Issues**

1 **Jeffrey Murray, M.D.**

2 DR. MURRAY: I hope to just set the stage really
3 for this afternoon's discussion and the regulatory
4 scenarios.

5 [Slide. 1

6 I want to briefly comment on what I think the role
7 of HIV resistance testing is from our Division's
8 perspective, again reiterate what our objectives are for
9 Session 4, briefly discuss what I think the limitations of
10 resistance testing are so far based on what we have heard in
11 this meeting, what the regulatory use of HIV resistance
12 testing has been in the past and how it is currently being
13 used, talk about some proposed uses of resistance testing in
14 clinical trials, and then introduce the regulatory
15 scenarios.

16 [Slide.]

17 First, some possible products of this meeting I
18 think would be hopefully publication of the proceedings, and
19 I think the Resistance Collaborative Group have talked about
20 'doing this, and this will be very good to disseminate what
21 we have heard here beyond Holiday Inn in Gaithersburg.

22 Also, if possible, to develop or start to develop
23 an FDA guidance document or something written that can be
24 disseminated for public comment on the use of HIV resistance
25 testing in drug development, and then also I think that

1 there are maybe some possible research initiatives, so that
2 we will be able to share from this meeting with other
3 agencies, maybe relating to quality control studies that
4 could be accomplished through NIH through DAIDS Virology
5 grant or also, as was just referred to, a committee or some
6 mechanism for developing mutational algorithms for setting
7 breakpoints, and I think we have already got some leads on
8 that, and then also some surveillance initiatives that CDC
9 may have interest in.

10 [Slide.]

11 So, the role of resistance testing I think, from
12 the Division's perspective is really to provide useful
13 information to clinics, clinicians and patients, and have
14 scientifically sound labeling, which would then mean
15 scientifically sound promotion, labeling being the basis for
16 promotion.

17 To potentially improve clinical trial designs both
18 in treatment experienced patients and salvage, I think is
19 where resistance testing could be helpful, possibly to
20 enrich study populations for patients likely to respond, and
21 we are just very keenly interested in surveillance issues to
22 characterize a drug's activity postmarketing, and of course
23 monitoring the transmission of drug resistant HIV.

24 [Slide.]

25 What we don't think of resistance testing doing is

1 serving as -- not being a primary efficacy endpoint, and I
2 think HIV-RNA together with CD4 or clinical progression are
3 the accepted efficacy endpoints, but they may help to
4 establish a niche support for accelerated approval and
5 indication, and they are likely to influence drug
6 development strategies in all phases of drug development.

7 [Slide.]

8 Some considerations is that compared to HIV-RNA
9 testing -- the Resistance Collaborative Group was kind of
10 modeled after the Surrogate Marker Working Group -- HIV
11 resistance testing is more drug-dependent, much like
12 concentration monitoring, monitoring of therapeutic drug
13 concentrations, and so mutational algorithms and breakpoints
14 will need to be revised for each new drug approval and
15 updated postmarketing, and, you know, one size doesn't fit
16 all, one breakpoint is not going to fit all drugs. It is
17 going to be very drug-dependent.

18 Efficient use of a way of developing this would be
19 to do it, of course, during drug development, and to
20 characterize the clinical relevance of genotypic and
21 phenotypic susceptibility throughout all stages.

22 I think if we could do this as each drug comes
23 out, much like anti-infectives do, it would be a more
24 efficient way of doing it. Right now I think we have to
25 play some catch-up with the 12 or 13 drugs that are already

1 out there.

2 [Slide.]

3 So, the objectives for this afternoon really are
4 to obtain guidance on the amount and type of resistance data
5 that should be expected of sponsors during drug development,
6 for an NDA, and postmarketing.

7 How this data can be used in product labeling and
8 indications, and how we can start using resistance testing
9 in clinical trial designs or considering the impact of
10 resistance testing if it is done independently by
11 investigators.

12 [Slide.]

13 It looks like the limitations of resistance
14 testing for HIV so far. There is still some question about
15 the reliability of the assays, there is assay factors,
16 clinical factors as we discussed earlier this morning,
17 difficulty in defining resistance, and then other
18 confounding factors in interpreting the analysis, and also
19 the feasibility of real-time use of resistance testing in
20 clinical trial will need to be discussed.

21 [Slide.]

22 So far, how have we used resistance testing, HIV
23 resistance testing? It does appear in the labeling, and it
24 appears prominently in some labels, in Warning sections, box
25 warnings even in the Microbiology section, even in the

1 Clinical section in at least one label.

2 There have been proposals to use it for treatment
3 indications, and I think the example from Monday for
4 adefovir was a current proposal for that. There has been at
5 least one case, been an approvability issue, and that for
6 saquinavir and the Invirase formulation.

7 Then, it is currently, of course, being used to
8 support clinical development.

9 [Slide.]

10 In labeling so far, I think the emphasis of the
11 Division has been to include things in the label that
12 emphasize high level resistance that leads to class cross-
13 resistance, that jeopardizes the use of other drugs, drugs
14 of the same class.

15 The Division has been somewhat reluctant to
16 include statements describing lack of resistance, and that
17 is because of recognizing the current technological
18 limitations, the presence of resistance is more useful
19 information than the absence of detectable resistance.

20 So, it seems like a double standard, but I think
21 it is really the limitations of the data. In all of the
22 non-nucleoside reverse transcriptase inhibitors, there is a
23 warning regarding resistance, and nevirapine, not to pick on
24 any one drug, but since it was the first approved, I will
25 read what its warning is on the label regard resistance.

1 "Resistant virus emerges rapidly and uniformly
2 when Viramune is administered as monotherapy. Therefore
3 Viramune should always be administered in combination with
4 antiretroviral agents."

5 [Slide.]

6 This was based on really pooled data from Phase
7 I/II studies using nevirapine as monotherapy. The database
8 was about 24 patients in which 100 percent had a greater
9 than 100-fold decrease in phenotypic susceptibility at 8
10 weeks. All of these patients had one or more predicted RT
11 mutations, 80 percent of them had it at 181. It was
12 biologically plausible because this mutation was near the RT
13 binding site.

14 [Slide.]

15 Resistance labeling has been used to describe
16 really appropriate use of the drug, so as far as things that
17 have been in the label regarding what the frequency of
18 resistance would be, it has been described to encourage
19 proper dosing and use of combination therapy as would occur
20 in the Crixivan label, and it has not been used to date to
21 emphasize that resistance develops more slowly with one drug
22 compared to another.

23 [Slide.]

24 In the current indinavir label, there is a table
25 that describes the frequency of resistance at 24 weeks --

1 this data is pulled from two studies -- showing that the
2 frequency of resistance to indinavir is less if you take the
3 appropriate dose, 2.4 grams per day versus less than 2.4
4 grams per day, or if it is used in combination with AZT.

5 We included this in the label because it hopefully
6 promotes good use of the drug.

7 [Slide. 1

8 Treatment indications. The most recent example,
9 of course, probably the only true example is adefovir where
10 the sponsor has actually requested an indication. In this
11 case, it was in a treatment experienced, nuke-experienced
12 patients. It was based on the sponsor's interpretation of
13 resistant subgroup analysis in patients with high level AZT
14 and 3TC resistance.

15 Currently, there is no such indication in the
16 label. Previous labels, you might note that there have been
17 labels where there has been indication for treatment,
18 experienced individuals, but it really wasn't based on
19 resistance testing. It is sort of by default, like the
20 previous labels, for Zerit and Hivid.

21 It was more so because the data didn't support
22 first-line treatment, not because of the study result
23 suggested exceptional activity in experienced patients or
24 patients who were necessarily identified as having
25 resistance to another drug of the same class, and the

1 studies in these cases were done in treatment experienced to
2 fulfill the intent of accelerated approval.

3 [Slide. 1

4 As far as approvability issues, I think with
5 saquinavir, the issue of resistance came up as a possible
6 approvability issues. As you know, Invirase was the first
7 protease inhibitor on the market, but suffers from poor
8 bioavailability of about 4 percent, and a concern at the
9 time of approval was what the effect of Invirase would be on
10 the use of subsequent protease inhibitors, that is, after
11 virologic failure, with Invirase, would patients still be
12 able to derive benefit from subsequent PIs, and this
13 question was the impetus for conducting study AZTG-333,
14 which you heard about yesterday, where Invirase was followed
15 by indinavir.

16 I think at the time of the approval, we were
17 somewhat comforted by something that really didn't translate
18 clinically. The resistance data that was in the NDA
19 suggested that saquinavir selected for mutations 90, NR-48
20 in both in vitro and clinical isolates, and it looked like
21 there was incomplete overlap with mutations selected by
22 other protease inhibitors being developed at the time. That
23 would be ritonavir and indinavir, which were selected for
24 mutations, such as 82 and 84 and 54.

25 In the back of I think a lot of people's minds was

1 a concern regarding other polymorphisms, so that kind of
2 based on this kind of data, you know, Invirase was approved
3 with a label precaution, which is also now included in other
4 protease inhibitor labels.

5 [Slide.]

6 It states, under Precautions, **Resistance/Cross-**
7 **resistance**, "The potential for HIV cross-resistance between
8 protease inhibitors has not been fully explored. Therefore,
9 it is unknown what effect (drug name) will have on the
10 activity of subsequent protease inhibitors."

11 [Slide.]

12 I think maybe what we learned from this example is
13 that non-overlap of mutations selected by a particular drug
14 does not always predict lack of clinical cross-resistance.
15 In fact, the L90 mutation appears to decrease clinical
16 responsiveness to most all of the approved protease
17 inhibitors including ritonavir, indinavir, and nelfinavir,
18 and the L90M mutation was included in the DAP algorithm for
19 the approved protease inhibitors.

20 [Slide.]

21 As far as use in clinical trial design,
22 preclinically, the sponsors have sometimes considered
23 resistance testing to possibly support a duration of
24 monotherapy.

25 As you are aware, HIV drugs are sometimes studied

1 For short periods as monotherapy, maybe up from 1 to 3
2 weeks, and perhaps if your preclinical program showed a high
3 Level resistance to a single mutation, maybe a study as
4 monotherapy would not be a wise thing to do.

5 So, resistance testing might even influence the
6 design of the first clinical trial in HIV infected patients.

7 It could also be used for inclusion and exclusion
8 criteria, and I think there is some thorny issues here,
9 which has already been brought up by the committee. You
10 could exclude patients with mutations to enrich patients who
11 are likely to respond.

12 You could include patients with mutations to
13 evaluate drug against resistant virus, and then you could
14 also use resistance testing for protocol management
15 criteria, choice of drugs or concomitant medications after
16 virologic failures.

17 [Slide.]

18 Some other problems with resistance testing in
19 trials, some possible limitations. Can they be conducted in
20 real time? Are the assays -- there is a consensus that the
21 assays are reliable enough to start using them as inclusion
22 and exclusion criteria. To exclude patients from
23 participation would be probably a big step.

24 Then, would ad lib use by investigators,
25 clinicians of resistance testing in open-label trials, could

1 this lead to bias in that investigators might use resistance
2 testing to choose part of the regimen, and this could be
3 applied differentially across treatment arms.

4 We already seen differences in GART, VIRADEP, and
5 other 3001 studies, so how resistance testing is applied
6 across treatment arms, whether we choose to include it in
7 trials or not, it is still, I think, a force that we are
8 going to have to deal with.

9 [Slide.]

10 There are four basic questions to this session,
11 and I think after lunch, we are going to try to address
12 these questions using examples, regulatory scenarios which
13 sometimes a specific example I think can help to tease out
14 the issues better, but the basic questions are we want the
15 committee to comment on the amount and type of data needed
16 to support a clinical development program, support and
17 initiate a clinical drug development program, the amount of
18 data needed to claim activity against resistance isolates,
19 and to profile a drug's potential for inducing resistance or
20 cross-resistance within a class, and also then to comment on
21 the amount and type of data that sponsors should be
22 collecting postmarketing since, as new drugs are released to
23 the market, will have to be continuously updated.

24 [Slide.]

25 We have four regulatory scenarios that you will

1 hear after lunch. Each has its own set of questions, and we
2 hope that they will be able to help the committee to address
3 the rather tough questions we have under Session 4.

4 DR. HAMMER: Thank you very much.

5 Are there questions for Dr. Murray or comments?

6 The only comment I would make is to emphasize --
7 and I will get to this in the afternoon discussion -- that
8 refraining from any label indication about a diminished
9 potential to engender cross-resistance, that caution should
10 remain because I think for all the reasons you stated and as
11 we develop more knowledge, there are direct and indirect
12 mechanisms for cross-resistance, and would be potentially
13 quite harmful to take a step, giving a label indication for
14 lack of cross-resistance engenderment without a huge dataset
15 to support that.

16 Questions?

17 Okay. This was an introduction to this afternoon.

18 We are on time. We will break and return at 1 o'clock.

19 Thank you.

20 [Whereupon, at 11:59 a.m., the proceedings were
21 recessed, to be resumed at 1:00 p.m.]

AFTERNOON PROCEEDINGS

[1:00 p.m.]

DR. HAMMER: I would like to call the session back to order.

Open Public Hearing

DR. HAMMER: The first order of business this afternoon is the open public hearing. There are three individuals who have signed up in advance. I would ask that those individuals who come up to speak, please identify themselves, their organization for the record. Also, if you have not signed up, but have a statement you wish to make, you will be permitted to do so.

I would also ask anyone who speaks to limit their comments to under five minutes if at all possible. We have a lot to do this afternoon and need to accelerate the schedule a bit.

With that, the first individual signed up for the open public hearing is Dr. Clyde Crumpacker from Harvard Medical School.

Clyde.

DR. CRUMPACKER: Thank you, Scott.

My name is Clyde Crumpacker. I am at Harvard Medical School and Beth Israel Deaconess Medical Center. I paid my own way to this meeting. I am not being sponsored by anybody.

1 I just wanted to make just some very brief
2 comments about what I think is where we are with trying to
3 get accurate ways of measuring resistance and sort of where
4 we have been.

5 I think the whole effort to develop measures of
6 resistance to antivirals has been a very difficult one.
7 There are only two viruses and two drugs that we have
8 resistance data on that I think is clinically significant.
9 That is HSV with acyclovir and AZT with HIV.

10 I think the clinical significance of resistance of
11 HIV to AZT, I think was established through a collaborative
12 working effort of six AZTG labs and the Department of
13 Defense to use a PBMC-based assay, which we struggled a long
14 time to develop, because we could then measure every virus
15 that we could grow out of a patient.

16 I think we are unlikely to ever be able to repeat
17 this with that same degree of rigor because of the onset of
18 combination therapy complicating everything we do, but I
19 think we were able to show that a high level of resistance
20 to AZT, measured by 1 micromolar or more, did predict more
21 rapid acceleration to death in a statistically significant
22 way, a moderate level of resistance like 0.2 was not
23 associated with progression.

24 So, I think it was a useful exercise and
25 established for the first time that resistance of AZT and

1 IIV was bad.

2 I think that some of the things we learned may
3 still be useful for this current era. I think
4 standardization of a panel of clinical isolates is
5 essential, and we have heard about this several times during
6 this meeting, and I would just like to repeat it, that a
7 baseline and follow-up data on a new drug is really I think
8 key, and just to point out historically that Larder and
9 Kemp, with only six pairs, were able to identify 80 percent
10 of the AZT mutations - 67, 72, 9, and 15, and with one more,
11 11, they eventually described 90 percent of them.

12 So, pairs are crucial in patients who are taking
13 multiple drugs. I think the new recombinant viral assays
14 being so attractive because they can be done rapidly, I
15 think that it is going to be still important to try to
16 compare some standard panels.

17 I think the current dilemma about NNRTI resistance
18 being measured by Virologic and Virco might be able to be
19 clarified by using a standardized or a different PBMC-based
20 assay perhaps and comparing that to the data that they are
21 getting, as was suggested by Roger Pomerantz this morning.

22 The other thing I would like to address is the
23 question of viral fitness, and I think we have heard about
24 this in several contexts this morning, but I think we are
25 still at a very early stage in trying to define what fitness

1 means, how to measure it.

2 I think a definition of fitness as a measure of
3 the ability of a virus resistant mutant to result in a virus
4 which replicates less efficiently might be a useful one in
5 this context, and I think John Coffin pointed out, I think
6 very elegantly, that resistant mutations may confer a
7 difficulty or a less replication advantage to a virus
8 compared to wild type.

9 Our lab in Boston has recently shown that the 74V
10 mutations selected by ddI and the 184V mutation selected by
11 3TC will result in a virus which has a less processive
12 reverse transcriptase. So, the decreased growth that occurs
13 with these mutations, I think now has as biochemical
14 confirmation, that the altered enzyme is biochemically
15 different, and I think this could provide a way then to
16 understand how drugs that are going to be developed to work
17 on resistance virus might definitely be generated.

18 I think companies can help their case by trying to
19 define biochemical alterations associated with resistance
20 mutations to their drugs, because I think, as we heard
21 earlier from the FDA, understanding mechanisms of resistance
22 is a very powerful argument that a drug might be useful.

23 I think the best example that we have of this so
24 far, as we have heard earlier, as well, is the case of
25 adefovir producing a greater decrease in viral load on those

1 viruses that have a 184 mutation.

2 I think the work of Michael Miller and others to
3 define this is the best example we have so far of a new drug
4 that might have a niche really against resistant viruses.

5 So, I think the more we can understand what the
6 virologic consequences on the replicative enzymes that
7 viruses need to replicate are of drug mutations, I think the
8 faster this process can go forward.

9 Thank you.

10 DR. HAMMER: Thank you very much, Clyde.

11 The next speaker is Francois Houyez. Is he here?

12 [No response.]

13 DR. HAMMER: The third speaker then signed up is
14 Brendan Larder.

15 DR. LARDER: I am Brendan Larder from Virco.

16 This is kind of a last-minute thing. I just put a
17 few slides together to really talk about drug profiling,
18 preclinical drug profiling, because some things have been
19 discussed about profiling so far, but a bit like resistance
20 testing, it is already here, we are already doing it, and I
21 thought I would like to just go through a few issues and
22 show a bit of data just to try and put it into context, and
23 then address some questions in a few minutes time.

24 [Slide. 1

25 The background, I think we all know about the term

1 new, new antiretroviral is now being developed in the
2 backdrop of extensive resistance. In some cases we are
3 seeing, when we survey thousands or tens of thousands of
4 samples, 50 percent of those or nearly 50 percent of it, 215
5 mutation or 184 mutation, et cetera.

6 So, it is very obvious, and I think it was obvious
7 from the discussions on Monday amongst the panel, that drugs
8 that are being developed now are needed in salvage therapy
9 to inhibit resistant strains. So, it is very clear that the
10 determination of cross-resistance profiles as new inhibitors
11 prior to clinical evaluation is very important.

12 [Slide.]

13 There are obviously a number of issues, and these
14 are all the issues that we are grappling with in the middle
15 of actually doing these studies. So, firstly, how should we
16 choose samples to actually study and from which pool should
17 we derive those samples? How many samples should we
18 analyze, how are they selected? Which assay should be used
19 to actually analyze them? How should the data be analyzed,
20 presented, and interpreted?

21 [Slide.]

22 Just as an example of some of the work that we
23 have done with profiling, we have profiled really quite a
24 lot of drugs now to a greater and lesser extent. I think a
25 primary example is this good one, and maybe can serve as

1 some sort of model for how these things could be done in the
2 future.

3 I guess, as many people know, tipranavir is a
4 protease inhibitor that had a suspected novel resistance
5 profile. [Inaudible] came to us to try and see if we would
6 profile using our database to look at a large number of
7 isolates to see if this suspicion was borne out, the
8 activity to tipranavir against a diverse selection of PI
9 resistant clinical isolates was warranted.

10 [Slide.]

11 This is how we went about sample selection. We
12 looked for recent samples from our pheno/geno database,
13 which currently has in excess of 35,000 samples and with a
14 whole gamut of phenotypes.

15 So, we made selection actually based on the
16 phenotype, but not on the genotype, which I think is quite
17 important. We picked out the first, I think 100 or so
18 samples that were broadly PI cross-resistant, and we defined
19 that. This was just before tipranavir was approved, so we
20 didn't include tipranavir, we didn't have the data. So,
21 broadly resistant, cross-resistant, was resistant to 304 of
22 the current MPIs at that time.

23 We also wanted to look at resistance to samples
24 that were resistant only to one of the PIs, saquinavir,
25 nelfinavir, ritonavir. We couldn't find any samples that

1 were resistant only to indinavir.

2 Obviously, all of the recombinant viruses, because
3 we used the antiviral method, was sequenced, ABI
4 Technologies confirmed the genotype.

5 [Slide.]

6 This is how we presented the data. First, the
7 population data. I am just going to concentrate with the
8 two data slides on the highly cross-resistant sample, that
9 sample of 105.

10 The top part of this panel shows the prevalence of
11 mutations in the protease, and it shows really what you
12 would expect from protease inhibitor resistant sample, and
13 shows kind of a balance. It was about 40 percent, 82, 84,
14 something like 70, 80 percent, 90, some 48, and then a whole
15 range of different polymorphisms or secondary compensation
16 mutations.

17 If you look at the composite or the mean fold
18 resistance of all those isolates to the drugs, this is what
19 we found, so this confirms what we pulled out of the
20 database, indinavir, ritonavir, nelfinavir, saquinavir, the
21 mean fold resistance or increased IC50 was at least 40-fold,
22 it was somewhere between 80 and 90-fold for ritonavir.

23 The mean fold resistance for tipranavir was 2-
24 fold. So, this is really quite impressive and was quite
25 nice to see in terms of trying to develop a drug that was

1 active against these viruses.

2 [Slide. 1

3 What I show is really all of the data. This is an
4 easy way of looking at it. So, what we did here was to line
5 up the fold increase of IC50 going from the most resistant,
6 tipranavir isolate to the most sensitive, and in this case,
7 some hypersensitivity, again, the fold increase in
8 resistance to indinavir, ritonavir, saquinavir, sample by
9 sample. These boxes have got numbers in them, you don't see
10 them, but if you just look at the colors, then, the color
11 coding is the kind of coding we have been talking about over
12 the past couple of days, green being less than 4-fold
13 increase, in IC50, the yellow, between 4 and 10, and the
14 red, greater than 10.

15 So, obviously, the first thing that is striking is
16 that most of these isolates that we picked out are resistant
17 to most of the PIs at a high level greater than 10-fold. I
18 can see some of the numbers from here. This sample is 105-
19 fold for indinavir, 90 for ritonavir, et cetera.

20 The other striking thing, by lining up the samples
21 like this, you can see there is a large degree of lack of
22 cross-resistance, tipranavir to the other drugs.

23 So, we can immediately now quantitate this with a
24 fair sample size, say 95 of the samples are sensitive 8, and
25 this is where the percentage is now, intermediates, and only

1 two showed any sort of resistance.

2 So, we have some quantifiable number that we can
3 put on it with a panel of isolates that we have now defined
4 by genotype.

5 [Slide.]

6 To summarize that, I think that this study in
7 particular highlights the utility or the potential utility
8 of in vitro susceptibility profiling of new antiretrovirals,
9 and maybe can be taken as some sort of model. One might
10 think about doing this in the future.

11 But there are issues. The sample choice and the
12 size of the sample is an issue, and these are really quite
13 important issues to avoid selection bias and
14 underrepresentation, and so we can't just hand-pick a few
15 samples.

16 Finally, and this is something that we have been
17 trying to develop ourselves, but this is in somewhat of a
18 vacuum, standardization of data analysis on presentation we
19 think would be useful to enable comparisons between
20 inhibitors and between studies.

21 If we are talking about regulations and what
22 companies or pharmaceutical companies are hoping to expected
23 to do when you bring packages forward to the FDA, then,
24 these sort of packages would be useful, but we need to be
25 able to have some standardization, so we can make

1 comparisons between the different drugs.

2 That is all I wanted to **say**. Thank you.

3 DR. HAMMER: Thank you, Brendan. That is very
4 helpful.

5 Brendan is the third and last person who signed up
6 in advance for the open public hearing.

7 Is there anyone who wishes to step forward and
8 make any additional comments?

9 [No response.]

10 DR. HAMMER: If not, the open public hearing is
11 formally closed, and we will move on to the continuation of
12 Session 4. The committee has been given five questions to
13 discuss and also four clinical regulatory scenarios, I
14 should say, not clinical, but regulatory scenarios, and what
15 we are going to do is discuss the regulatory scenarios first
16 and hopefully, fairly completely.

17 What we don't address in the five questions in our
18 discussion of the regulatory scenarios, we will then attempt
19 to cover briefly at the end, because it is quite an agenda
20 and we want to try to hit this efficiently.

21 To kick off the regulatory scenario presentation,
22 I would like to welcome Dr. Katherine Laessig from the FDA.

23 **Presentation of Regulatory Proposals**

24 **Katherine Laessig, M.D.**

25 DR. LAESSIG: Good afternoon. For the next 30

1 minutes or so, I will be presenting four regulatory
2 scenarios designed to highlight some of the issues of HIV
3 resistance testing and drug development.

4 The information I will present includes
5 hypothetical claims and indications, potentially involve
6 sections of the drug labeling, and examples of supportive
7 evidence.

8 After I present each scenario, please take five
9 minutes or so to respond to the questions posed.

10 So, let's get started.

11 [Slide.]

12 Scenario No. 1 involves a claim of lack of
13 development of resistance.

14 [Slide. 1

15 The affected sections of the labeling include
16 Microbiology, specifically, antiviral activity in vitro and
17 resistance.

18 [Slide. 1

19 Drug R is marketed for the treatment of HIV in
20 combination with other antiretroviral agents. Investigation
21 of resistance to Drug R has included in vitro selection
22 studies, involving passaging of HIV strains in the presence
23 of Drug R. A few clinical and laboratory strains with
24 reduced phenotypic susceptibility have been isolated,
25 however, no consistently identified genotypic mutation has

1 been seen in either the lab or the clinical isolates.

2 [Slide.]

3 The Scenario No. 1 questions are: Is the failure
4 to identify genetic mutations in the presence of reduced
5 phenotypic susceptibility sufficient to support a claim of a
6 lack of development of resistance?

7 Second. What can be concluded if there is neither
8 reduced phenotypic susceptibility nor evidence of genotypic
9 mutations?

10 Third. What type of evidence is needed to support
11 a claim of infrequent resistance or slow emergence of
12 resistance?

13 DR. HAMMER: Thank you. Five minutes to discuss
14 this is a challenge for a single individual, let alone a
15 committee, but what I would suggest is that committee
16 members who wish to respond, rather than going through each
17 question around the table, why don't you respond to one or
18 more of the questions placed, although start with the first.

19 Who would like to leap in? Mr. Harrington.

20 MR. HARRINGTON: In answer to Question 1, no, it
21 is not enough to failure to identify the genetic mutations,
22 and the third question, I think it is really important to
23 distinguish between in vitro and in vivo, and the question
24 doesn't really do that, but I would just say you want
25 clinical evidence of activity to support a claim of

1 infrequent resistance.

2 DR. RAMMER: Dr. Mayers.

3 DR. MAYERS: I think I have talked to Company X a
4 few times.

5 I think that it is really important when you do
6 these types of studies that you get the right samples
7 collected at the time point, and there are certain drugs for
8 which patients feel about phenotype, but I believe there
9 actually is genetics.

10 One example. A company that came to this
11 committee had 1,000 samples they looked at, but they weren't
12 collected right, and they didn't look in the right
13 reservoir, and Stanford looked at 25 patients who had good
14 baseline plasma and good failure samples after they had
15 failed with rising viral load, and with 25 samples,
16 identified 6 mutations that were clearly selected for by
17 that drug in failure.

18 So, I think that it is going to be critically
19 important for these types of claims that there be a very
20 good collection of samples at baseline, collection of
21 samples of virologic failure, and a careful look at what is
22 selected out of the genetic background by that drug, and if
23 you saw absolutely nothing, my suspicion would be that the
24 drug wasn't doing anything virologically, and wasn't
25 pressing the virus at all.

1 DR. RAMMER: Other comments?

2 Dr. Pettinelli.

3 DR. PETTINELLI: In this kind of scenario, would
4 the standardization of the assay per se, for example, in
5 terms of inoculum or other parameter, would be half-full
6 because we don't really know the reason why, there is
7 concern what are the conditions in which the assay was
8 conducted, and is there any way we can standardize that at
9 the list and give some guidance.

10 DR. RAMMER: Are you framing a question, Carla?

11 DR. PETTINELLI: For the virologist. In a certain
12 sense, you know, could that have an interpretation on this
13 kind of scenario, is that possible.

14 DR. RAMMER: I think depending on the assay,
15 certainly the inoculum size can be important. It depends I
16 think on the potency of the agent, the target, and the virus
17 inoculum that you are putting in, but there are certainly
18 assays in which you can drive the IC50 one way or another by
19 the amount of virus that you put in, so I think it is a good
20 point about standardization.

21 I think the last two points raise issues about the
22 technical background in which the data come forward, and I
23 think that needs to be the first point of departure.

24 I might just comment. If we accept that, then,
25 how do you interpret these scenarios and these questions or

1 how do you answer them?

2 I agree with Mr. Harrington. I think probably the
3 consensus of the committee is the failure to identify
4 genetic mutations in the presence of reduced phenotypic
5 susceptibility does not support a claim of lack of
6 development of resistance.

7 We know that patients fail on drugs, that there
8 are resistance mechanisms that are there that you can
9 measure fold changes in susceptibility to at least one other
10 approved agent, and there is no controversy -- I am talking
11 about D4T right now -- for which there is no controversy
12 about the genetic basis of that.

13 I think the other aspect that comes out, and it
14 has come out this morning, and I think we will be hearing
15 more about it, is the issue of cellular mechanisms of
16 resistance, particularly for drugs that are intracellularly
17 phosphorylated anabolically, and also that can be subject to
18 pump mechanisms.

19 So, I think the answer to No. 1 is no.

20 What can be concluded if there is neither reduced
21 phenotypic susceptibility nor evidence of genotypic
22 mutation? This is a slight different question, but I guess
23 the question is deriving from if you isolate a virus in the
24 presence of failure, is that what that question is driving
25 at? Okay.

1 Then, I think you have to ask the question are
2 there other factors which we have talked about,
3 pharmacokinetic factors, as the drug is absorbed, is there
4 adherence, et cetera. Assuming that the drug is absorbed
5 and reaches a level, what can you assume by this if there is
6 failure?

7 If there is not resistance by what we are
8 classically defining, I think you would also again have to
9 ask the technical question of how the assays were done and
10 what they looked for.

11 I think, for example, has the entire reverse
12 transcriptase, if an RT inhibitor, been sequenced, because
13 the more we look at the right end of the molecule, the more
14 interesting mutations show up there, and many assays don't
15 classically, although we have extended out beyond codon 240
16 to codon 400 routinely, we discovered a lot there, we may
17 discover more.

18 So, the issue is again what the dataset is and how
19 good the data are, but I think the major question there may
20 also be assuming that the drug is absorbed and that
21 adherence is not a problem, is the drug potent enough, and
22 it gets to the issue of lack of selective pressure.

23 A claim of lack of resistance relates to the first
24 question. It may mean that you are not just putting enough
25 pressure on the virus. So, if you have a modestly potent

1 drug that then claims lack of a resistance emergence, the
2 first thing I would worry about is that you are not putting
3 enough pressure on the drug to actually select resistance.

4 The other thing that should be mentioned in this,
5 and it comes up with a later scenario, is the issue of
6 cross-resistance. You have to be very careful. It is
7 another reason to test isolates, not only against the agent
8 itself, but against other agents in the same class, is that
9 a number of agents may not select for resistance to itself,
10 but may select permutations to other agents and the thing
11 that has now been reported by a number of laboratories about
12 D4T or D4T and ddI in combination that I can select for.

13 Associated resistance mutations and the 1.51 multi-
14 nucleoside complex means that you need to look beyond the
15 drug itself and susceptibility change to the drug.

16 And what type of evidence is needed to support a
17 claim of infrequent resistance or slow emergence of
18 resistance? I think the latter is easier probably than the
19 former. I think the issues of slow emergence of resistance
20 really relate -- we have had a number of examples of that --
21 it relates to the genetic barrier for the particular drug
22 and the numbers of mutations that are really required and
23 the frequency with which they go in and the facility with
24 which they go in to develop resistance.

25 So, if you have single-step, high level

1 resistance, it is fast, and if you need multiple mutations
2 to develop high level or higher level resistance, like with
3 zidovudine or the protease inhibitors it is slow.

4 Developing that dataset in vitro and potentially
5 in vivo is reasonable, I think, and can be done, and is
6 important because genetic barriers and putting combinations
7 together need to be thought about by physicians and
8 patients.

9 The frequency with which this all happens, I think
10 requires a fairly large dataset and is complicated by the
11 concomitant drugs that are administered, and all the other
12 confounding factors we have talked about.

13 So, in order to answer the former first part of
14 the question, you need a pretty large dataset, probably
15 hundreds of patients treated with different combinations
16 studied very carefully. I don't think I could be more
17 specific than that, but a 20-patient study would not do it.

18 Other comments on the first scenario? You were
19 told five minutes. We have already gone over, but we are
20 going to be efficient on the other five questions.

21 Any other comments on Scenario 1? Did we respond
22 to a reasonable extent to Scenario 1? Okay.

23 DR. LAESSIG: Scenario No. 2 involves a claim of a
24 lack of cross-resistance within a drug class.

25 [Slide.]

1 The affected section of the labeling is
2 Microbiology: Cross-resistance, Indications and Usage, and
3 Description of Clinical Studies.

4 [Slide. 1

5 A sponsor has conducted an uncontrolled rollover
6 study of 30 patients who met protocol definitions of
7 virologic failure in earlier clinical trials, and failed
8 protease inhibitor A. Patients were treated in the rollover
9 study with a combination regimen including protease
10 inhibitor B. Genotyping was done prior to therapy,
11 initially with protease inhibitor A, and at the time of
12 virologic failure to protease inhibitor A, and that revealed
13 a typical mutation. Results of the rollover study revealed
14 that greater than 50 percent of the patients had a viral
15 load below the limit of quantitation at week 24 of the
16 rollover study.

17 The questions are: What type of evidence is
18 needed to support a claim of lack of cross-resistance
19 between two drugs in the same class? Please consider each
20 class individually.

21 Would analysis of a statistically significant
22 difference in responder rates of test drug versus control
23 regimens be needed, or would predetermined percentage
24 response rates in a minimum number of patients be suitable?

25 How should additional studies be addressed

1 postmarketing as additional drugs of the same class enter
2 the market?

3 DR. HAMMER: Another straightforward scenario.

4 Who would like to start?

5 DR. JOLSON: Scott, the one thing I will mention
6 for the committee to consider -- I know these sound like
7 these are very, very hard scenarios, but even though they
8 are hypothetical, they are somewhat typical of the sorts of
9 issues that sponsors are asking the agency to think about in
10 terms of where we might be heading.

11 So, as difficult as they may seem, they are not
12 too far removed from the sorts of things that sponsors are
13 starting to consider or have already asked us to consider
14 with regard to labeling their product.

15 DR. HAMMER: Thank you. Also, some of these
16 scenarios do look familiar actually, even though the names
17 are changed to --

18 DR. JOLSON: To protect the innocent.

19 DR. RAMMER: I will start and maybe others can
20 contribute, hopefully.

21 The evidence to support a claim of lack of cross-
22 resistance between two drugs in the same class, first of
23 all, has to start with the in vitro data that we have talked
24 about many times, that any new drug in development needs to
25 be tested against a panel of well-characterized isolates.

1 That should include, of course, isolates resistant
2 to other members of the same class for sure. If you don't
3 have that, you are starting from a very difficult position
4 to make this claim, but assuming you then do have this
5 ability -- and we have certainly seen some cases where it
6 looked like certain mutational patterns and in vitro
7 susceptibilities didn't look like there would be class
8 cross-resistance, it turned out there would be.

9 If one wants to draw the conclusion from the
10 clinical trial scenario or the open-label trial scenario
11 that is listed here, what would be needed? Well, the thing
12 is a 30-patient study in which you have a 50 percent
13 response rate, no doubt, and a rollover study with other
14 combination treatment makes it difficult to know without, of
15 course, the level of resistance that was determined, the
16 pharmacokinetic variability, interpatient variability, that
17 may have been determined.

18 So, this particular study that is outlined doesn't
19 prove it simply because there are too many other factors
20 involved that could give you a 50 percent, i.e., a flip of
21 the coin response rate. Also, longer term data would be
22 needed.

23 But one thing that one could do is you would need
24 to know the characterization and that you do have it
25 genotypically, but, for example, one would need to know

1 whether, in fact, a 50 percent response rate is, in fact, a
2 blunted response rate for the new drug and the particular
3 combination in this patient population.

4 One could do that by looking at a comparator
5 group, not in this particular study, but if you actually had
6 a comparator group that had wild type virus treated with the
7 same identical combination and controlled for basically, and
8 both groups were controlled for their RT component, then,
9 you would see whether in a naive population where the only
10 differences between them were the PI-associated mutations,
11 if you will, if you could control for that, and you got a 90
12 percent response rate, then, a 50 percent response rate, and
13 here it would actually show that it is blunted.

14 Actually, this is familiar territory for
15 sequential PI studies that we have seen in the past, 333 and
16 other studies, and some of the important studies out of the
17 Stanford group showing blunted responses to certain PIs
18 following previous PIs.

19 I think the only way you can know that is either
20 by some comparator where, in fact, the same drug in a PI
21 mute wild type patient group gave you similar or a different
22 response.

23 So, I think if it were identical to a wild type
24 patient population, it would be evidence or at least
25 suggestive, but even then a 50 percent response rate would

1 be tough.

2 So, I think you need the appropriate comparator
3 group and you need to control for the mutations in the other
4 genes and the other parts of the combination regimen. The
5 outline that is put here does not prove it to me.

6 The second question. Would analysis of a
7 statistically significant difference in responder rates of
8 test drug versus control regimens be needed, or would
9 predetermined percentage response rates in a minimum number
10 of patients be suitable?

11 My own feeling, I think I just answered that. I
12 don't think you can say, well, a priori, if we got a 50
13 percent, you know, that is good enough. I think what you
14 need is the comparator and actually to look for whether
15 there is a difference or not, with the right comparison
16 group.

17 The postmarketing issues I think are very
18 difficult, and I don't have a really good answer for that.
19 The same drugs of the same class enter the market, how
20 should additional studies be addressed postmarketing. I
21 think without surveillance issues of (a) to know what is
22 happening with the drug resistance in the population that
23 are ongoing and uniformly reported, it is difficult.

24 I think one could ask, although I don't know how
25 strong the commitment can be, to be able to continue similar

1 studies where you see response rates over time with good
2 characterizations of baseline and follow-up isolates.

3 I honestly do not have a good answer for the
4 postmarketing because the studies, because unless there is a
5 strong commitment, standardization of this is going to be
6 very difficult, particularly the regimens, the patient
7 populations, et cetera.

8 So, one could try to initiate a series of Phase IV
9 trials that are specifically designed to look at response
10 rates over time with relatively standardized regimens and
11 well-characterized populations, but that is easier said than
12 done.

13 Comments on this? Dr. Yogev.

14 DR. YOGEV: From the scenario it seems like there
15 is no testing of those who did not get the below level of
16 quantitation. I think this is the most important population
17 to approach, those who did not respond to the change that
18 you assigned to, to see what changes are there, because both
19 affecting other drugs and itself bringing out what you are
20 looking for.

21 I, for one, think that 30 is not sufficient. I
22 think there was a very nice example just shown to us, one of
23 the people who just spoke recently, that out of 100 samples,
24 you got two in a drug which supposedly would make such a
25 claim, and it is good to know the ratio. I would be

1 surprised if we are going to find one who never had it, but
2 what is important is we need to decide how many are okay and
3 how many you cannot make that claim.

4 This is an important issue that needs to be
5 addressed, and maybe part of this study which presented can
6 give you some clue if the n will be increased and then you
7 check all those who did not respond.

8 Also important is I don't see here when that
9 happened. I think if we look in data 12 week or 24 week, it
10 might be a little bit too early, so we need to ask for
11 longer follow-up to see what happened, especially when you
12 are looking at the minority of resistance, it might take
13 time to come out that when the data changes the philosophy
14 at 24 weeks in this era with multiple combination drug is
15 enough.

16 DR. RAMMER: That is a very good point about
17 looking at the evolutionary pattern of resistance mutations
18 and the failures, (a) are they occurring, and (b) what are
19 they, because we have learned that with the sequential
20 rollover PI studies, sometimes the evolution of resistance
21 mutations is not to the second drug, but promotes the
22 continued pattern of evolution of the first drug, and it is
23 a way to sort of come out with the subtle issues of cross-
24 resistance, and that has also been shown by the Stanford
25 group and others, so it is a very good point about the

1 analysis of what the mutational pattern is in the viruses
2 that come out under treatment with Drug B.

3 Dr. Mayers.

4 DR. MAYERS: I think this points out one of the
5 real limitations of 24-week studies. I think it is going to
6 be critical as we study these drugs to go to randomizations
7 that go to second round to failure, and so you look at
8 durability of first round followed by salvageability into
9 the second round protected by randomization, because the
10 only way you are going to find out how good is this as a
11 first strategy and then how salvageable are you off of that
12 strategy.

13 I was trying to figure out how you could do this,
14 and I think for this particular scenario, you could have the
15 manufacturer have people coming out of the study and then
16 give them two nukes or a background of nukes and your
17 protease B versus a background of nukes and the non-nuke, a
18 drug from a different class that is potent to try and run a
19 comparator arm, because your problem is you are going to
20 have the background drugs are going to be factoring in, so
21 you have to somehow control them and then randomize.

22 The other issue that I think has got to be very
23 carefully addressed is the fact that there is no
24 standardization for resistance data.

25 I have seen two companies recently have a fight

-- 1 over the data in which one company would have called all the
2 **isolates resistant, and the other company was calling them**
3 sensitive because they had a low number with a zero in front
4 of it, and so I think, you know, it is really important that
5 if they are going to get into these types of discussions,
6 that there be baselines established for wild type, for those
7 drugs and comparisons for your in vitro data, because it can
8 get very confusing when two companies can have a poster up
9 next to each other, and one company has resistance
10 determined to be X number, and the other one is calling it
11 sensitive in the same session, right next-door to each
12 other, and it is just because there is no standardization of
13 what the expected range for these drugs is with the expected
14 reference isolates.

15 I think the proof in the pudding is durability of
16 virologic response, and the issue is going to be finding a
17 way to do a randomized comparison to a virologic response
18 that is convincing if they think there truly is not
19 resistance.

20 The way to do this up-front, if you believe it is
21 true, is to have a randomized second round in your study.

22 DR. HAMMER: I would agree, of course, that we
23 interested in durability of response, but in asking the
24 short term virologic response question of resistance to Drug
25 A and response to Drug B, the majority of that answer can

1 come in the first 24 weeks.

2 I think we know from several published anecdotes
3 that the trajectory of the RNA is not going to be what you
4 want it if there is drug resistance, and if you control for
5 the other components of -- a single arm study, you can't do
6 it, but if you control for other elements in the regimen,
7 and you control for the rest of the genome besides the gene
8 of interest, I think you can know or have a fair idea in the
9 first 24 weeks for sure that you have got something that is
10 active against drug resistant virus.

11 I think we have to have a practical look at -- we
12 can't have every study of every aspect of new drug
13 development and particularly some of the important virologic
14 characteristics being 48-week studies or it will be years
15 before we have the information.

16 So, you need a combination of early virologic
17 parameters for what the question is, and then durability of
18 response based on the other characteristics of the drug and
19 the regimen that it is being studied in.

20 So, I certainly completely agree that we need
21 long-term data, but I think that some of the virology
22 questions can be answered quickly because of the
23 pathogenesis of this infection.

24 Dr. Mayers.

25 DR. MAYERS: I agree, but I still think that the

1 true issue is how long do you go in the first round and can
2 you salvage to the second round, and that requires a trial
3 infrastructure at some point. Maybe it's a postmarketing
4 look in which you look at durability and salvageability in a
5 randomized way.

6 DR. HAMMER: I agree, but I just would say
7 strategies of antiretroviral therapy over time for a patient
8 are important to think about with drug development, but it
9 is a distinct issue from drug development, not totally
10 distinct, but they are overlapping Venn diagrams so that we
11 can't -- every new drug has to be thought of strategically,
12 but can't be studied in an independent strategic fashion
13 over the next five years.

14 Mr. Harrington.

15 MR. HARRINGTON: Two things on the sort of the
16 short term or the clue or the nonclinical proof that I think
17 could be useful. One would be if they think the drug has a
18 reasonably high genetic barrier, you are not going to bring
19 through to resistance in two weeks.

20 Like the adefovir that we saw on Monday, versus
21 placebo, you might want to actually look at a two- to four-
22 week study in wild type versus in people that were going to
23 take a strategic structured treatment interruption, and if
24 the people who had the SGI had been people that were
25 resistant to that class, you might get -- if you saw no

1 activity, then, you would think maybe you have some cross-
2 resistance.

3 The other idea was that you might -- and we really
4 haven't talked about this and don't have the expertise at
5 the table -- but I don't know how feasible it is to use an
6 animal model like the shiv monkey in some of these cases,
7 and use sequential monotherapy to detect in vivo genetic
8 barriers to resistance and how long they take.

9 You make them cross-resistant to earlier agents
10 and then put in your new agent. You might do it both ways.
11 I don't know how either feasible that is or how expensive it
12 is, but it might be worth considering in cases of some
13 classes of drugs.

14 DR. HAMMER: Thank you. Other comments on
15 Scenario 2 before we move to Scenario 3? Dr. Gulick.

16 DR. GULICK: One of the things I think that is
17 challenged here is even if you say okay, Scenario 2, we have
18 shown activity of Drug B, is what is the next step, what is
19 the control arm to compare Drug B to.

20 Doug Mayers suggested that you switch to another
21 class, a non-nuke perhaps, but can you do that? I think it
22 is going to be a challenge to figure out how you design a
23 control arm for a true salvage study.

24 Most of the salvage studies that we have available
25 right now have really inferior control arms. So, what is

1 the optimal control arm for a study like this?

2 DR. HAMMER: Best judgment.

3 Let's move on to Scenario 3.

4 DR. MURRAY: Before you go to Scenario 3, Dr.

5 Hammer, one comment that you made was that you didn't think
6 maybe a 30-patient uncontrolled study would make it, but
7 some criticism that we hear is that we don't include enough
8 resistance use information in the label.

9 Some clinicians think that such information might
10 be useful and like to see it reviewed is what it is by FDA
11 and put in labeling. So, I mean we have to kind of walk a
12 balance. If it is not in the label, it is going to be
13 presented, it is going to be out there anyway.

14 So, in considering that you might have five or six
15 PIs to test, you know, 30 times 5, you know, it adds up as
16 far as numbers of patients. What would you think about, is
17 there anything about this scenario that would be appropriate
18 in anyplace in the label?

19 DR. WONG: Without controls, I personally don't
20 believe it belongs.

21 DR. HAMMER: My general response -- and that is
22 why I was hesitant -- I would agree. I think more and more,
23 and you indicated in your own talk, that we are going to
24 have resistance data in the label, and it needs to be there.
25 Whether we can somewhat standardize a little bit how those

1 data are presented, as labels have evolved, and whether we
2 have an in vitro section and then a somewhat more variable
3 clinical section until we get further along the line, I am
4 not sure, but I would hope that that is where we get to.

5 A 30-patient study with a 50 percent response
6 rate, 24 weeks, doesn't say a whole lot. I think the only
7 way this could ever make it is if there is a very
8 sophisticated virologic analysis of these patients, fully
9 characterized at baseline, fully characterized at failure,
10 potentially including quasi-species analysis, and that sort
11 of thing.

12 But a gross 50 percent rate, I would say by itself
13 doesn't make it, but I think a sophisticated virology study
14 in association with it might. So, I would be slightly
15 different from Brian, but basically, I think we are saying
16 the same thing, you need more data.

17 DR. MURRAY: I guess the other thing, too, is that
18 the comment I have heard is that people who fail one
19 regimen, they fail for multiple reasons, and they might be a
20 biased population. They may be less likely to respond to a
21 second regimen.

22 So, if you compare them to a naive person, you
23 might expect a low response rate because you might have
24 selected for a group who are more likely not to be compliant
25 with the regimen or have metabolism that would handle the

1 drug maybe differently than a responder. I guess a
2 controlled study would help, but --

3 DR. RAMMER: A controlled study would help. I
4 agree that that is the case. There are these clear-cut
5 issues of cross-resistance that we can define, and there are
6 patient characteristics that also make it problematic about
7 sequential regimens.

8 There is also an unquantifiable issue. The drug
9 exposure itself seems to be presage drug failure on other
10 regimens, and whether that is subtle issues of cross-
11 resistance, we don't fully understand yet. Cellular
12 mechanisms, et cetera, I agree are there.

13 For small studies, though, there are enough
14 populations, if well screened, that I think one could
15 potentially -- if you took, for example, if it was a new PI
16 for this case, and you had a nucleoside-experienced
17 population, you could try to at least control for the
18 nucleoside experience, control for the genetic background
19 and the RT, concentrate on the RT inhibitor with a standard
20 dual nuke or other combination regimen along with the PI,
21 and then see what your response rates are.

22 It is difficult because of the combination
23 regimens, but you have to now try to control, if you have
24 small numbers, for as many of the confounders as possible,
25 which would mean at least the RT inhibitor background and

1 the genetic background outside of the region of initial
2 interest, but it is difficult. I think controlled trials
3 are difficult, but they are still better than an
4 uncontrolled, 30-patient observation with a 50 percent
5 response rate.

6 It is no better than what we do just by -- with
7 the current agents, we can get a 30, 40 percent response
8 rate at 24 weeks with our current agents, as well, and so
9 you are not really necessarily proving anything as far as
10 superiority over where we are.

11 Dr. Mayers.

12 DR. MAYERS: It would certainly have to have been
13 a trial that you designed with them up-front, having decided
14 that there was no other way to look at the issue, because I
15 think if you open this Pandora's box up, you are going to
16 have a "Let the buyer beware" section at the end of your
17 product label, and it will be quite long.

18 DR. HAMMER: I don't know that we really answered
19 your question because it is very difficult. I think we all
20 recognize that and don't have the answer. In other sessions
21 of this committee over the years, we have never been very
22 good at study design proposals. We can raise the issues,
23 but we can't always answer the questions.

24 Next scenario.

25 DR. LAESSIG: The claim for the third scenario is

1 that of efficacy for a subpopulation with specific
2 phenotypes or genotypes.

3 [Slide.]

4 The affected section of the labeling is again
5 **Microbiology: Cross-resistance, Indications and Usage, and**
6 **Description of Clinical Studies.**

7 [Slide. 1

8 Nucleoside reverse transcriptase inhibitor C
9 appears to retain activity against zidovudine resistance
10 based on preclinical studies.

11 The sponsor has proposed a study to evaluate Drug
12 C as a part of a HAART regimen in patients screened for high
13 level AZT resistance at baseline by a single mutation at
14 codon 215.

15 [Slide.]

16 The questions are: Considering there are both
17 resistance-associated mutations and polymorphisms present in
18 HIV genes, how should mutations be grouped and analyzed in
19 prospective studies?

20 No. 2. Would post facto analysis of stored
21 specimens in treatment-experienced patients be acceptable?

22 No. 3. What type of evidence is sufficient to
23 support a claim of efficacy and receive an indication for
24 Drug C for the treatment of drug resistant virus (in this
25 case zidovudine resistance is identified by a single

1 mutation at 215)?

2 DR. HAMMER: Another straightforward scenario.

3 Comments? Dr. Pettinelli.

4 DR. PETTINELLI: Kind of general comments.

5 DR. HAMMER: We will take anything to start.

6 Thank you.

7 DR. PETTINELLI: Why I think it will be important
8 to such a study to have as inclusion criteria, patient to
9 have a 215 mutation, however, think that is not sufficient,
10 because patients are going to be treated with combination
11 therapy and probably having the 215 mutation, they will have
12 also other mutations to other class of drugs.

13 So, my recommendation is that, first, the patients
14 should be screened, so we should really know the genotype
15 and the phenotype of those patients at baseline even if 215
16 can be used as inclusion criteria.

17 I don't think that retrospective study or analysis
18 of storage sample will be sufficient in this case, and I
19 think that there are always problems with interpretation at
20 the end. It seems to me it will be much more
21 straightforward to do that at the beginning.

22 However, it will be interesting to see analysis of
23 this data. I mean I don't know if sponsor wants to go
24 directly into such a target study or might want to have just
25 general information from looking at storage sample and then

1 decide to go into the study, because you are going directly
2 from preclinical to clinical, so there might be some more
3 information before doing that.

4 DR. HAMMER: Dr. Mathews.

5 DR. MATHEWS: Well, fortunately, we had a very
6 good example of this earlier in the week where a claim was
7 made for the product, that it was active in a certain
8 mutational setting.

9 What struck me was that was a subset analysis.
10 The sponsor selected a certain group of specimens to
11 analyze. The agency had different criteria for selecting
12 samples, a different definition of the response was
13 selected. I think one was a 24-week, another was DABG,
14 whatever. Different methods of analysis were used.

15 Obviously, the cleanest thing to do is to do a
16 prospective study, and I think that is one of the points
17 that the majority of the committee made, to examine that in
18 an adequately powered setting where you would randomize,
19 stratify on that mutation in that setting.

20 I think that these retrospective studies can be
21 done, but the problem is that the methodology has to be
22 stated up-front how it is going to be done, what are the
23 inclusion/exclusion criteria for samples, the methodology of
24 analysis, and all that stuff.

25 If the effect is small, as it was in the case that

1 was being looked at, it is going to be even more
2 problematic.

3 DR. HAMMER: Dr. Wong.

4 DR. WONG: I agree that the best way to answer the
5 question is prospectively, but I can well envision a set of
6 data in which a retrospective analysis might be convincing.
7 I thought we did not see it earlier this week, but, you
8 know, if it is obvious and robust, then, I think it is
9 conceivably believable. It depends on how good the effects
10 are.

11 I wouldn't rule out accepting retrospective data,
12 but they would have to be very convincing.

13 DR. HAMMER: Dr. Masur.

14 DR. MASUR: Actually, I don't have an answer, I
15 have a question. What isn't clear to me -- and this is the
16 problem we were dealing with Monday -- is when you are
17 dealing with combination therapy in which this drug, which
18 has purported activity as part of the multiple drug regimen,
19 how do you prove that this drug had any role in suppressing
20 in the virologic response.

21 You can look at genotype and phenotype of viruses
22 down the road, but how do you prove that this one drug had
23 activity when you hopefully have multiple drugs with
24 activity against this isolate.

25 I guess I would look for clarification from

1 someone else.

2 DR. HAMMER: Dr. Mayers has his hand up, and then
3 I think Brooks Jackson had a comment.

4 DR. MAYERS: I am much more comfortable with this
5 if the company is doing it as a safety parameter, i.e., you
6 don't get an effect. When we look at our experienced
7 patients, you don't see a good antiviral effect, maybe you
8 should stay away from these types of patients of our drug.
9 I think that is a little more convincing. When nothing
10 happens when you give the drug, that is fairly easy for
11 people to see.

12 I think this does argue for the fact that the
13 companies need to collect baseline samples on all their
14 patients and all their studies, because I think the thing
15 that might be compelling would be if they saw this
16 observation, to come back to the agency and say we would
17 like to look at a carefully selected randomized sample of
18 patients that was not included in this analysis to see if it
19 confirms independently of a sample size that your
20 statisticians believe will be convincing.

21 Then, I think I might be compelled. On the other
22 hand, if it is 65 patients that somebody has pulled out and
23 done once, I am not nearly as compelled as if they went back
24 in and took 200 patients who were different from the same
25 study and reconfirmed it, and independent, of a sample size

1 that was big enough that the treatment effect was
2 believable.

3 DR. HAMMER: Brooks, did you have a comment?

4 DR. JACKSON: Just to reiterate one of the points
5 by Carla that clearly, a single mutation, you would clearly
6 want to verify that with phenotypic resistance data. I
7 think Brendan was showing or implying that that is probably
8 the -- if you really want to show that there is resistance
9 there, I think you have to really show reduced drug
10 susceptibility, not just a single mutation there, which
11 would be very important.

12 But Henry's point, this becomes tougher. Even if
13 you do that and show, yes, there is phenotypic resistance
14 there, and you do this study, how do you really -- and you
15 do see a decrease in viral load -- how do you really know it
16 is Drug C, I mean it is part of a HAART regimen, and then
17 claim that it's -- and because, of course, the AZT is no
18 longer there, and the drug pressure is off.

19 So, I am not sure how -- you still can say that,
20 in fact, your drug is good against resistant AZT virus. It
21 would probably be unethical to leave another arm with AZT on
22 there in the presence of failure. So, it is difficult.

23 DR. HAMMER: I agree it is fairly complex, and I
24 think some of the information would be potentially
25 inferential however you define it, but if you could have an

1 idealized study where you are really looking just at a
2 single key mutation.

3 I agree that having some phenotypic change is
4 important, however, there have been studies to show that
5 certain individual genotypic changes can be associated with
6 an inflection point in the RNA response without much
7 measurable change in the virus.

8 That was shown with the case 70R for zidovudine,
9 and you can see inflections with the 82 mutation in some of
10 protease inhibitors without much in the way of -- certainly
11 before you got to a 4-fold change in phenotype. So, there
12 can be subtle changes to even single mutations.

13 One thing to think about, though, if you could
14 have an idealized study where you randomize on the 215, for
15 example, or some similar mutation, is to have a regimen,
16 have two populations, one with a 215, one without, give the
17 same regimen, look very carefully at the slope of decline.

18 If that is the same and everything is identical
19 through 24 weeks, then, I think what you are looking for is
20 rates of escape and what those escape mutants look like
21 later, and it gets to the longer term issue that Doug Mayers
22 raised earlier.

23 But it is not clean, but if you don't put an
24 ethical control arm in, and don't control for the viruses in
25 the control population, you won't be able to tell.

1 Also, look at the Merck 035 study, highly
2 zidovudine experienced. They did fine on ZDV, 3TC, and
3 indinavir. So, it is also further complicated.

4 Henry.

5 DR. MASUR: In the problem we were dealing with
6 Monday, when you have a drug which has a relatively modest
7 effect compared to something else, how do you protect
8 yourself from -- you could have a drug that in vitro looks
9 great, that is hydrolyzed to an inactive compound in vivo,
10 how do you know that your drug is really having any effect
11 at all in vivo by doing that kind of experiment?

12 You are expecting a lot from a relatively weak
13 drug, to expect to see a change in the slope of the curve.

14 DR. HAMMER: If you are dealing with a relatively
15 weak drug, I agree with you it makes everything harder. I
16 agree. I think it also gets back to -- you know, without
17 being able to do monotherapy studies over anything more than
18 a few days or a couple of weeks, it makes it extremely
19 difficult.

20 I think the comment that Mark Harrington made
21 about animal models and some of the possibilities there may
22 help us. It certainly will help us with some of the
23 mutational issues. I don't have a good answer for that
24 because I think, you know, weak drugs are problematic from
25 the start, and it just compounds as you go further down the

1 developmental process.

2 Dr. Mathews.

3 DR. MATHEWS: A thought on Henry's point is to
4 take advantage of this notion of enrichment, which Jeff was
5 talking about, because if you were able to characterize how
6 many drugs in the patient's regimen were actually active,
7 which in the studies that we were looking at the other day
8 was impossible to tell, so that if you could either enrich
9 up-front by having the concomitant therapies having a
10 reasonable likelihood of activity, or analyze the mutational
11 patterns of all the drugs, not just the agent that you are
12 analyzing, you would be able, at least in a subset analysis,
13 a retrospective analysis, break out the different prognostic
14 groups a little bit more efficiently than what you could do
15 in aggregate, just looking at the single drug.

16 DR. HAMMER: Dr. Yogev.

17 DR. YOGEV: Well, first of all, I would like to
18 sit on your right side for next time. You are always
19 looking to the right first. I am teasing you.

20 DR. HAMMER: I am fully balanced because I am
21 always accused of looking to the left from the people on the
22 right, because of the screen.

23 [Laughter.]

24 DR. YOGEV: I think one possibility, you mentioned
25 to take a mutation of 215. I was wondering of a possibility

1 of taking a HAART with, let's say, three drugs, and then add
2 the new drug as a fourth one to see if there is anything
3 different in the dynamics of reducing the virus and the
4 amount of the response.

5 And that necessitates you have to go to a patient
6 with a much higher viral load, so we cannot get into this
7 unfortunate situation that with 1 log or 1.1, you are
8 already undetectable. So, a study can be devised that if
9 you start with a very high number, look at the dynamic.

10 We have anecdotal data that if you increase the
11 dose of certain drugs, the rate in the first week of
12 reduction is faster, and that might be one thing that should
13 be pursued that can give you an idea on a potent drug or
14 less potent just because you have a control group now on the
15 same population who has a high viral load, which can bring
16 you this, if there is any effect, out.

17 DR. HAMMER: Other comments on Scenario 3?

18 I can't quite summarize this. We actually didn't
19 really answer the first question - Considering there are
20 both resistance-associated mutations and polymorphisms
21 present in HIV genes, how should mutations be grouped and
22 analyzed in prospective studies?

23 I think that is a very difficult question. It
24 took a lot of the conversation, for example, among the best
25 experts in the country on the RCG group to put it together

1 for the DAP analysis, so I think it is hard for the
2 committee to do that.

3 It comes through basically consensus panels about
4 what proven specific drug associated mutations there are
5 that have had history by clinical isolates and site-directed
6 mutagenesis studies and also class-relate mutations.

7 I think if you are going to base a trial on this,
8 there is no perfect way to do this, but there are reasonable
9 consensus. I mean studies have been done based on trying to
10 look at these and make interpretations. So, as long as one
11 is willing to disagree about some of the fine points of
12 interpretation, you need some basis to move forward
13 prospectively with what your hypotheses are.

14 So, I think there are enough consensus tables
15 around and they will be evolving every year, and they are on
16 the web, et cetera, that as long as this is decided upon up-
17 front, it is okay for a study, and as long as you balance
18 expert advice across the arms.

19 We answered or tried to answer the post facto
20 analysis of stored specimens question. Probably the
21 consensus of the committee is that if you are looking for a
22 specific indication that it is active against viruses with X
23 mutation, in this example, the 215, then, a prospectively
24 designed trial with prospectively obtained specimens and
25 tested is the right way to do it, but that retrospective

1 studies are not completely excluded from this although it is
2 perhaps better to generate the hypothesis from a
3 retrospective study and then test it, as Dr. Pettinelli
4 said, prospectively.

5 Then, what type of evidence is sufficient to
6 support a claim of efficacy and receive an indication for
7 Drug C for the treatment of drug resistant virus (in this
8 case zidovudine resistance is identified by a single
9 mutation at 215)?

10 The answer is efficacy in the population studied,
11 but I think the question is how you get there, and I don't
12 think the committee really is able to really come up with a
13 study design that everyone would agree with because of the
14 complexities of treatment.

15 I think the bottom line is careful looks at
16 virologic response and escape early on and later on are the
17 ways to do it, and to try your best to have a comparator arm
18 that serves as the best control you can get.

19 Scenario 4.

20 DR. LAESSIG: The final scenario is use of
21 resistance testing in a clinical trial to enrich a patient
22 population.

23 [Slide.]

24 Protease Inhibitor X has a somewhat unfavorable
25 safety profile. However, based on preclinical data, it may

1 be useful as salvage because it appears to retain activity
2 against protease inhibitor resistant virus. A study is
3 proposed to look at Drug X in treatment-experienced
4 patients.

5 The FDA recommends inclusion criteria based on
6 resistance testing to demonstrate likelihood of failure to
7 other regimens.

8 [Slide.]

9 The questions are: Please comment on the
10 appropriateness and feasibility of incorporation of
11 resistance testing in the inclusion criteria.

12 Can efficacy in the enriched study population be
13 extrapolated for use in populations where resistance testing
14 is unavailable?

15 In studies that don't incorporate baseline
16 resistance testing to choose optimal regimens, what are the
17 implications of independently obtained genotyping or
18 phenotyping?

19 Since this is the last scenario, we got to have an
20 extra question.

21 [Slide. 1

22 If after starting the initial regimen, genotypic
23 or phenotypic information becomes available that indicates
24 the chosen open label regimen is not optimal, could one or
25 more of the drugs be changed without considering the patient

1 a treatment failure if the patient had not met other
2 criteria for treatment failure?

3 DR. HAMMER: Can I just ask a clarification on the
4 third question? Do you mean that someone in a clinical
5 trial obtains genotyping or phenotyping outside of the
6 clinical trial?

7 DR. LAESSIG: Correct.

8 DR. HAMMER: And he or she, and his or her
9 physician have that information and want to use it?

10 DR. LAESSIG: Exactly.

11 DR. HAMMER: Just like the viral load era.

12 Dr. Mathews, do you want to start? You just
13 mentioned issues of enrichment in populations. Not to put
14 you on the spot, but --

15 DR. MATHEWS: The use of resistance testing in
16 real-time, one thing I feel reasonably confident about is
17 that whatever the evolving guidelines are for use of
18 resistance testing in clinical practice have to match what
19 is being done in clinical trials.

20 Right now, resistance testing, I mean it is just a
21 moving target, but once these new guidelines are coming out
22 that are saying people should have resistance testing after
23 failing a regimen, then, you are going to have problems
24 trying to withhold that kind of information in the clinical
25 trial setting.

--

1 DR. HAMMER: I think the question is really what
2 are your thoughts about up-front resistance testing as part
3 of the study design and stratification and randomization.
4 Is that right?

5 DR. MATHEWS: I think it is going to be very
6 important to do that. The dilemma is that a lot of the
7 resistance patterns that we have talked about and seen in
8 the last couple of days are not well characterized, and so
9 what decisions would you make on observing a certain pattern
10 of uncertain prognosis.

11 Where I think it is most useful is what I was
12 trying to get at earlier, was that you can reduce the
13 heterogeneity of response and improve your chances of
14 detecting the main effect that you are looking for by doing
15 resistance testing and selecting the concomitant agents
16 which are likely to be active in the regimen, and therefore
17 the drug or drugs that you might be looking at in a
18 factorial design, superimposed on background therapy, you
19 would be more likely to detect an effect.

20 So, I think it is very prudent to incorporate
21 baseline resistance testing at least for those circumstances
22 where the interpretation of the results is reasonably clear.

23 DR. GULICK: I would agree. The heterogeneity of
24 virologic failure that we have been talking about for the
25 last two days, the demonstration of appropriate resistance

1 mutations would actually sort of narrow the failure right
2 down to the level of actually knowing that the patient was
3 resistant to the prior drug as opposed to non-adherent or
4 perhaps with other PK issues.

5 So, it seems very appropriate to take this next
6 step. As an observation, many of the salvage studies which
7 will now be looked upon as the old kind of salvage studies
a tried to get at this point by requiring a certain amount of
9 months of therapy and certain patterns of breakthrough.

10 This cuts through all that and just gets right to
11 the demonstration of resistance. So, that would seem the
12 most appropriate way to go.

13 DR. HAMMER: Other comments on Scenario 4? Dr.
14 Wong.

15 DR. WONG: That is true insofar as the tests that
16 we have really demonstrated resistance. I mean that is, you
17 know, that is what it is, right?

1a DR. HAMMER: Mr. Harrington.

19 MR. HARRINGTON: Yes, and the other key statement
20 was if it is clear up-front what that resistance test means,
21 and I think for most of the resistance patterns, it is not
22 at all clear, and it is especially not going to be clear for
23 a new agent where you are making a guess, extrapolating from
24 old data.

25 The other point I want to make is -- a couple

1 points. I mean supposedly we are trying to treat a virus,
2 not a point mutation. So, resistance has an explanatory
3 value for explaining why treatments may or may not work, but
4 in reality, we want to know, I would think the sponsor would
5 want to know how the drug works in a pretty heterogeneous
6 population.

7 A lot of these designs disturb me because they
a seem to really focus on trying to make really tiny trials
9 prove more than they can possibly prove, and I think that is
10 a real danger.

11 There is a clinical need to prove utility in
12 salvage populations, but we also -- I wouldn't think a
13 sponsor would make very much money off of only treating
14 these tiny niches, So, I am wondering whether they are just
15 trying to save money by proposing all these ridiculously
16 small, one-arm, open label studies to the FDA.

17 I think we need to get back to the bigger picture,
18 which is what is the most useful information that can be
19 generated for across the spectrum of HIV disease and how do
20 you use resistance testing in that.

21 I am not sure that means you would use it in a
22 regulatory framework all the time although that is why we
23 are here.

24 DR. HAMMER: That is a very good point, and we
25 focused in, in our discussions here, but I don't think the

1 scenario is meant to mean to the exclusion of having tested
2 the drug or testing a drug in parallel in broader
3 populations. I think that we are being focused -- and
4 correct me if I am wrong -- on a sponsor trying to apply for
5 a specific indication, not necessarily the only indication,
6 but a specific indication of use in a targeted treatment-
7 experienced population with a particular treatment
a experience, because the drug may have some particular
9 advantage there.

10 I don't think that is to the exclusion of trials
11 in broader populations, and I think that point is very
12 important for us to sort of take a step back from the focus
13 of these discussions.

14 Dr. Kumar.

15 DR. KUMAR: I think the inclusion of resistance
16 testing and inclusion criteria will really help many of us
17 and our patients in the salvage protocols. Many times there
18 is a limit to how many months patients can be on a salvage
19 protocol or on a salvage regimen before they are eligible
20 for the second salvage regimen.

21 So, having this kind of resistance testing and
22 inclusion criteria may allow us better to end all these
23 patients instead of having patients remain on the salvage
24 protocol for that period of time.

25 DR. HAMMER: Thank you.

1 Dr. Mayers.

2 DR. MAYERS: As someone who incorporates this into
3 trials every day, you can clearly put patients on the
4 studies with resistance testing as part of the randomization
5 criteria. We have a 500-patient study that is doing both
6 phenotyping and genotyping on the way on starting in
7 December.

a We have got three studies which do it on-line
9 every day, so it can clearly be done if you set up the
10 mechanisms to handle real-time, on-the-fly data.

11 The other issue I would like to bring up is if you
12 don't do resistance testing, you can be sure that your
13 doctors and patients are doing resistance testing if there
14 is any indication your drug doesn't work against a certain
15 type of virus, and if you are worried about resistance
16 testing in the middle of your study, you probably have an
17 ethical problem in your study design.

1a DR. HAMMER: I would just take exception to that
19 last statement at this moment in time. I mean there isn't
20 uniform access, and many patients are being managed without
21 the availability of drug to resistance data, and there are
22 other ways to manage patients based on their RNA change and
23 other things, that failure can be handled clinically in some
24 says when you don't have the finances to order a resistance
25 test.

1 DR. MAYERS: The point I was making, Scott, the
2 point I was making was that if there is a certain pattern
3 that they can find on a resistance test, that will get them
4 to bail out of your study, there may not be equipoise in
5 both arms of the study for that category of patient, and
6 maybe there is a problem.

7 DR. HAMMER: I would agree if there is uniform
a interpretation of what that meant, what those results meant.

9 Dr. Mathews.

10 DR. MATHEWS: One other point on that, if
11 resistance testing is done up-front and as a basis for
12 randomization, there is the possibility of misclassification
13 error depending on when the resistance testing is done, and
14 these are issues that we talked about already.

15 If it is done on drug for a certain period of
16 time, that means one thing. If there has been a washout
17 period, that is another thing. We see numerous examples
18 where this can create misinterpretation of what the patterns
19 are.

20 DR. HAMMER: Other comments on Scenario 4?

21 Dr. Charache.

22 DR. CHARACHE: Just on bullet 3, which asks what
23 are the implications of independently obtained genotyping
24 and phenotyping, and I would suggest that unless it is done
25 in the same laboratory in which the basic studies are done,

1 it could not be merged.

2 DR. HAMMER: It wouldn't be merged, but it will be
3 done in a different laboratory. It may or may not be done
4 in a different laboratory. We face this all the time with
5 viral load tests when they were not uniformly done and being
6 handed back to patients in real time in the clinical trials,
7 and it is a problem, but it can be handled basically because
8 of the nature of the disease process and the clinical trial
9 process in HIV. It is not easy, but it can be done.

10 Just to summarize, the appropriateness of
11 resistance testing and incorporation in inclusion criteria,
12 I think there is a general consensus that it is quite
13 appropriate depending upon the objective of the study, and
14 if there is request indication for a specific target
15 population, the one proviso of the committee was made by
16 Clark Harrington, I think, that we are not interested in a
17 series of small, tiny, little indications.

18 We are interested in a larger indication with a
19 clarification as to which populations the drug would work
20 best in.

21 Can efficacy in the enriched study population be
22 extrapolated for use in populations where resistance testing
23 is unavailable?

24 A very hypothetical question. I think it depends
25 really on the epidemiology of drug resistance in the

1 population, and that is again a moving target. It is
2 worsening in different parts of the country. You really
3 have to know your own regional epidemiology and the
4 individual epidemiology of the person you are treating and
5 the potential requisitions over resistant strain and the
6 drug history to know whether it is applicable.

7 So, I think extrapolating from a small study to a
8 larger population is difficult without the kind of larger
9 study I think that Mark Harrington was referring to.

10 In studies that don't incorporate baseline
11 resistance testing to choose optimal regimens, what are the
12 implications of independently obtained genotyping or
13 phenotyping?

14 I think it means a greater off-treatment rate.
15 That is what it means. You have to basically power your
16 study to incorporate that. I would agree with Doug Mayers
17 that you need to be ready to revise your study along the way
18 and make sure that it is ethical -- and I wouldn't disagree
19 with that point -- if the field is changing in relation to
20 that.

21 It also depends on when that resistance test is
22 done and whether it is post of virologic endpoint, because
23 it could be not terribly meaningful if, in fact, the
24 endpoint is virologic failure. That endpoint is met and
25 determined and set in the database, and then a resistance

1 test is done, it is not going to hurt the trial endpoints
2 per se.

3 It depends what you are doing on treatment as far
4 as continued, issues of availability of resistance testing,
5 but I don't think that is an unmanageable issue. We have
6 seen it many times over in HIV disease, and I don't think
7 that is a big deal, honestly.

a If after starting the initial regimen, genotypic
9 or phenotypic information becomes available that indicates
10 the chosen open label regimen is not optimal, could one or
11 more of the drugs be changed without considering the patient
12 a treatment failure if the patient had not met other
13 criteria for treatment failure?

14 This is a completely unanswerable question because
15 it really depends on the study design and the rigor with
16 which -- and how things are defined, because if it is up-
17 front defined that any treatment change is failure, it is
1a failure no matter what, if is a virologic endpoint failure,
19 which is more likely, and this is a protocol amendment in a
20 patient who is suppressed, then, I think it is reasonable
21 that that protocol amendment could take place and the data
22 could still be interpretable.

23 Without a full study design and basic assumptions
24 and a stat section to review, I find it impossible to answer
25 this question.

1 DR. MURRAY: I think it was, let's say, of a
2 protocol design, and not knowing the feasibility and the
3 turn-around time for the results, you get the results back
4 after you have chosen your testing drug X, and you add it
5 with two other drugs, and you get the results back as the
6 patient is undergoing a viral reduction, you get results
7 back that say oh, I wish I would have picked a different
a concomitant regimen.

9 Would this be reasonable? I mean would you look
10 askew at the data if, at that point, the concomitant
11 regimens were changed, you know, while the viral load
12 trajectory was still going down?

13 DR. HAMMER: You mean not the test --

14 DR. MURRAY: The background.

15 DR. HAMMER: The background regimens?

16 DR. MURRAY: Yes, like the nukes you would be
17 combining with the PI.

18 DR. HAMMER: And you are in the specifics of the
19 downward trajectory, that is what you are saying? That is
20 what you said.

21 DR. MURRAY: Well, I would think that it would
22 refer to maybe the time it would take to get the results
23 back, so it would be something early on, you know, typically
24 before the failure endpoint is looked at, like before 24
25 weeks, something like that.

1 DR. HAMMER: I would like to hear what other
2 people think. If that issue is not balanced between the
3 arms or among the arms, I think you are in deep trouble.

4 DR. WONG: I think the protocol needs to be
5 written to take this eventuality into account. If you are
6 really going to bail out and change everybody, obviously,
7 that is going to have an effect unless it is specified in
a advance that this can be done.

9 DR. HAMMER: Also, I think if you are doing
10 baseline resistance testing and then choosing, you know,
11 randomizing on the basis of plus or minus a certain pattern,
12 but then that information is there. It should be there
13 before you initiate the regimens, and you should have enough
14 flexibility in your initial regimens to adapt the background
15 therapies to the resistance profile.

16 So, I would think, for example, if you used a line
17 probe assay to look for a specific mutation, and then you
18 also sent out full-length sequencing and randomized on the
19 basis of a line probe, and got the full-length sequence back
20 which caused you pause about the background therapy, you
21 have painted yourself into a corner, and it is then better
22 to have all of that resistance information up-front if, in
23 fact, you think you are going to allow modifications in the
24 background therapy and try to optimize treatment to start
25 with.

1 I agree with Dr. Wong you have got to have this in
2 the protocol up-front and try to anticipate these torpedoes
3 coming below the water line.

4 DR. YOGEV: It also depend when you are getting
5 those results, because I think this is a unique opportunity
6 if you get it within 12, weeks, and there are no failures, to
7 see if the phenotyping/genotyping is really meaningful to
a what you are testing. As of today, we don't know that
9 genotyping is 100 percent.

10 It might be a unique suggestion by our committee
11 or whatever that this pertaining to resistance, and in this
12 specific combination, it doesn't work. So, if I get a
13 phenotyping/genotyping down the road, I would continue the
14 study because it is very important information for us to
15 learn, that we don't know what phenotyping/genotyping really
16 means.

17 DR. HAMMER: I agree with getting the information.
18 The issue is really whether you would act on it and change
19 treatments, and I think one of the caveats in thinking about
20 this is, in fact, the results of the ABT378 first failure
21 study, where, in fact, if they operated on that sort of
22 information, they might not have come up with some of the
23 interesting results we have that resistance profiling
24 doesn't necessarily correlate with response, and it may be
25 the pharmacologic profile of the drug is more important, or

1 we have to think about a different breakpoint.

2 So, I think it is quite complex and the best thing
3 is to try to avoid that as much as you can.

4 DR. HAMMER: Dr. Mayers.

5 DR. MAYERS: I think, though, it is important to
6 realize that as genotyping and phenotyping get more
7 available and get more rapid turn-arounds, that you almost
a should assume that your patient coming into your study has a
9 genotype and a phenotype, and use that data to decide
10 whether they liked your randomization to get into your study
11 in the first place.

12 It would almost be better to say would you give us
13 your resistance results as you come in, so you would know
14 what they had had, than to assume that people are coming in
15 blind, because I think less and less people are coming in
16 blind over time, and if the recommendations to the IAS that
17 were shown this morning come in, you can assume that
18 probably almost no one is going to come in to your trial
19 blind.

20 MR. BARRINGTON: That is just not true at this
21 time though, Doug. I mean in an ideal world, that would be
22 the case, and I think maybe in a couple years, more people
23 will have access, but the majority of people I know, and
24 many of whom have private insurance, don't have that
25 information right now, some of them do. But it is not as

1 :asy to get as you may think.

2 DR. MAYERS: I realize it is not easy to get, but
3 : am just saying that there is a fair number of people who
4 :re doing it now, and I think that fair number of people, if
5 :he new recommendations come out, that you should consider
6 :oing it, will increase dramatically.

7 I think that the assumption that patients are
8 :oming in blind into a study, especially anything beyond the
9 :irst round, is probably flawed to begin with. You aren't
10 :etting a random population coming into those studies.

11 DR. HAMMER: I agree it is changing rapidly. It
12 :ill change, and we may not be able to do some of the
13 :udies in a year that we could do now, but that I think has
14 :een true.

15 We have come to the end of the regulatory
16 :enarios unless there is some further clarification on
17 :hese that you want us to attempt.

18 I think what we will do is turn to the committee
19 :uestions. There are five questions. As I mentioned
20 :efore, particularly in light of the fact that a number of
21 :ommittee members have left, and others have to catch other
22 :ransportation soon, we will run through these and,
23 :onestly, just try to hit the highlights of what we haven't
24 :ouched on. I have also been asked to give a recap of this
25 :meeting, which we might or might not do, but in any case, we

1 are prepared if we have to.

2 Some of these questions, honestly, I think we have
3 touched on before in the last two days, and we can move
4 through quickly as a group.

5 **Questions to the Advisory Committee**

6 DR. HAMMER: The first question -- and just for
7 the record -- is Please comment on the amount and type of
8 preclinical resistance data sufficient to support a clinical
9 development program.

10 We talked about the type of preclinical resistance
11 data. I don't know if anybody wants to comment on the
12 amount. I think that really relates to, for example, what
13 size panel should be tested. That is the way I would
14 interpret this. As far as the type of resistance data, we
15 have talked about that repeatedly.

16 Does anybody want to tackle that? I don't think
17 we really have a clear notion.

18 Dr. Wong says enough.

19 I would venture, though, that, you know, we put
20 some numbers on other things. I think if we are talking
21 about really characterizing a new drug, the panel of
22 isolates, you know, it has to be laboratory and clinical
23 isolates and well characterized on their mutational basis
24 and their phenotype.

25 You are talking in the range of 50 to 100 isolates

1 at least -- I don't think you are talking 1,000, I don't
2 think you are talking 10 -- on the order of magnitude, we
3 can probably say to develop a profile for the drug.

4 I think it also depends a little bit on whether it
5 is a drug that is being developed as a better drug with a
6 good PK profile, that is more a first generation drug, and
7 is not going after drug resistance, or if it is a drug that
8 really is a second or third generation drug that is going
9 after a drug resistant virus.

10 If it is, the ladder of the panel has to be
11 larger.

12 Does anyone disagree? Dr. Charache.

13 DR. CHARACHE: I would just add I think that it is
14 time for the FDA to help the various drug manufacturers by
15 stipulating what the basics of the testing should include,
16 and I think that can be done with the panel agreement. I
17 don't think it is complicated.

18 DR. HAMMER: Dr. Mayers.

19 DR. MAYERS: I think the one thing that has become
20 clear from the bacteriology field is that if they can use a
21 standardized panel of strains and a standardized assay,
22 especially if you are going to try and relate resistance to
23 some pharmacokinetic parameter in the future, that it would
24 be really useful if we would establish some reference
25 resistance test and some reference panel of strains to look

1 at, because currently, every company has a different in-
2 house assay.

3 They all have a different batch of 15 isolates
4 that they pull out of their freezer, and it is almost
5 impossible to relate the way they test the drugs against a
6 clinical assay that will be done on their isolates against
7 anyone else's isolates for any other drug.

8 DR. HAMMER: Other comments?

9 Question 2, which we have also touched on in the
10 last day and a half.

11 What type of in vitro and clinical data should be
12 provided by drug sponsors to characterize the clinical
13 activity of an antiretroviral drug against "resistant"
14 virus? Should different standards be required to support a
15 labeled indication (for treatment of resistant
16 subpopulations) as compared to that to support descriptive
17 statements in the Microbiology section for use in resistant
18 patients?

19 In your discussion, please include details such
20 as: methods, patient subsets, number of patients, number of
21 isolates, duration of treatment, number of drugs,
22 definitions for assessing treatment response, etc.

23 I am glad there is laughter from the agency
24 representative.

25 We have touched upon this a little bit and to a