

1 The question is can one be informative to the other in some
2 way because if we conclude that one can't be in formative to
3 the other, we are left with a situation where to get a drug
4 approved for either indication you need two trials in both
5 indications when you know that the populations in both
6 indications are going to have a lot of overlapping
7 therapies. It would be an enormous task, and I think the
8 standard, so far, in excess of anything else that any other
9 sponsor has to do with the FDA for any other condition. I
10 see the similarities more than the differences.

11 DR. THADANI: Do you want to amplify further on
12 the ACS because all the clean, large trials with the oral
13 agents are going in the wrong direction? You have OPUS with
14 16,000; you have SYMPHONY; and I know Eric Topol presented
15 at the European Congress the totality of the data on
16 IIb/IIIa orals is not positive.

17 DR. CALIFF: I am glad to hear you and Cindy
18 believe Eric. That is probably the first time --

19 [Laughter]

20 DR. THADANI: -- and it is very relative to the
21 generic structure, and if you include the ACS I am more or
22 less convinced that there might be a difference in agents. I
23 will buy that. But I don't think you can lump that. I think
24 he should have shown the oral data here. I know it is not
25 published but I am sure you have slides somewhere on the

1 oral data.

2 DR. CALIFF: I think I have to respond to this.
3 First of all, David is not in a position to show that data.
4 He is still a fellow. He is teaching me a lot. It is hard to
5 believe he is still a fellow but he is.

6 We do have that data. It is preliminary. And, here
7 again it is a matter of taste. Do you believe that a
8 chronically oral way to administer drug, well after the
9 event has occurred, is the same as an intravenously
10 administered drug? If you do believe that, you know, we felt
11 that for this meeting it is an issue well beyond this
12 meeting. There are data about at least one of those trials
13 that have not been discussed publicly and won't be for some
14 time, that may not be consistent with the message of the
15 other trials. So, I think that to bring that into this
16 meeting would have not been appropriate.

17 I do think it is appropriate to at least raise the
18 concern that the oral agent data in aggregate is trending
19 towards no effect, and --

20 DR. THADANI: EXCITE is relevant here. Excite data
21 was on ACS and the platelet inhibition is as good --

22 DR. CALIFF: Except that -- just one specific
23 thing about EXCITE briefly, and the data haven't been
24 published so I am uncomfortable saying much about it, but
25 having it sent for publication by the first author, I will

1 just say that the effects in the first 48 hours are
2 positive, which is the period of time in which these
3 intravenous drugs would have been given. So, I think it is a
4 very complicated issue, not quite as simple as a negative
5 trial that would relate directly to what we are discussing
6 today which is acute intravenous high-level therapy.

7 DR. PACKER: Why don't we ask Tom for his views
8 and, Ray, you will get the last word.

9 DR. FLEMING: Actually, there are quite a few
10 issues that have been brought up over the last several hours
11 that I would like to comment on. What I will try to do is
12 highlight comments on two or three of these main issues and
13 defer the remainder of the comments until other points
14 during the meeting when they will naturally arise.

15 Ray had asked me to be prepared to at least
16 briefly comment on the statistical issues in conduct and
17 interpretation of meta-analyses, and I will start with that
18 but I would at least like to touch on some of the discussion
19 here as it relates to the ACS versus PCI settings and the
20 persistence of effect discussions that we have just had over
21 the last half hour or so.

22 Beginning with meta-analyses, I think I can be
23 brief. I think David has given, in my view, a very balanced
24 assessment of what you can learn and where the cautions have
25 to be in that analysis, but I will just briefly reiterate.

1 From my perspective, there are really two principal
2 objectives that I see as motivating meta-analyses. One is to
3 get further insights regarding more precise estimates
4 regarding an overall treatment effect. The second is to get
5 -- maybe I would call it descriptive insights regarding how
6 generalizable the results are and how consistent they are
7 across trials, across populations and across interventions.

8 I think many people will argue that you are most
9 comfortable doing a meta-analysis in those settings where
10 you are pooling similars. You are pooling similar patients,
11 you are pooling similar protocols, you are pooling similar
12 interventions. I think there is a tradeoff. When you are
13 pooling similars, then I believe there is much more credence
14 that you can give and interpretability that you can give to
15 an estimate of the global treatment effect. If our goal is
16 to come away estimating what is the magnitude of effect of
17 this class of agents on death/MI at 30 days, I am more
18 comfortable with that inference if I am pooling similar
19 populations and similar interventions.

20 Of course, the downside to that is I get less
21 generalizability insight if I am only looking at results
22 across similar settings. So, the reverse setting is where
23 you are looking at diverse populations and diverse
24 interventions. In those settings, we are able to get more
25 clues about generalizability and consistency but in that

1 setting we have to be much more cautious about using the
2 global point estimate as something that we would believe
3 applies to all of the populations and all of the
4 interventions that were studied.

5 I think Freeman, Furberg and DeMetz give a nice
6 summary of what are the concerns that can arise in terms of
7 where dissimilarities are. They refer to dissimilarities in
8 interventions, dissimilarities in the study populations, in
9 the length of follow up, in the measures of response and the
10 quality of data.

11 So, how similar or dissimilar are we in this
12 setting? Are we looking at settings where everything is
13 similar and we have the opportunity to get a point estimate
14 that we can attribute to all populations and to all
15 interventions, or do we believe that there are important
16 dissimilarities? I might point out, and Rob has already made
17 this point, that to do a meta-analysis such as this where we
18 have A compared to placebo and B compared to placebo, and
19 then try to conclude that this tells us something about A
20 versus B is something that we have to be very concerned
21 about or very cautious about.

22 So, specifically, I have great concerns in meta-
23 analyses such as these in being able to come out with
24 conclusions that different agents are, in fact, equally
25 effective or unequally effective when all of them have been

1 compared to placebos and none of them have been compared
2 head-to-head.

3 Dose issues also are important when I talk about
4 different agents. So, it is not just different specific
5 agents, but if we are looking at different doses of
6 suboptimal doses those issues have to be taken into account.

7 Study populations -- this is really a key issue,
8 particularly as it relates to ACS and PCI, and I am going to
9 come back to this one. I want to comment a bit more on that.

10 Length of follow up and endpoints -- these are
11 also significant concerns here because we have talked about
12 several different endpoints, whether it is death, death/MI,
13 plus/minus revascularization at 2-3 days or at 30 days or at
14 6 months. But issues arise as well here, using a clinical
15 evaluation assessments or investigator assessments, and
16 those issues as well have major influence on the nature of
17 estimates of effect that we get even within given trials.

18 Quality of data certainly also has to be taken
19 into account. Those studies that are done with higher
20 quality being pooled with those that are not is an issue
21 that would be a general concern.

22 An issue that I want to defer is one that relates
23 to the temptation to do data dredging from a meta-analysis.
24 I will come back to that issue later.

25 What I would like to do is turn to the specific

1 issue of acute coronary syndrome versus PCI. Marv has made
2 comments about his sense in looking at these data, that the
3 overall estimates, either with individual trials or with
4 David's meta-analysis, certainly indicate to me as well that
5 the magnitude of benefit could well differ in these
6 settings. In fact, there is biological to expect that that
7 could be the case.

8 I would like to go beyond that to discuss briefly
9 the temptation to use data from one setting to conclude
10 efficacy for another. Specifically, can we use data from
11 trials that were specifically acute coronary syndrome trials
12 to determine efficacy in the PCI setting? We have had some
13 discussion already here about if you look within the acute
14 coronary syndrome setting, can you break it down into those
15 that received PCI versus those that didn't and get insights
16 as to how the treatment had its effect and, specifically,
17 was it mediated through an effect in those people who had
18 PCI. That seems to me to be an interesting activity or
19 attempt but it is extremely difficult to interpret the
20 results. In fact, I consider it treacherous -- treacherous
21 to do analyses in the acute coronary syndrome trials and to
22 conclude that the treatment is effective in PCI or in non-
23 PCI.

24 Let me be very specific. This is how these
25 analyses typically might be done, take a population where at

1 time zero -- time zero, where you randomize, where you
2 initiate the intervention is an acute coronary syndrome
3 population for which subsequently there may be PCI. So, we
4 would like to say, well, what would happen if there had not
5 been PCI in this population? Can we use these data? Well,
6 what we will do is we will censor people at the time of PCI.
7 Well, that is a marvelous statistical technique, although
8 the fundamental assumptions statistically to make it valid
9 are probably completely invalid because what you have to
10 assume when you censor somebody is that their subsequent
11 likelihood of having the event, which would be death or MI,
12 is exactly the same as people for whom you wouldn't have
13 considered PCI. You have two people moving along here after,
14 let's say, two days. One person undergoes PCI, the other one
15 doesn't. You are looking at trying to estimate time to
16 death/MI. You are going to use the experience in the person
17 for whom PCI was not judged to be necessary to impute what
18 the actual death/MI experience would have been in the person
19 for whom you offered PCI had you decided not to do so. And,
20 what we call that is informative censoring, and that
21 informative censoring assumption is clearly violated.

22 The other approach that you might use is you are
23 going to use these data, where time zero was acute coronary
24 syndrome randomization, and try to infer or try to conclude
25 what the benefit would have been if time zero had been

1 initiation of time of PCI. Well, we have at least three
2 issues that come up here. First of all, treatment didn't
3 begin in this setting at PCI; it began at some point before
4 that. The second issue is how do you deal with an MI that
5 occurs between randomization and the time of PCI? The third
6 issue is you are having to assume that the treatment itself
7 had no influence on the timing and whether you did PCI.

8 So, let me just summarize this to say again it is
9 treacherous to use an acute coronary syndrome population and
10 try to infer from that what the results would have been in a
11 PCI or non-PCI population. These are different settings, and
12 if you want to know efficacy in PCI you randomize at time
13 zero PCI. If you want to know efficacy in acute coronary
14 syndrome you randomize at that time zero. And, as Marv has
15 observed and I concur with him, the results seem to be
16 different across those settings.

17 The third issue, and I have taken probably more
18 time so I am going to defer this one -- the third issue is
19 the issue that has come up about persistence of benefit. I
20 am completely supportive of the concept that if an
21 intervention's effect is thought to be short term, let's say
22 2-3 days, it is very tempting to see the magnitude of the
23 benefit at 2-3 days and look to see whether that magnitude
24 is sustained out to a longer period of time. In fact, I
25 would strongly concur that such an assessment is critical.

1 If death is the endpoint, for example, yes, I agree we are
2 all going to die and so we know the difference is going to
3 disappear but that is irrelevant. The issue is the
4 difference sustained for a long enough period of time that
5 we consider it clinically relevant. A difference in death at
6 2 days that is gone at 7 days, I would argue, is not
7 clinically relevant. If it is gone at 30 days, I would argue
8 it is not clinically relevant. But if it is out there to 2
9 years and is gone at 5, it probably is clinically relevant.
10 So, it is important to look at whether or not the
11 differences completely disappear so that you get a sense of
12 what the overall magnitude of the effect is.

13 The problem that is going to come up, and this
14 part I will defer because I think it will come up later in
15 the day, is that it is easy to conceptually describe the
16 motivation and to describe what we are going to do as we
17 look to see if there is a significant and meaningful
18 difference at 2 days, and look to see whether the magnitude
19 of that difference is sustained at 30 days or 6 months. The
20 problem is when you start then to operationalize this with
21 statistical methods the properties of those statistical
22 methods are, in my view, undesirable, and we need to come
23 back to that.

24 DR. PACKER: Ray?

25 DR. LIPICKY: I don't want to prolong this very

1 much but the issue of how long does the effect last has been
2 sort of drifting the way it usually is, and the idea is you
3 give drug for 12 hours and, therefore, you are supposed to
4 live a long time. I think that that really needs to be
5 reexamined. If you believe what is going on here, something
6 acute happens and something acute is modified. If you
7 believe anything that is pertinent to extrapolations from
8 plaque burden, there are 50 years of plaque that are there.
9 You know, the circumstance is dealing with what happened in
10 that millisecond a little bit ago.

11 So, in all probability by dealing with that, a few
12 milliseconds or a few hours, one can influence short-term
13 outcome. It isn't clear to me, if one thinks that way, that
14 one has to mandate that there also be a long-term outcome.
15 One certainly has to mandate that it not reverse or go in
16 the opposite direction shortly. That would be adverse. But
17 it isn't clear to me, and I just want to be sure that this
18 gets discussed, that you have to have the effect size
19 persist for 30 days or 6 months or 2 years, or whatever it
20 would be, if the biological model that is going on is that
21 you have an acute event and this is something that you are
22 dealing with.

23 DR. BORER: I think that Ray has just sort of put
24 his finger on what is a sort of a subtext here, and we
25 really didn't discuss earlier what Tom just got into. The

1 issue is not so much whether the curves converge but the
2 slope of that convergence, because ultimately one has to
3 make a determination that if you have prevented something
4 bad, did you prevent it long enough to be clinically
5 meaningful -- the term that Tom used? And, we really haven't
6 that but I just put a marker in there, we have to discuss it
7 if we are going to talk about the way these drugs have to be
8 compared, particularly if we are going to talk about
9 indirect comparisons and putative placebos. It is true, Ray,
10 it doesn't have to last forever but it has to last long
11 enough for currently available methods to make that
12 intervention clinically meaningful, and I think we have to
13 keep that in mind.

14 DR. PACKER: Dave, thank you. We are going to go
15 on to presentations by the individual sponsors. In doing so,
16 let me first of all make a few general statements because it
17 is really important to try to get from A towards B today,
18 and I guess it needs to be emphasized that none of the
19 sponsors should view themselves as being at risk. All of the
20 drugs are approved for the indications for which they are
21 approved and nothing that we say or do today will change
22 what they are approved for. Although we are certain that
23 each of the sponsors has an enormous pride in its database
24 and views its database with some degree of affection
25 compared with other databases --

1 [Laughter]

2 -- that is really not the point of today's
3 discussion. We also are aware of what the trials are and
4 what the overall results have been since this committee has
5 considered many of them in the past. So, what we are really
6 interested in, and we hope to achieve, is the individual
7 sponsors' view on the questions before us. It would be
8 important to hear what you can say that will contribute to
9 the overall objectives of today's meeting. To tell you the
10 truth, we would really prefer if you focused on those points
11 as opposed to the relative benefits of your database
12 compared to any other databases. With that in mind, we will
13 ask Michael Kitt to lead off the discussion. Let me just say
14 that the briefest presentations will tend to be the most
15 effective today.

16 DR. LIPICKY: But you have just told them all of
17 the things that I said the opposite about, Milton.

18 **Trial Results: Eptifibatide**

19 [Slide]

20 DR. KITT: With the instructions that Ray gave us,
21 which are pretty close to what Milton said except for
22 timing, I have been asked to discuss some of the design
23 issues involved in conducting controlled studies of IV
24 inhibitors of IIb/IIIa in the treatment of patients with
25 acute coronary syndromes.

1 [Slide]

2 I will be presenting the basic hypothesis of how
3 these drugs work, and then why the PURSUIT design was
4 chosen, and how well we achieved the results of the PURSUIT
5 design. I will then be using data from the PURSUIT study to
6 illustrate some design issues in active controlled studies.
7 Finally, I will be looking at the previous studies in acute
8 coronary syndromes in order to further understand how design
9 can affect the control event rate, an issue that was sort of
10 touched on in the previous discussion.

11 [Slide]

12 It is important to start with the basic hypothesis
13 regarding the effect of these agents, namely, that the drugs
14 are only effective while being administered at therapeutic
15 concentrations. For the sake of this presentation, this
16 refers to levels of receptor occupancy in the range of 80
17 percent, an important issue when comparing clinical studies.
18 When administered in therapeutic concentrations, these work
19 by preventing thrombus formation in patients undergoing PCI,
20 and preventing thrombus proliferation and accelerating
21 thrombus resolution in patients with preexisting thrombus.

22 [Slide]

23 As simple as this hypothesis is in regard to the
24 drug's mechanism of action, the treatment of acute coronary
25 syndromes is remarkably complex. In contrast to ST-segment

1 elevation MI with its remarkable homogeneity and diagnosis
2 and treatment of patients, these same issues of diagnosis
3 and treatment are extremely heterogeneous, as we have been
4 discussing. Variation in the extent and duration of thrombus
5 characterizes the ACS population. There are some
6 characteristics which are markers for the presence of
7 thrombus, such as CKMBs and troponins, as was previously
8 mentioned, and there are other baseline characteristics and
9 comorbidity which are markers for outcome but, importantly,
10 again as we have been discussing, it is different patient
11 management strategies which can also affect the event rate.

12 [Slide]

13 The result of this heterogeneity and, in
14 particular, differences in patient management, will have a
15 marked effect on the control group event rate and effective
16 of the drug treatment. I will be describing how clinical
17 study designs, which deals with selecting patient population
18 and how the patient population is treated, will affect each
19 of these key features -- in other words, control group event
20 rate and effective of drug therapy.

21 [Slide]

22 I will now use examples to illustrate some of the
23 points I just made. We believe that at the time of starting
24 the PURSUIT time there was good evidence for a treatment
25 effect in patients undergoing PCI. Thus, the goal was not to

1 do another PCI study but, rather, to study acute coronary
2 syndrome patients at the time of presentation of symptoms,
3 the so-called time zero that Tom has just pointed at.

4 The basic premise in the design of the PURSUIT
5 study was to demonstrate the efficacy of a potent platelet
6 inhibitor in patients with acute coronary syndromes.
7 Specifically, we were looking to gain insight into the
8 treatment effect in a heterogeneous population with
9 different management strategies, different regional cultural
10 differences in medical practice. We believed that the large
11 simple trial model would be the best tool to achieve this
12 goal.

13 [Slide]

14 Thus, in order to accomplish this goal it was
15 important to enroll a broad patient population with a broad
16 representation of centers, global representation, real-life
17 inclusion and just limited exclusion criteria into the
18 study; to have no treatment mandate outside of randomization
19 specifically in regard to catheterization and
20 revascularization, and almost equally importantly, in regard
21 to the timing of the revascularization.

22 [Slide]

23 The study alternatives that were available to us
24 were either the traditional approval-directed trial or the
25 large simple trial, and this is a diagram that I have

1 adapted from a publication that Rod Taylor and Eric Topol
2 have done.

3 In the traditional approval-directed study one
4 would choose a fairly homogeneous population with more
5 restricted inclusion and exclusion criteria. In order to
6 optimize the possibility for drug effect, as we have been
7 discussing, one would choose to have a predefined treatment
8 strategy which, in this case, would have patients undergoing
9 PCI while on drug therapy.

10 Importantly, the results of a traditional
11 approval-directed study lead to one having to extrapolate
12 the results to clinical practice. In contrast, in a large
13 simple study design we would enroll a heterogeneous
14 population with broad inclusion and exclusion criteria, not
15 dictating clinical care. We would in this case not
16 necessarily optimize the timing of treatment to the disease
17 process. But, importantly, the large simple trial leads to
18 results that can be extrapolated to most clinical settings.

19 FDA has asked the committee to consider the
20 results of the completed studies in acute coronary
21 syndromes, and in order to assist the committee in this
22 process I would like to briefly describe the basic design
23 and results of the PURSUIT study.

24 [Slide]

25 Patients with chest pain or ECG changes were

1 randomized either to eptifibatide or placebo. Treatment was
2 to be continued for a maximum of 72 hours but there was no
3 minimum duration specified. All other decisions were left to
4 the discretion of the treating physician, including when a
5 procedures would be done and the timing of those procedures.
6 The primary endpoint in the study was death and myocardial
7 infarction at 30 days.

8 [Slide]

9 This slide describes the patient management -- how
10 patient management actually occurred during the PURSUIT
11 study. I have chosen the first 72 hours of the study
12 because, as I mentioned, that is the time when patients were
13 administered drug therapy. In the PURSUIT study a total of
14 64 percent of patients never went to the cath lab and a
15 total of 87 percent of patients were managed medically. Only
16 13 percent of the patient population actually underwent PCI
17 while drug was being administered. As you will see later in
18 my presentation, an approximately equal number of patients
19 underwent PCI when they were not on drug therapy, in other
20 words, after drug therapy was discontinued. In other words,
21 the PURSUIT design achieved its goal of studying patients
22 with acute coronary syndromes who were managed according to
23 normal clinical practice.

24 [Slide]

25 This slide presents the incidence of death and MI.

1 Just a brief reminder of the primary results -- as can be
2 seen, the treatment with Integrilin significantly reduced
3 the incidence of death and myocardial infarction while drug
4 therapy was being administered, from 7.6 to 5.9 percent at
5 72 hours, and this treatment benefit was maintained at the
6 30 days, the primary endpoint, 15.7 and 14.2 percent,
7 despite the fact that there was more than a doubling of
8 events from the first 72 hours and that these events
9 occurred while patients were not being treated.

10 The questions to the committee refer to patients
11 with ACS who undergo PCI, among others. A lot of my
12 disclaimer for what I am about to present actually was given
13 by Tom Fleming a few minutes ago. So, I will at least make
14 my disclaimer brief. However, I do want to mention that
15 patients did not undergo PCI in PURSUIT by design. The
16 investigators were told to practice medicine normally.
17 Consequently, patients may have had PCI because of their
18 disease state or PCI may have been due to the effect of the
19 randomized treatment, or the PCI may have been planned all
20 along. There is no way to get an unbiased estimate of the
21 treatment effect in this population.

22 [Slide]

23 So, having given you these caveats, I am going to
24 present a fairly complicated slide which, hopefully, you
25 will be able to follow with me. Just to divide this slide up

1 very simply, on the left-hand side of the slide are all the
2 placebo-treated patients; on the right-hand side all the
3 eptifibatide-treated patients. On the left-hand side of the
4 placebo group are patients who underwent PCI within the
5 first 72 hours and the patients who were treated medically
6 in the first 72 hours and, likewise, on the eptifibatide
7 side.

8 What you can see in this slide is the actual
9 number of events that occurred during the procedures. So,
10 1250 patients underwent PCI within 72 hours, 631 in the
11 placebo group and 619 in the eptifibatide-treated patients.
12 Important to note, there were 35 events even before the
13 procedure was performed in the placebo group, 11 in the
14 eptifibatide group. After the event, 71 events compared to
15 62 events.

16 Looking at patients who underwent medical
17 management, there were 4108 in the placebo group, 4103 in
18 the eptifibatide group. There was a reduction in events, 268
19 in the placebo group and 223 in the eptifibatide group,
20 which occurred during drug treatment. What is very
21 interesting and very important to note is that, in fact,
22 many more events occurred after 72 hours, in this case 371
23 in the placebo group, more than occurred during the first 72
24 hours, and 376 in the eptifibatide group. As one would
25 expect, when drug therapy was not being given there was

1 really no reason to believe why the effect should be any
2 different.

3 [Slide]

4 I am now going to go ahead and, in spite of my
5 caveats, present some of these results graphically. These
6 are the results of the patients who underwent PCI within the
7 first 72 hours. As you can see, there was a 5 percent
8 reduction, 16.8 percent to 11.8 percent, again with all the
9 caveats that I just mentioned.

10 [Slide]

11 I also want to point out the medical management.
12 This was discussed earlier, whether the drugs have an effect
13 independent of PCI. As tough as this particular piece of
14 information is to draw out, I have pulled out the
15 information based on the schematic that I showed a few
16 minutes ago to point out here that patients who were only
17 managed medically, who did not have a procedure in the first
18 72 hours, there was a 1.1 percent absolute reduction, and
19 patients who were destined to go to PCI but had an event
20 prior to that PCI -- in other words, they were managed
21 medically -- there was a 3.7 percent absolute reduction in
22 the event rate.

23 [Slide]

24 Having shown you the complexity of the PURSUIT
25 study regarding how and when the drug effect occurs when no

1 management strategy is prespecified, let me show you some
2 insights that we have for the design of active controlled
3 studies in the future.

4 I am going to describe five examples of factors
5 that need to be accounted for in the design of these
6 studies. These are, again among others, regional differences
7 in the population, differences in regional practice,
8 implications of revascularization on outcome, the
9 appropriate timing event point and the effect of new
10 therapies on the control event rate. Most of these have
11 already been brought up in general. I would like to bring up
12 some very specific examples.

13 [Slide]

14 I won't spend a lot of time on this, other than
15 discussing some very interesting findings from the PURSUIT
16 study regarding some baseline characteristics. Not
17 surprisingly, more obese patients in North America compared
18 to the rest of the world, as there are more blacks; more
19 procedures and more prior aspirin prior to coming into the
20 study in North American compared to the rest of the world.
21 Interestingly, there was more hypertension claimed in the
22 North American population but on admission to the study
23 slightly more patients were actually hypertensive.

24 [Slide]

25 Looking at probably a more dramatic difference in

1 medical practice, it is the prevalence of intervention on a
2 regional basis. This slide describes the percentage of
3 patients who underwent PCI and whether the procedure
4 occurred during drug therapy or not.

5 First, the striped bars are the 72 hours and the
6 solid bars are at 30 days. The first point to note is that
7 at any time point there were more procedures done in North
8 America, as we have already discussed this morning, compared
9 to anywhere else in the world. But there is another even
10 more important point to draw from this slide, 70 percent of
11 the procedures performed in North America were performed
12 early, while on study drug, whereas if you take Western
13 Europe as an example, only 30 percent, 70.2 of the 24,8
14 percent of interventions that were performed were performed
15 on drug, and roughly 50 percent of all procedures performed
16 in the study were performed after drug was discontinued.
17 Again, if you believe that the drugs work in this setting,
18 this factor is very important in looking at control event
19 rates.

20 [Slide]

21 Patient management strategy, namely whether a
22 patient has a procedure or not, can greatly alter outcomes
23 in studies of patients with acute coronary syndromes. I
24 would like to contrast several key features of studies of
25 patients undergoing PCI compared to trials of patients with

1 acute coronary syndromes.

2 In studies of PCI, the thrombus that is to be
3 treated is induced by deployment of the device at the time
4 of the procedure in contrast, with ACS patients who present
5 with their plaque which has ruptured spontaneously.
6 Likewise, the timing of plaque rupture is at the time of
7 randomization in PCI studies, whereas in acute coronary
8 syndrome patients it is almost always prior to
9 randomization. The timing of treatment in regard to the
10 plaque rupture in studies in PCI is simultaneous with plaque
11 rupture and, obviously, this optimizes the opportunity for
12 efficacy, and in acute coronary syndrome studies this is not
13 at all timed with plaque rupture. In addition, as you have
14 already seen, patients with acute coronary syndromes
15 continue to have events over time.

16 [Slide]

17 As an example of a study in patients undergoing
18 PCI, I would like to briefly describe some of the results of
19 the IMPACT II study. As the committee recalls, this was a
20 study conducted in patients undergoing PCI and doses of
21 Integrilin that achieved approximately 50 percent receptor
22 occupancy. To illustrate the point that I just made with
23 regard to PCI, I would like to describe when the events
24 actually occurred.

25 This slide describes the probability of an event

1 following PCI at any given time point of randomization. As
2 opposed to the slide that Rob Califf showed earlier today
3 where this time course actually was out to 30 days, this is
4 a time course out to 24 hours, and whereas in Rob's earlier
5 slide you were looking at most events happening in the first
6 3-5 days, this is from IMPACT II in 1994 when stents were
7 barely used, and you can see the probability of having an
8 event after about 9 hours is very, very remote.

9 [Slide]

10 Contrast this with some of the data from the
11 PURSUIT study. Where time zero was device deployment in the
12 IMPACT II study, the PURSUIT study shows a very different
13 type of event pattern. This slide describes the timing
14 events in the placebo patients of the PURSUIT study. The
15 upper curve describes patients who were managed with early
16 PCI compared to the patients who were managed with medical
17 management early, in the first 72 hours.

18 What is apparent from this slide, as we have been
19 discussing most of the morning, is that patients who undergo
20 PCI early have their events early, with few new events
21 occurring after the procedure. By contrast, patients who do
22 not undergo intervention within the first 72 hours have more
23 than a doubling of events from the first 3 days out to the
24 30-day time point and, therefore, controlling for this
25 factor in a clinical study would be critical.

1 [Slide]

2 Based on the hypothesis of how these drugs exert
3 their effect, it would make sense that treatment effect
4 would occur while patients were on therapy. In addition,
5 events that occur after drug therapy is discontinued should
6 occur in roughly similar proportions.

7 [Slide]

8 Again, these are the results of the IMPACT II
9 study at both the early time point, 24 hours, and at 30
10 days. There was a reduction from 9.6 to 6.6 percent at the
11 24-hour time point at the dose used in this study. As
12 expected, the effect of drug therapy can be seen at this
13 early time point when the intervention occurred and when the
14 drug was being administered. After the procedure there was a
15 small accumulation of additional events in both treatment
16 groups, with little effect on the absolute reduction.

17 Just for a point of information, this study, which
18 I mentioned earlier, was done at 50 percent receptor
19 occupancy to show these type of results, and we are
20 currently conducting a study looking at greater than 80
21 percent receptor occupancy.

22 [Slide]

23 This slide shows the time course of events in the
24 PURSUIT study. There was a significant reduction at 72
25 hours, from 7.6 to 5.9 percent. Had the primary endpoint

1 been at this particular time point, we would have a very
2 pretty p value, but the absolute reduction in death and MI
3 was significantly maintained, nevertheless, out to 30 days.
4 However, as I mentioned, after discontinuation of drug
5 therapy there was roughly an equal number of events, as you
6 saw in that schematic, and there was a doubling of the total
7 event rate from 72 hours out to 30 days.

8 [Slide]

9 In designing clinical studies in the future one
10 must also consider new advances in therapy that can affect
11 the control event rate. What is shown here is the use of
12 intracoronary stents in some previous studies. In the IMPACT
13 II study only 3.5 percent of patients received an
14 intracoronary stent, and that was performed in 1994. Three
15 years later, in the PURSUIT study, of the 1250 patients who
16 underwent a PCI, 50 percent of patients received an
17 intracoronary stent and, as you are all aware, the usage of
18 stent this year is well above 60 percent.

19 [Slide]

20 As a result of this increased stent usage, we have
21 seen a dramatic fall in some of the complications of PCI.
22 Shown here is angiographically observed abrupt closure in
23 the IMPACT II study. In the placebo control group there was
24 an incidence of 5.1 percent of abrupt closure, whereas in a
25 more recently conducted study of stents you see an event

1 rate of only 10 percent of what was seen in the previous
2 IMPACT II study.

3 [Slide]

4 Finally, in an attempt to illustrate some of the
5 factors I described on the studies already conducted, I
6 would like to show a comparison of some of the key features
7 in the three previous studies of ACS. I will specifically
8 discuss diagnosis, patient management strategies, endpoint
9 definition and definition of new myocardial infarction.
10 Again, I think this will help in some of the understanding
11 of the meta-analyses that have already been presented.

12 [Slide]

13 Looking at differences in inclusion criteria, both
14 PURSUIT and PRISM-PLUS enrolled patients both with the old
15 definition of unstable angina non-Q-wave MI, non-ST-segment
16 elevation syndromes. The CAPTURE study, on the other hand,
17 only enrolled patients that were refractory to standard
18 therapy and had been confirmed eligible for PCI by coronary
19 angiography. Other than that, ECG criteria were remarkably
20 consistent for the three studies, with only the PURSUIT and
21 PRISM-PLUS study allowing CKMB positivity for entry criteria
22 into the study.

23 [Slide]

24 I would like to contrast the relatively similar
25 entry criteria with the marked differences in patient

1 management strategies among the studies. Looking at
2 angiography was not required in the PURSUIT study, strongly
3 suggested in PRISM-PLUS and was a requirement in CAPTURE.
4 Waiting period, there was none specified in PURSUIT, 48-96
5 hours in PRISM-PLUS and in CAPTURE the patient needn't be
6 refractory to standard therapy. PCI was not required in the
7 PRISM-PLUS and PURSUIT studies, was required in CAPTURE.
8 There were differences in heparin. Heparin was not specified
9 as required in PURSUIT although 90 percent of patients did
10 receive heparin, and heparin was used in the other two
11 studies. Importantly, there was no minimum infusion duration
12 in the PURSUIT study, 48 hours was specified in PRISM-PLUS
13 and 24 hours in the CAPTURE study.

14 [Slide]

15 Looking at some important differences in the
16 primary endpoint, death and MI were prespecified in PURSUIT,
17 death, MI and refractory ischemia in PRISM-PLUS, death and
18 MI and urgent revascularization in CAPTURE, which is
19 certainly more similar to the PCI study. Timing event
20 points, 30 days, 7 days and 30 days. Probably something that
21 is extremely important to point out, and I think has been
22 mentioned previously, is the role of the endpoint
23 committees. In PURSUIT all suspected events were reviewed,
24 where essentially in the PRISM-PLUS study only investigator
25 identified events were reviewed.

1 [Slide]

2 Then, really getting down to some critical issues
3 here in regard to differences in definition of MI,
4 specifically looking at post randomization definitions in
5 patients who were basically managed medically, in the
6 PURSUIT study any elevation of the CKMB or, if there was no
7 CKMB, CK was considered an MI. The PRISM-PLUS study was
8 really looking at 2-fold elevation of the CKMB. In the post-
9 PCI population it was pretty uniform, 3-fold elevation of
10 the CKMB, although in PRISM-PLUS and PURSUIT it was a single
11 elevation whereas in CAPTURE it required two samples, and
12 some differences in the post-CABG definitions.

13 But what is really important to note is what
14 effect these definitions had on the control group event
15 rate, 13.5 percent in the PURSUIT study, 9.2 percent in the
16 PRISM-PLUS study, 8.2 percent in the CAPTURE study.

17 [Slide]

18 Just one last slide looking at what the effect in
19 the PURSUIT study would have been if we had used somewhat
20 standard criteria, a CKMB elevation of 2-fold and it being
21 at least 3 percent of the total CK, and this is death and MI
22 not just MI, it brings the total placebo event rate down
23 from 15.7 to 10.3 percent; the drug-treated group 8.8
24 percent, but what is interesting to note is the same 1.5
25 percent which shows that really the drug is working in the

1 larger MIs and the effect of drug therapy in the smaller
2 CKMB elevations was not apparent, as shown here, without
3 much difference.

4 [Slide]

5 I would like to conclude in pointing out that
6 study design obviously has a very important impact on
7 clinical outcomes. We have been speaking all morning about
8 the heterogeneity of this population, but also major
9 difference in management strategy among the studies really
10 needs to be taken into consideration. The whole question of
11 real world versus a standard study, approval-directed study,
12 not trying to make the case of one better than the other but
13 the fact that they are different all need to be taken into
14 account because these all affect the control event rates and
15 the magnitude of drug effect. Thank you.

16 DR. PACKER: Thank you very much. We will see if
17 the committee has any specific questions. I think you have
18 outlined many of the issues not only of heterogeneity
19 amongst trials but heterogeneity amongst the point estimates
20 which pertain to the meta-analyses that we heard about
21 earlier. Jeff?

22 DR. BORER: I have two questions just to raise for
23 discussion because I really don't think there is an answer,
24 but in your slide on entry criteria you noted that unstable
25 angina and non-Q-wave MI was the constant among the various

1 studies that you compared. But my reading of the studies
2 themselves suggested that there is a marked heterogeneity in
3 the definition of unstable angina and non-Q-wave MI among
4 the various studies that you put up there. The PURSUIT
5 definition was relatively tight, whereas, for example, some
6 of the criteria in the tirofiban studies -- there were
7 multiple criteria and some of them were very different. In
8 some of the studies one of the criteria was the angiographic
9 appearance of lesions as opposed to the clinical syndrome.

10 So, the first concern I have to raise is that
11 presumably we are talking about one clinical entity or, more
12 importantly, one pathophysiological entity and I am not sure
13 that we really are and, you know, I would like some comment
14 on that.

15 Another issue, just to get them all out and then
16 you can deal with whichever you like, you suggested, and we
17 heard before something that I would find fairly compelling,
18 that is, that the absolute risk reduction was maintained
19 over time even though the relative risk reduction wasn't as
20 new events occurred due to other pathophysiologic processes
21 that were sort of randomly distributed. The numbers you
22 showed for overall event rate were small numbers so that
23 differences among small numbers, which are even smaller,
24 really are not appropriate bases to draw conclusions.

25 But, as I looked at the data from 24 hours to 30

1 days for the endpoints you showed, actually remarkably the
2 difference between the placebo and the control group did
3 decrease, and almost always by about 15-20 percent. Now, I
4 don't know if that is meaningful, and I wouldn't suggest it
5 is meaningful but that gets back to the point that Tom
6 mentioned and that I raised earlier about the rate at which
7 these rates are approaching one another, the slope of the
8 curves of the convergence rate. So, I raise those issues for
9 you to comment on.

10 DR. KITT: Let me answer the first one, the entry
11 criteria, and I am sure Rick Sax can comment better on the
12 different entry criteria in the tirofiban studies, but I
13 think you are referring to the PRISM study as opposed to the
14 PRISM-PLUS study. There were certainly some differences in
15 the entry criteria between PRISM-PLUS and PURSUIT,
16 principally the size or the magnitude of the CD changes and
17 also the duration -- how long you were allowed to have chest
18 pain before coming into the study. Those were probably the
19 two major ones.

20 The PRISM study, on the other hand, which I did
21 not address in this presentation and I think Rick will
22 address, really was looking strictly at medical management
23 over 48 hours. I didn't touch on that one. In that study, as
24 I recall, patients were allowed to have angiographic
25 evidence of coronary disease without ECG evidence of

1 coronary disease.

2 DR. BORER: You are right, and that is great, but
3 my point is are we really looking at a common
4 pathophysiologic process. You know, it has to be at least
5 reasonably similar pathophysiologic process for us to accept
6 all these results as being lumpable. It may well be. I just
7 raise the question because it seems to me that the clinical
8 syndromes are different enough so that maybe we are not
9 really talking about the same processes here.

10 DR. KITT: I don't think I can disagree with this,
11 and a lot of it has to do with the type of patients enrolled
12 into the study. Even if you had actually very similar entry
13 criteria into the two studies and, nevertheless, had
14 enrolled patients in different places, let alone in this
15 country -- for example, hospitals that are quick to go to
16 angiography as opposed to hospitals that don't but, you
17 know, specifically looking at the PURSUIT study we had
18 patients enrolled with different types of disease, so to
19 speak, in places like Eastern Europe and Latin America, even
20 though they met similar enrollment criteria you see
21 differences like the incidence of prior history of
22 congestive heart failure and smoking histories and incidence
23 of hypertension. So one could argue that even with sort of a
24 crude measurement or crude entry criteria of what the
25 disease is, there is still some dramatic heterogeneity in

1 that patient population.

2 Getting to the second question, which is how much
3 of an effect acutely does one need to preserve at some later
4 time point, I think is a very good question and I believe
5 Doug Throckmorton is going to be addressing that later on. I
6 mean, I don't know whether there is a good answer
7 statistically or whether there is going to be a reasonable
8 response to that but, again, since a large number of events
9 occur over time whereas the absolute reductions remain
10 similar but not identical, the question is how much
11 difference in that absolute reduction is considered
12 maintaining that benefit.

13 DR. BORER: Again, my question is are they really
14 remaining similar? You know, we are talking about small
15 numbers here --

16 DR. KITT: Right.

17 DR. BORER: -- small numbers of events, low event
18 rates and what I may be asking may be totally beyond the
19 capacity for resolution with the data that we have, but as I
20 look at your data from 24 hours to 30 days for the several
21 endpoints that you showed, you know, it seemed if I did
22 quick calculations, which I did in front of me, the event
23 rates converged; the absolute delta changed. It dropped by
24 about 15-20 percent.

25 DR. KITT: You are probably referring to the

1 IMPACT II study. Again, the issues that we are really
2 discussing here have to do with one disease state versus the
3 other, but in the PURSUIT study the absolute reductions are
4 very, very similar from the time of discontinuation of drug
5 therapy until 30 days. It almost stays at 1.5 percent the
6 entire time period. I agree with you, with the IMPACT II
7 study, maybe because of the dosage which is only 50 percent
8 of receptor occupancy, that might have changed.

9 DR. PACKER: Jeff, let me take one of the points
10 that you just asked, and ask Michael and David to address.
11 Since we are trying to discuss whether active-controlled
12 trials are feasible and how they should be designed and
13 interpreted, it would appear from the data that you
14 described, and specifically some of the discussions that
15 occurred when the PURSUIT study was discussed on the
16 committee, that if one wanted to maximize one's chances of
17 success, and maximize the magnitude of the benefit, one
18 would, in studying patients with acute coronary syndrome
19 study patients that were largely in the United States as
20 they have the greatest incidence of percutaneous coronary
21 intervention, define the endpoint early as opposed to late,
22 that is, define it at 24, 96 hours as opposed to at 30 days,
23 and all of these would be ways of maximizing the treatment
24 effect. If that would be in the sponsor's interest to do
25 this, does a historical group exist to allow a comparison?

1 In other words, just suppose the sponsor decided they wanted
2 to do this but instead of going against placebo they wanted
3 to go against active therapy, do the data exist to allow an
4 estimate of the treatment effect for purposes of comparison
5 in an active-controlled trial? Because, clearly, the
6 sponsors will want to maximize their chances of success and
7 the ability to show that they are equivalent or better than
8 placebo would be in a population study that had the greatest
9 chance of greatest magnitude effect, studied at a time point
10 that would show the greatest magnitude effect. Maybe, Dave,
11 you can begin with this.

12 DR. KONG: I think one of the challenges is not
13 even like the magnitude of the effect but, rather, how
14 confident we are about the magnitude of the effect and
15 oftentimes you will see, just because the overall number of
16 events may be good, the confidence intervals at early time
17 points tend to be wider than if you measure the events later
18 on.

19 So, I think there are several concerns that you
20 have when you start talking about planning active controlled
21 studies. One is which agent do you use as an active control?
22 Now, we have been fortunate in the aspirin experience. We
23 have a large number of trials using exactly the same agent,
24 aspirin, versus placebo upon which we can base some
25 approximate estimate of what aspirin's effect is against

1 placebo.

2 Unfortunately, it seems that in many other
3 compound classes we have a great deal of difficulty having
4 that same monotonous evidence base. So, one of the
5 challenges that you have when you try to plan an active
6 controlled study is, well, which agent, if you do choose to
7 pick a single agent, is going to be your active control and
8 does that skew your estimate one way or another?

9 One potential method would be to say, well, we
10 would broaden the inclusion criteria for what you might use
11 as an active control, although usually that is not
12 necessarily good from the standpoint of being interpretable
13 in the regulatory arena.

14 Another would be to say, well, we will pick some
15 active control for which we have known experience with
16 placebo. What that does, of course, is start limiting your
17 previous experience. That is, you would have to ignore all
18 the other work that has been done with agents that you
19 haven't decided to be your active control, and limit your
20 decision as to how good your active control is versus
21 placebo only to those patients where it has been directly
22 compared.

23 I think that is one of the complexities of the
24 evidence based for glycoprotein IIb/IIIa inhibitors, and
25 that we have certainly got enough evidence for each of these

1 compounds to be comfortable that each individual compound is
2 reasonably effective against placebo. But one entertaining
3 question is, well, is there enough evidence to use any one
4 of these compounds as an active control?

5 Now, there are certain situations, in fact, where
6 there are some people in the world who feel that it is even
7 difficult to use aspirin as an active control for that
8 reason, and that there are patient populations, say, if you
9 want very specific patient populations, say, patients
10 exclusively with acute coronary syndromes without ST-
11 elevation for which, if you narrowed the definition for
12 aspirin that closely, there are some differences in the
13 trial results, namely AMIS, which is the largest trial to
14 look at these types of patients, goes in the wrong
15 direction.

16 So, it is concerning that even with the best
17 evidence that we have in a particular drug class, say
18 aspirin, we have sometimes difficulty selecting aspirin as
19 an acceptable active control in certain situations. And, as
20 a result, with the IIb/IIIa we also have a situation where
21 there may be some uncertainty as to which agent we might
22 select.

23 Now, experimentally and statistically there may be
24 some way of creating adjustments for which active control
25 you get and comparing that to placebo on a broad scale, but

1 that is probably not yet firm enough to be used in actual
2 control designs without further exploration statistically.

3 DR. PACKER: Michael, do you want to comment?

4 DR. KITT: Yes. The question I believe you are
5 asking has to do with how basically the time zero point
6 interferes with some of the interpretation of these results.
7 There are two members of the PURSUIT steering committee
8 sitting here, so I could take advantage of that opportunity
9 to ask them to comment on the design and the advantages of
10 the design.

11 But an important point for us to make, and I don't
12 think we were all that successful when we came here to
13 present our data the first time, is that PURSUIT really was
14 not designed to do the things that are trying to be pulled
15 out of this discussion, and a very similar design to what
16 these other studies were.

17 I go back to Tom Fleming's point or the whole
18 issue of time zero. This study was designed to look at
19 patients from the time they were presenting and, by
20 definition, because of the way the study was designed, we
21 were not, for better or for worse, maximizing the
22 opportunity for drug efficacy. Therefore, you have all these
23 other events occurring later.

24 Now, one can get into a discussion as to whether
25 in the future this type of patient population should be used

1 for designing clinical trials for drug approval as opposed
2 to the more directed type of design, but I can tell you that
3 the information that one gains out of this type study is
4 very applicable to the patient population that we will
5 treat.

6 DR. PACKER: It is very applicable, and I think
7 that that is one of the strengths of the study design, but
8 it does allow one to, rightfully or wrongfully, take apart
9 the data and allow a company that wants to do an active-
10 controlled trial to try to maximize their opportunities. The
11 question is do the data exist that allows them to do that in
12 a way that can be interpreted. That is the question. Tom and
13 Ray?

14 DR. FLEMING: Could I have you, Michael, put yours
15 back up, and could I borrow your pointer?

16 DR. KITT: Yes.

17 DR. FLEMING: While this is going up, let me just
18 reiterate what has been said a few moments ago. The PURSUIT
19 trial was ideally designed to address the question of
20 relative efficacy in the setting of acute coronary syndrome
21 at time zero, in a setting in which about 12-15 percent of
22 patients will subsequently undergo PCI in the first 72
23 hours. It provides us a directly interpretable answer in
24 that manner.

25 The issue though is can we glean from this

1 insights into what the efficacy would be if we initiated the
2 time of PCI or in a medical management setting. Do we have
3 that slide?

4 [Slide]

5 There it is. The events here are broken down into
6 those in whom there was PCI within 72 hours or not, and the
7 events that occurred before PCI or after PCI. As we look at
8 overall efficacy relative to the PCI analysis -- go ahead
9 one slide, Michael.

10 [Slide]

11 -- this analysis is addressing the issue is there
12 efficacy in PCI? It shows a fairly impressive 16.8 versus
13 11.8. I will remind you though that this 16.8 is made up of
14 two groups and the 11.8 is made up of two groups. Let's go
15 back to that previous slide.

16 [Slide]

17 What are those two groups? The 16.8 is made up by
18 adding the 35 and 71 and the 11.8 is made up by adding the
19 11 and 62. The major difference here is the events that
20 occur before PCI, the 35 versus 11, rather than the events
21 that occur after PCI, which is 71 versus 61. So, if we are
22 trying to glean from these data whether or not the
23 intervention is effective initiated at PCI, most of this
24 difference are the events that occur even before PCI is
25 initiated.

1 What is interesting is we get to use those nice
2 events not only for the PCI analysis, we get to use them for
3 the medical management analysis because they occurred before
4 PCI. So, the medical management analysis is going to pool
5 the 35 and the 11 with the 268 and the 223.

6 [Slide]

7 There are those same 35 versus 11, and that is
8 where most of the signal is. The bottom line here is that I
9 am not trying to conclude from this that the intervention is
10 not effective when initiated at PCI or is not effective in
11 medical management, and maybe there are some clues here to
12 suggest that it might be but most of these signals here are
13 in the people who had the events before the PCI, who
14 ultimately had PCI in 72 hours. The study is not designed to
15 address initiation at PCI. The study is designed wonderfully
16 to address a very important question, time zero is acute
17 coronary syndrome in a population for which about 10, to 12,
18 15 percent will undergo PCI in the next 72 hours. At that
19 time zero does initiation of this intervention provide
20 benefit?

21 Now, if you can tell me who at time zero are the
22 people that are going to undergo PCI in 72 hours -- it is
23 all the males, and all the females aren't -- now I have an
24 interpretable subgroup. But I don't know who those people
25 are in this time zero. It is what Yusef and colleagues would

1 call an improper subgroup.

2 The only interpretable analysis in this study is
3 the one the study was elegantly design to address, which is
4 relative efficacy in acute coronary syndrome.

5 DR. LIPICKY: But there, I think, studies in
6 people with acute coronary syndrome where the basis for
7 randomization was that they were going to have percutaneous
8 intervention. So, there are point estimates for what the
9 effects of percutaneous intervention randomization are in a
10 patient population with acute coronary syndrome. So, the
11 answer to your question, Milton, is that there is data
12 available that will allow one to decide whether you could do
13 a positive control if you were using randomization on the
14 basis of predetermining that you were going to have PCI in a
15 patient population with acute coronary syndrome, but you
16 could not dissect the PURSUIT data and pull your magnitudes
17 out from there.

18 DR. PACKER: I think the difficulty is that in an
19 attempt to maximize their chances of success sponsors are
20 likely to design inclusion and exclusion criteria, primary
21 endpoints and the timing of primary endpoints in a way that
22 at least fulfills their bias or pretest hypothesis as to
23 where the effect is likely to occur. The question then
24 arises are there placebo control data in that subgroup of
25 patients that one can use as a comparator because one

1 couldn't use the whole meta-analysis as a comparator. One
2 would likely use as a comparator the point estimate at the
3 confidence --

4 DR. LIPICKY: Oh no, I don't think so. I mean, you
5 make it sort of sound evil. The signal here is pretty small.
6 That is, all told the treatment benefit is small. So,
7 basically it requires large populations. Then, if you are
8 going to do a positive control trial that introduces other
9 problems. So, it may be appropriate to have your primary
10 endpoint be the place where the signal is the largest. So,
11 you know, it is not evil to think of doing that; it may be
12 the right thing to do. So, that is point number one.

13 Two, one obviously has to be sensitive to how the
14 inclusions and exclusions are written but, in fact, one has
15 point estimates for something in each of these
16 circumstances, that is, for elective percutaneous
17 intervention, for sort of urgent percutaneous intervention,
18 and for acute coronary syndrome. And, I guess that that is
19 where the questions sort of get addressed, and then Rob sort
20 of led the committee astray a little bit ago, without
21 anybody challenging him, by saying that studies are supposed
22 to be for the practice of medicine, not to figure out
23 whether drugs work and that is going to influence that
24 discussion also because the question is, is that really what
25 you want to do if you are a drug developer? I wouldn't want

1 to do that if I was a drug developer.

2 DR. KONG: One comment would be that certainly the
3 easiest active-controlled trial to interpret is where the
4 new compound actually beats -- the superiority over the
5 active control. Although if you select a very specific
6 population that may have a large portion of treatment
7 effect, that subgroup, based on your previous evidence, may
8 be so small as to give you rather large confidence intervals
9 around that point estimate of larger treatment benefit which
10 would actually make that candidate as an active control
11 harder to beat if you are trying to measure against placebo.

12 DR. SEIGEL: Milt, I think your comment several
13 minutes ago was something to the effect that there are
14 incentives to study in drugs where the treatment effect is
15 expected to be larger. It is important to note in this
16 context that, from the regulatory perspective, if the
17 standard of approval is to show a difference that incentive
18 exists and that, of course, is the case for placebo-
19 controlled trials or the types of trials David just
20 mentioned.

21 If we are heading an area where we will be
22 discussing standard of approval to show that there isn't a
23 difference between your product and an effective drug, the
24 incentive exists to study in a population where the effect
25 of drug has minimal effect so that even if your drug is not

1 effective, it will not appear different.

2 I guess a lot of people here were at a meeting
3 about a month ago where I spoke about lessons from lytic
4 therapy at some great length. To suffice to say, there,
5 where we have many trials where by meta-analysis you get
6 many standards of deviations of effect, you can look and see
7 that for people treated after 12 hours, for people with
8 inferior rather anterior MIs or people with less ST-
9 elevation you can get good, precise statistical estimates of
10 the impact on effect size. You could, if a regulatory agency
11 would allow you to do that, design a trial such that you are
12 not likely to find a difference even if you use an effective
13 drug.

14 Of note from that experience -- those were all
15 entry criteria -- of not from that experience there is, for
16 the reasons that we have discussed, it is harder to address,
17 you can compare U.S. to European trials where procedure
18 rates were different but it is not a randomization factor.

19 Interestingly, when you get to the issues of can
20 you pool effects from multiple different therapies to draw
21 appropriate bounds for the use of any one of those
22 therapies, although there was some significant consensus on
23 that point that you could when the total database was maybe
24 only 100,000 patients -- so, as the databases grow, as more
25 people are questioning whether accelerated TPA, for example,

1 might be different from other therapies, there is more
2 question as to whether, in fact, those pooled estimates --
3 in fact, a lot of people sitting in this room have expressed
4 a strong opinion that applying the same pooled estimate to a
5 different therapies is quite inappropriate.

6 DR. CALIFF: I would like to address three or four
7 points that have been brought up. First is Jeff's point
8 about the effects converging. In fact, at least in our
9 analysis, that is not what the data show, and I think it is
10 important to point that out. I think the panel has a
11 systematic overview and if you look at each of the
12 endpoints, death, death plus MI, or death plus MI
13 revascularization, the absolute difference actually gets
14 larger between the early time point and 30 days and then
15 comes back down again at 6 months to about the same place
16 that it was at the early time point. It is only the relative
17 effect that diminishes. So, the absolute difference actually
18 does not converge in the pooled data, and for the specifics
19 of what we are discussing today I think that is an important
20 point to make.

21 Secondly, Ray's point that the treatment effect is
22 small, unfortunately, if we actually force ourselves to
23 measure clinical outcomes, that is almost always the case.
24 So, as we are thinking about the future, if we want to
25 measure blood pressure differences we can see big treatment

1 effects. If we want to measure effects on mortality or
2 infarction of recurrent hospitalization for heart failure,
3 we are going to see small effects. So, we are going to have
4 to think of methodology to deal with small differences
5 because that is what treatments do.

6 Third, I do want to comment on the driving force
7 and the point of view of the steering committee behind the
8 trial design in PURSUIT, which I think is very important to
9 consider and gets back to Ray's comment about my misleading
10 the committee. I think Ray is misleading the committee, and
11 we argue about this all the time, but we can do very
12 targeted, small trials in situations that magnify or allow
13 us to see that a drug has an effect. Then we can all smugly
14 approve the drug and go home assuming that when it is used
15 out in the American public that it is going to have some
16 benefit for the whole population. But I would argue that we
17 have had a lot of experiences over the last five years where
18 that smugness has been discounted by what happens in the
19 complex environment of the real world, where we can't
20 control exactly the populations that get the treatment,
21 where practitioners give multiple other therapies at the
22 same time.

23 So, the driving force on the COR Therapeutics at
24 the time PURSUIT was designed was that we have to make this
25 decision in the emergency department before we know who is

1 going to get an intervention, and where we don't know what
2 the intravascular ultrasound looks like, and where
3 practitioners are going to treat different patients
4 different ways, and we would like to at least be confident
5 that if we unleash this treatment on the public that it is
6 not going to do harm in the general context of the way it
7 might be used in practice. So, that was the driving force.

8 We could have designed a study that would have
9 maximized the potential drug benefit and then felt good
10 about the p value, but I would argue we should feel bad
11 about that as a committee for what we might do to the public
12 with the decisions that we make. I know it creates a
13 difficult time for drug developers but maybe in good
14 conscience we should be attacking the difficult problems.

15 DR. LIPICKY: But I guess it obviously isn't
16 helping here now. If, in fact, one goes with one's bias that
17 it looks as though the intervention stuff is the place where
18 you get the most effect, and I make the argument that that
19 is where it looks like to me, then, in fact, if you are
20 going to develop another drug you and want a positive
21 control trial, I would argue that that is the population you
22 ought to study, people who are going to have percutaneous
23 intervention, because you will have a smaller positive
24 control trial to do. You may even be able to study two doses
25 -- wow, that would be a tragedy, wouldn't it! -- as opposed

1 to doing acute coronary syndrome, and you might learn
2 something in addition to developing a new agent. And, the
3 results of PURSUIT would be thrown away for purposes of
4 doing that because it wouldn't be useful.

5 So, indeed, there are competing things here from
6 the vantage point of what is it you want to do when you are
7 developing a drug. One of them is to figure out whether the
8 drug works in some specific circumstance, and most
9 economically, I would say, because it is certainly not crazy
10 to try to develop a drug in an economical fashion. The other
11 is to figure out what doctors should do in the emergency
12 room. Sometimes you can put the two together. I don't know
13 whether you think that would really work with PURSUIT. It
14 obviously got it approved; so it did. But whether that was
15 really the best thing to do, I don't know. As a practicing
16 physician and someone responsible for patient care, you may
17 think so and I wouldn't disagree with you. So, I don't
18 disagree with what you are saying. I just think there are
19 these competing things and they shouldn't be ignored as
20 being competing.

21 DR. PACKER: Ray, you make the point that it would
22 be in a sponsor's interest to pursue an enriched population
23 -- let me use that term, but Jay makes the point that that
24 would be true only if you were going against placebo.

25 DR. LIPICKY: Well, but I think that is wrong.

1 DR. PACKER: If you were going against active
2 therapy --

3 DR. LIPICKY: Because the stipulation is that in a
4 positive control trial you have to be able to make the
5 argument "I would have beat placebo had placebo been
6 present." So, Jay's example would not allow you to do that,
7 and that would be a lousy positive control trial.

8 DR. SEIGEL: The positive control trial gets to
9 one of the questions we discussed in pooling, if the
10 estimate of how close you have to be, based on the question
11 Ray just asked, is based on a broad variety of populations,
12 high effect populations and low effect populations, if you
13 determine based on a pooled meta-analysis that you have a 4
14 percent total effect and you want to be within 2 percent,
15 then your incentive is going to be to choose that low effect
16 population where the true total effect size may only be 1 or
17 2 percent because it will be easy to be within 2 percent of
18 that even if you are inactive. Of course, the appropriate
19 regulatory approach is to limit the estimation of the effect
20 size of the active control to studies that use the same
21 entry criteria, the same drug, and the same concomitant
22 therapy as the one that is being used in the active control
23 comparison.

24 Remember, all of these active control comparisons
25 are, in fact, indirect comparisons -- the same ones that Rob

1 a few minutes ago said are never valid. He was saying that
2 if A is compared to placebo and B is compared to placebo you
3 can't compare A to B. When we are talking about active
4 controls we are saying A is compared B; B was compared to
5 placebo and we are drawing inferences about A versus
6 placebo. The same problems exist if the populations are
7 different, if the concomitant therapies are different, if
8 the active control therapy, if its dose is different, if any
9 of those are different, since you don't have randomization
10 to account for those, you just have to use your brain power
11 to guess which of those things matter and which don't. That
12 was my point before.

13 At least we have some data in the case of acute MI
14 and which ones matter, and we can make some corrections.
15 Here we have a lot of reason to speculate but not nearly so
16 much data.

17 DR. LIPICKY: I don't disagree with anything you
18 said except the first two sentences. That is, the standard
19 for approval, I think, should not be that you have not lost
20 more than X. That is how you started out. You have to start
21 out saying I would have beat placebo had placebo been
22 present. So, if you select a patient population and/or a set
23 of controls where you couldn't make that argument, then you
24 are 100 percent right and, obviously, the regulatory
25 agencies have to be careful how those decisions are made.

1 That is what we are trying to figure out so that one doesn't
2 get into that business because the principal thing is I
3 would have beat placebo had placebo been present. Then you
4 can say, okay, well, then you probably are right but you
5 have to have an effect size that is somewhere close --

6 DR. SEIGEL: To put an end to this, we are saying
7 the same thing --

8 DR. LIPICKY: Yes.

9 DR. SEIGEL: -- when I am saying you have to be
10 within a certain amount, I am suggesting that you have to be
11 within that amount to assure that you have activity.

12 DR. PACKER: Let the record show that Jay actually
13 favors the comparison to a putative placebo but
14 concomitantly says that sponsors don't calculate it that way
15 and just want to show that their treatment effect is within
16 a certain range, and that is not the way to do it. Is that
17 right?

18 DR. SEIGEL: I wouldn't say it is not the way to
19 do it. I think if you are going to be within a certain
20 range, that range is usually calculated to ensure that you
21 are either better than placebo or so much better than
22 placebo, it may actually technically work better to do an
23 indirect comparison to placebo, which is what Ray is saying.
24 I don't disagree with that.

25 DR. PACKER: We will have Tom and Rob before we

1 break for lunch.

2 DR. FLEMING: Rob has made a very important
3 argument on behalf of designing trials in ways that allow us
4 to reliably learn the effort of an intervention in the real-
5 world setting. The acute coronary syndrome and PCI settings
6 are related but they are different and it is important to
7 understand the efficacy in each of those settings.

8 If the question is what is the effect of
9 intervention, in this case Integrilin in the PURSUIT trial
10 where time zero is as defined in the acute coronary syndrome
11 setting, the conduct of this trial, and Michael Kitt has
12 made the point in terms of the real-world setting and large
13 simple trial aspect, is in essence a large part of why I
14 consider this an elegantly designed trial. It is a trial
15 that was designed to give us a reliable answer about the
16 impact of this intervention in the setting of acute coronary
17 syndrome.

18 We need that answer as well as the answer in the
19 PCI setting. If we only had the answer in the PCI setting
20 and we see, as we have seen from David's meta-analysis,
21 about a 35 percent reduction, the reality is, David's meta-
22 analysis is telling us, in the acute coronary syndrome it is
23 about a 12-15 percent reduction. If we only had the 35
24 percent reduction and now we enter into an active-controlled
25 trial of a few intervention against one of the previous

1 I Ib/IIIa's, and we are using the 35 percent reduction
2 estimate but we are actually doing this trial in the acute
3 coronary syndrome, we are going to be greatly misled. So, it
4 was extremely important that studies were done in both
5 settings. As Rob pointed out, it is true that it is going to
6 be harder to show that you have a significant effect in the
7 acute coronary syndrome setting, but it is a different
8 setting that requires an accurate understanding of what the
9 relative efficacy is, and for all the arguments that Rob
10 made, studies like the PURSUIT study are properly designed
11 to address that setting, and are necessary if we intend in
12 the future to do an active controlled study against
13 Integrilin, for example, in acute coronary syndrome.

14 DR. PACKER: Rob, last word?

15 DR. CALIFF: I thought Ray had a great comeback
16 there, actually. I was impressed, and I agree with his
17 contention that if you were going to start in this setting
18 with an active control comparison that percutaneous
19 intervention is where you got the clearest effect and would
20 be the right place to start. But what I would worry about
21 would be saying, okay, that is enough. Do that with your new
22 drug that has some kind of antiplatelet activity and then
23 just give it to the whole world in whatever setting you want
24 to. That would be very pertinent to the PCI setting.

25 But beyond that, if we want to know if it should

1 be used in ACS, my concern is that it probably really needs
2 to be studied there because in the real world patients with
3 ACS are getting exposed to not just percutaneous
4 intervention but a variety of other drug therapies, and we
5 need to have some understanding of how it all fits together
6 to recommend it. So, I am afraid we don't get out of the
7 box, we don't get out of the argument by saying, you know,
8 here is one setting and we can do an active-controlled trial
9 and it really gets us through all the issues that we need to
10 get through.

11 But the final thought I have, which is probably
12 the scariest one, is that there is a tendency to want to
13 cling to this standard of showing you are better than
14 placebo. I understand the reason why we want to think that.
15 That is what the law says. But I have to wonder, going into
16 the future, whether it is a standard that we are sort of
17 hiding behind, super-mathematical sort of mumbo-jumbo, to
18 hide the fact that in essence we are doing what Dr. Seigel
19 said, which is that we are using a historical control that
20 we can't be sure about. So, no matter what kind of
21 confidence intervals or p values or whatever you end up
22 with, you still have a matter of judgment as to whether your
23 so-called putative placebo event rate is really what you
24 think it is.

25 DR. PACKER: Rob, that is truly in many ways

1 throwing down the gauntlet because I think Ray would
2 probably remind us that the law doesn't say that it has to
3 be against placebo. The law says that the recommendations of
4 the committee or decisions of the agency need to be based on
5 trials which are convincing to experts.

6 DR. LIPICKY: It really doesn't matter what the
7 law says. Have you ever heard me cite the law?

8 [Laughter]

9 DR. PACKER: Anyway, I think that the arguments
10 can be made both ways. I think the greatest degree of
11 comfort and confidence has accompanies the concept of
12 comparison against a putative placebo. I think one widens
13 the degree of uncertainty considerably if one pursues
14 alternative models, but that is for discussion after lunch.

15 DR. CALIFF: I just want to say that the comfort
16 level that you feel about a putative placebo may simply be
17 an illusion.

18 DR. PACKER: It is something to think about over
19 lunch. We will reconvene at 1:30.

20 [Whereupon, the proceedings were recessed at 1:30
21 p.m., to be resumed at 1:48 p.m.]

1 AFTERNOON PROCEEDINGS

2 DR. PACKER: We will resume with discussions for
3 this afternoon, and ask Rick Sax, from Merck, to talk about
4 the principles raised with trials of tirofiban.

5 **Trial Results: Tirofiban**

6 DR. SAX: Thank you.

7 [Slide]

8 I am going to spend the next few minutes talking
9 about the results from the tirofiban trials, in particular
10 the PRISM-PLUS trial and the RESTORE trial, mostly to use
11 these results to illustrate a number of points looking
12 forward towards the active-controlled trials and, in
13 particular, to talk a little bit about the inclusion
14 criteria that were used in PRISM-PLUS, the trial design and
15 some aspects that we have touched on already in the morning
16 session of medical management and percutaneous coronary
17 intervention, issues related to composite endpoint, some
18 issues that have come up a number of times already today on
19 durability, and talk just a little bit about subgroup and
20 cohort analyses.

21 Overall, I think that these issues have to be
22 factored into the consideration for active-controlled trials
23 and may raise the question as to if one can show, for
24 example, that one is not inferior to a certain time point
25 and certain endpoint what other factors might need to be

1 considered as one looks at non-inferiority. I also want to
2 talk about the PCI trial, the RESTORE trial, in particular
3 on some issues of selection of endpoints and how this may
4 relate to meta-analyses, and come back at the end to the
5 issue of durability.

6 [Slide]

7 Just to remind you, the PRISM-PLUS program
8 consisted of three trials, the PRISM trial which focused on
9 the period of medical stabilization; the RESTORE trial in
10 which the drug was initiated and the catheterization
11 laboratory in the setting of angioplasty; and the PRISM-PLUS
12 trial which was an ACS trial, focusing on all aspects of the
13 management of patients from medical stabilization through
14 angiography and through angioplasty.

15 Since I don't think that active-controlled trials
16 are likely to go against tirofiban on a background of
17 heparin, I am not going to discuss the PRISM trial here. I
18 will be glad to answer any questions about it. Nor am I
19 going to discuss the dropped arm which was a tirofiban alone
20 arm in PRISM-PLUS, but just focus on PRISM-PLUS as the ACS
21 trial and RESTORE as the PCI trial.

22 [Slide]

23 So, let me begin by addressing one of the
24 questions that the committee has to face this afternoon,
25 namely, is there a population that can be identified that

1 would serve as a standard for future active-controlled
2 trials? These were the inclusion criteria for the PRISM-PLUS
3 trial. As Dr. Kitt has already indicated, they were very
4 similar to those for the PURSUIT trial, the only major
5 difference being the duration of therapy. For PRISM-PLUS
6 they had to be randomization within 12 hours. In the PURSUIT
7 it was 24 hours.

8 But, precisely, patients had to have symptoms,
9 anginal symptoms and, in addition, had to have objective
10 evidence of electrocardiographic changes, ST depression,
11 transient ST-elevation, less than 20 minutes, or deep T-wave
12 inversions, or had to have enzymatic evidence suggestive of
13 an infarction, namely, elevated creatinine kinase or
14 elevated CK. I think nowadays we probably would include
15 troponins as a marker but at the time troponins were not
16 widely available. I think these are objective findings that
17 can be recognized in patients that would serve as a basis
18 for defining inclusion criteria that could be used in
19 subsequent active-controlled trials.

20 [Slide]

21 The study design had something very particular in
22 mind when we set this up, and this was done with certain
23 clinical and regulatory considerations and, as has been
24 indicated, did differ from the PURSUIT trial. The patients
25 presenting with acute coronary syndrome at time zero, as Dr.

1 Fleming has talked about, were randomized but then there was
2 a period of treatment where patients were not to have
3 procedures, a medical management period that lasted 48 hours
4 unless the patient developed an endpoint, in which case they
5 could proceed to procedures.

6 So, here we have a defined medical management
7 period, and this was put in here specifically to address the
8 question as to whether this drug was active, independent of
9 the setting of angioplasty, because we do recognize that the
10 treatment patterns do tend to favor patients going on to
11 angiography and angioplasty in particular in North American,
12 as has been pointed out. We did allow patients then to
13 continue on therapy through angiography and most patients
14 did undergo angiography. It was not mandated but they did
15 undergo angiography and angioplasty if the physician felt
16 that was clinically warranted. This was up to 108 hours.

17 Our focus, however, was on the overall management
18 of patients with acute coronary syndromes and, therefore, we
19 chose an endpoint at 7 days, thinking that that was
20 reflective of the drug effect. We wanted to look at the
21 overall drug effect and look at the effect for the in-
22 hospitalization period. We were also cognizant of the fact
23 that we should show durability looking at 30 days and 180
24 days, and I will come back and talk a little bit about the
25 implications of that.

1 [Slide]

2 Let me talk for a minute about the primary
3 endpoint. In the PRISM-PLUS trial the primary endpoint at 7
4 days was a composite of something we called refractory
5 ischemic conditions, new myocardial infarction, and we used
6 all-cause mortality. Again, it was at 7 days in patients
7 with acute coronary syndrome, non-ST-segment elevation,
8 syndrome of unstable angina, non-Q-wave infarction.

9 The refractory ischemic condition endpoint really
10 was designed to represent a failure of medical management,
11 and the patients continued to be objectively symptomatic
12 with objective evidence of electrocardiographic changes --
13 and this is what makes it somewhat soft -- through optimal
14 medical therapy, namely beta blockers, nitrates and maybe
15 calcium channel blockers titrated to heart rate and blood
16 pressure, and they were to continue to be symptomatic.

17 There was considerable debate, and continues to be
18 considerable debate -- I know this is one of the questions
19 the panel has to address this afternoon -- as to whether
20 this mandated proceeding to a procedure, and that gets to
21 the question as to whether procedures are good or bad or
22 whether they are part of a practice pattern, and we decided
23 that because of issues related to practice patterns around
24 the world that we did not want to mandate use of a procedure
25 as part of this condition but really focus on the symptoms

1 and evidence of ischemia. New myocardial infarction in the
2 study was defined as a 2-fold elevation of CK or an
3 elevation of CKMB, but there was no preordained screening of
4 CKs in this trial. This was based on clinical symptoms
5 and/or electrocardiographic changes. So, it was really
6 driven by symptoms. Death, as I mentioned, was all-cause
7 mortality. So, those are the components of the endpoints
8 that were involved in the PRISM-PLUS trial.

9 [Slide]

10 The result has been discussed here before. There
11 was a reduction of the primary endpoint from 74.9 percent to
12 12.9 percent, a 5 percent absolute reduction. The confidence
13 bounds have been described. I will show them on the next
14 slide. So, this could serve as a basis if one wanted to try
15 to do this. There is a good treatment effect. The control
16 group rate is I think understood. It could serve as a basis
17 for an active-controlled trial.

18 [Slide]

19 However, it is important to look not just at the
20 composite endpoint in the treatment effect. This was a one-
21 third reduction in the overall event rate. But when you come
22 to some understanding whenever one has composite endpoints
23 one needs to look at what drives the composite endpoints.
24 Here, as has been talked about before, there is really no
25 effect on overall mortality, recognizing that the numbers

1 are very small here, but this is consistent across all of
2 the trials. We do not see an effect directly on mortality
3 here, and it is really driven by both refractory ischemia
4 and myocardial infarction. In this case, both were of good
5 magnitude and both were significant even though the trial
6 was not powered to pick up any differences between the two,
7 and the composite endpoint, of course, reflects primarily
8 the fact that this is driven by myocardial infarction.

9 But as one thinks about active-controlled trials,
10 one needs to not focus just on the composite endpoint but,
11 again, one can envision trials and, in fact, we know of at
12 least one large trial where the composite endpoint was
13 positive by pooling of variety of arms but, in fact,
14 mortality went in the wrong direction. So, if one is, in
15 fact, going to go after a composite endpoint one would
16 clearly need to at least look at the components of that to
17 make sure that they are going in the correct direction, and
18 we think this is guidance that the agency has certainly
19 given, but then it becomes an issue of, well, is this all
20 driven by refractory ischemia or refractory that leads to
21 procedures, in which case one gets into issues of
22 procedures, or myocardial infarction and then one gets into
23 the definitions of myocardial infarction. So, it is very
24 important to define those quite accurately as one looks at
25 active controls.

1 [Slide]

2 I want to turn now to the question that has been
3 discussed in the morning about medical management because
4 this trial, again, was not powered to look at the medical
5 management period but we were very interested to know
6 whether there was an effect in medical management prior to
7 any procedures and, in fact, again, the trial was not
8 powered to look at 48 hours; it was a secondary endpoint,
9 but you can see a magnitude of treatment effect that was not
10 significant at 48 hours but certainly was in the same
11 ballpark as the overall effect at 7 days.

12 Again, here prior to procedures there was a
13 reduction in clinical myocardial infarctions and this was
14 not driven, as I said, by procedures. Procedures were quite
15 rare during this time period. So, there was an important
16 reduction in myocardial infarctions and deaths were too few
17 to really count them in any meaningful way, and refractory
18 ischemia went in the right direction but the primary driver
19 here was a reduction in clinical myocardial infarctions.

20 So, I think that one can begin to address the
21 question as to whether these drugs really have benefit in
22 the medical managed population independent of angioplasty,
23 getting to some of the issues we talked about earlier.

24 [Slide]

25 Let me turn now to the question of durability.

1 These curves I think are illustrative of the same point Dr.
2 Kitt has already raised, but the primary effect of the drug
3 is quite early on. This also came up in Dr. Califf's talk.
4 But after the initial time period for the study one sees an
5 accrual of events that essentially is in parallel between
6 the two treatment groups.

7 This is for the composite endpoint, which in this
8 figure also includes readmissions for myocardial infarctions
9 and readmissions for unstable angina. What you can see is
10 that readmissions plus the initial events accrue at the same
11 rate out to 180 days. Again, the study is not designed or
12 powered to do this, but it turns out that at 180 days we did
13 achieve a level of statistical significance, but for all
14 intents and purposes, the treatment effect that was seen at
15 7 days and certainly at 30 days is really just maintained.
16 The absolute delta is really not much different between
17 these two time points. That is true also of death and
18 myocardial infarction. Again, if you look at the delta at 7
19 days, which was an absolute delta of 3.4 percent, 3.2
20 percent at 30 days, 3 percent at 180 days, essentially all
21 one is doing here is preserving the initial treatment
22 effect, and I think this is just a demographic illustration
23 of the point that has been raised throughout the morning.

24 [Slide]

25 I want to venture into these very treacherous

1 waters that Tom Fleming has talked about already and talk
2 about some very improper subgroup analyses -- I think Ray is
3 probably going to cringe here but the FDA asked us to do it,
4 because I do think it is important as one thinks about
5 unstable angina claims of non-inferiority to recognize that
6 one could design a trial where the outcome is really based
7 on outcomes related to angioplasty, a trial such as Dr.
8 Packer has described already.

9 This was an analysis that -- again, I will admit
10 that these are improper subgroups; they are post-
11 randomization subgroups, very confounded by the fact that
12 they are after time zero and they are predicated to some
13 extent on the fact that they may have occurred during
14 medical management, but they can be used not for inference
15 but for an understanding of what drives the outcomes in the
16 trial.

17 Again, I will not focus on the statistical aspects
18 of these because they are improper subgroups, but it is
19 important to note that as one looks at the all-patient
20 cohort in the trial that there was a good effect in patients
21 whether they subsequently through randomization underwent
22 angioplasty, underwent bypass surgery or were in medical
23 management. As Dr. Fleming has already pointed out, patients
24 undergoing angioplasty or bypass actually get counted for
25 their medical management period as well, but the main thing

1 is that the overall results are consistent across these
2 various post-randomization subgroups, indicating that there
3 was not one subgroup that completely drove the effect, and
4 since the management of acute coronary syndromes really
5 represents a heterogeneity of practice patterns, including
6 medical management, bypass surgery or percutaneous coronary
7 intervention, I think that as one thinks about non-
8 inferiority of an endpoint at some time point after these
9 events occur one needs to have some understanding as to what
10 is driving the endpoint.

11 [Slide]

12 Just to point out again that there is a period of
13 time, as Dr. Fleming pointed out with the PURSUIT data that
14 happens before angioplasty, it also turns out here that
15 there was a benefit after angioplasty. As one might expect
16 for an agent that has complete inhibition, there is injury
17 at the time of presentation and then re-injury at the time
18 of angioplasty. However, again as has been discussed, this
19 is not to say that one should ever make inferences from this
20 subgroup that this should lead to an angioplasty claim. I
21 think that that would require another trial. So, in fact,
22 that is what was done and let me turn to that.

23 [Slide]

24 The trial that we used to study angioplasty was
25 the RESTORE trial, and in this trial tirofiban was

1 randomized versus placebo on a background of standard
2 angioplasty heparin, at least standard for the time, and
3 there was a very low incidence of stent use in this trial.
4 Time zero was at the time of angioplasty, and there were
5 prespecified analyses in this trial at day 2 and day 7,
6 again, related to the belief that the drug effect was going
7 to occur early.

8 However, as has been the case with all the
9 angioplasty trials in the field, the primary endpoint was
10 specified to be at 30 days and a secondary endpoint at 180
11 days. I just wanted to touch on a couple of issues here,
12 again, as they relate to active-controlled trials and meta-
13 analyses, related to the selection of endpoints and
14 selection of timing, which are also part of the questions.

15 In this trial, the composite endpoint -- and I
16 talked a little bit about the risks of composite endpoints,
17 but here the composite endpoint was all repeat
18 revascularization due to ischemia, stent placement for
19 abrupt closure. So, here stent placement was actually an
20 outcome rather than part of the procedure, and that is
21 something that may be very different than future trials
22 going forward from here. New myocardial infarction -- I am
23 going to spend a little bit of time on this because it is
24 quite important and relates to something that Dr. Thadani
25 has been emphasizing over a number of years now. And, again,

1 we can all agree on all-cause mortality, I believe.

2 The primary endpoint here was at 30 days, but I do
3 want to point out that unlike any of the other trials in
4 this field, here the population studied was a population of
5 acute infarction, that is, ST-elevation or non-ST-elevation
6 infarction or patients with unstable angina. So, this, in
7 fact, was the sickest going into the trial of any of the
8 populations that were studied in the setting of angioplasty.

9 [Slide]

10 Let me turn to the issue of endpoints because the
11 selection of endpoints does make a big difference, both in
12 the interpretation of meta-analyses in the field and also in
13 a looking forward way at active-controlled trials. Again,
14 this is an endpoint that I think everyone can agree on. But
15 there has been a lot of discussion about the definition of
16 myocardial infarction and the meaning of the levels of CK
17 elevation. I am not going to get into that discussion,
18 except to point out that in RESTORE, like PRISM-PLUS, the
19 definition of infarction was driven by clinical symptoms.
20 The protocol did not specify routine screening of CK during
21 the course of the post-angioplasty period, and this has
22 major consequences if one uses the concept of clinical
23 infarction versus enzymatic-based infarction. One can get
24 under-reporting of events by investigators looking for
25 clinical symptoms, or one can get under-reporting of events,

1 as you will see, if one doesn't draw CKs. That is something
2 that needs to be considered and very carefully specified in
3 an analysis of a trial, again, on a looking forward basis.

4 The other major difference in RESTORE with regard
5 to the endpoints was that here the steering committee, and
6 there was considerable debate about this, thought that what
7 was relevant for the patient was all angioplasties for
8 symptoms, not just urgent revascularization. There was
9 considerable concern among the steering committee members
10 about how to interpret what did or did not constitute an
11 urgent revascularization. So we, instead, went ahead and
12 favored counting all revascularizations.

13 I just want to point out the consequences of that
14 approach in the urgent acute setting, and what implications
15 that would have for an active-controlled trial. The other
16 trials in the field have all used the emergent urgent
17 definition. Here, stent for procedure failure was something
18 that was important at the time, but also would have to be
19 reevaluated because of the high use of stents up front and
20 not waiting for procedure failures. So, that is an issue
21 that really needs to be addressed for any active comparator.

22 [Slide]

23 This just gets to the issue of CK analysis and
24 what happens if you don't screen for CKs and rely on
25 clinical symptoms. You can see that actually in the PCI

1 setting, as one looks at death and myocardial infarction,
2 there is a very good balance irrespective of the entry
3 criteria -- I shouldn't say balance but very good
4 concordance, almost irrespective of the entry criteria if
5 one uses death plus MI driven by serial CK screening. The
6 event rates, irrespective of the trial, irrespective of the
7 type of patient population actually are fairly close to each
8 other, except for RESTORE which did not screen serially.
9 That is true whether one looks at 30 days or 6 months.
10 Again, I talked about death and MI here but this is almost
11 entirely driven by myocardial infarction.

12 So, I think as one thinks about the PCI trials one
13 can probably say that PCI may not make a difference for your
14 population entering the trial, but it does make a difference
15 how one collects CKs, and if one does not do this in a
16 systematic way one is going to have trouble with the active
17 comparison.

18 [Slide]

19 Again, these curves are probably similar to other
20 curves that you may or may not see, but the effect of these
21 drugs is quite early and then persists to some extent. In
22 RESTORE, however, there was a narrowing of the curves
23 between 7 and 15 days which, as I will show you, was related
24 again to the endpoint definition. The early benefit was seen
25 right after the procedure -- that has been described a

1 number of times -- and clearly persisted to 7 days but was
2 lost at 30 days, in large part, due to the endpoint
3 definition.

4 [Slide]

5 And, that relates to the fact that when you count
6 all procedures rather than urgent revascularizations. If we
7 had counted urgent revascularizations we may have seen a
8 curve like this where essentially all the urgent
9 revascularizations occurred in great proximity to the drug
10 effect so, almost by definition, one has urgent
11 revascularizations during initial hospitalization and then
12 the curves remain essentially flat. There are almost no
13 urgent revascularizations that take place after the patient's
14 discharge. This, in essence, forces the endpoint to the time
15 where the drug is having its greatest effect and, therefore,
16 when one talks about durability one is actually talking
17 about durability in terms of an event that happens here, not
18 something that is occurring out here.

19 If one looks at the other criteria of non-urgent
20 revascularizations, those begin to accrue after hospital
21 discharge, say, at about 7 days and then continue to accrue
22 over the time course and then continue to accrue over the
23 time course and, in fact, as I will show, they accrue out to
24 6 months.

25 [Slide]

1 Here, the trend went in the wrong direction for
2 tirofiban compared to the placebo group.

3 [Slide]

4 But lest one think that this is unique to
5 tirofiban, the same effect was seen with the abciximab
6 trials, the EPIC trial and the EPILOG trial where the non-
7 urgent angioplasties, the non-urgent bypass surgery within
8 30 days for this particular trial went in the wrong
9 direction. The 30-day data, to my knowledge, haven't been
10 published, but the same thing is for true at the 6-month
11 period with non-urgent revascularization going in the wrong
12 direction. So, again, from a looking forward point of view,
13 when one thinks about counting urgent revascularizations,
14 then one is really looking at the drug effect quite early
15 on. If one counts all procedures, then this leads to a
16 potentially different conclusion.

17 [Slide]

18 Now, all procedures have a common endpoint at 6
19 months, and when one counts all procedures at 6 months,
20 again, one sees the same pattern that one sees with all of
21 these agents over and over again, that the effect is right
22 here, right when the drug is available and at that point
23 events accrue in parallel going out to the 6-month period.
24 In fact, the delta at 7 days is virtually the same as it is
25 at 180 days, this being again an illustration of the point

1 that has been already made.

2 [Slide]

3 Just in conclusion, I think that the lesson we can
4 learn relative to active-controlled trials is that the
5 choice of endpoints is critical. One needs to understand the
6 meaning of the endpoints as they relate to the drug effect
7 and as they relate to the issues of durability. I think in
8 this field with the IIb/IIIa's we need to clearly identify
9 that the drug effect is early so over time it may be
10 acceptable, but I think, as has been iterated by the
11 committee a number of times, durability of effect may be
12 important.

13 But it is probably not sufficient to look at just
14 the primary endpoint or specified endpoint. One really needs
15 to focus on not just non-inferiority or comparability,
16 equivalency -- whatever term one wants to use, but really
17 look for consistency and interpreting the results across the
18 components of the composite, across subgroups, and I think,
19 in response to Tom Fleming's comments, it is important to
20 begin to look at the issues of practice patterns and whether
21 a trial is driven by medical management, if one is going to
22 focus on acute coronary syndromes, and what component
23 medical management has versus percutaneous coronary
24 intervention. So, thank you.

25 DR. PACKER: Questions?

1 DR. THADANI: Although you said that the patient
2 population was similar, I think there are several
3 differences. Patients might have been sicker. In the old
4 days we used to call it, you know, acute coronary syndrome
5 with pre-infarction.

6 The other problem, one of the issues is although
7 you said angiography was not mandated, if my recollection is
8 correct, almost 90 percent of the patients had angiographs.
9 If 90 is not mandated, I don't know what mandated is. Almost
10 every patient got angiography. So, if you are going to apply
11 the practice guidelines based on PRISM, which I assure you
12 nobody in my situation is doing, they are not getting the
13 drug for 48 hours and then doing an angiogram. They give the
14 drug and the next thing you know, the patient is in the cath
15 lab. So, it is not applicable results to clinical practice
16 at the present time.

17 The dose used in RESTORE was different than in
18 PRISM-PLUS. So, that is another issue which comes into
19 correlating the two because the dose was higher in the
20 RESTORE, if I remember correctly, and in PRISM-PLUS it was
21 different. Those are issues that I could argue with you --

22 DR. PACKER: But let me interrupt you. We have a
23 real mission today, and the mission is not to get the
24 sponsors to defend their data.

25 DR. THADANI: Certainly not. The question is

1 because can we combine your results with the PURSUIT or the
2 totality of the data? That is why I am addressing these
3 issues -- they are still there. Ray has pointed out that if
4 the drug effect is so good we should see it early and, yet,
5 in PRISM-PLUS, although it was a second endpoint, we do not
6 see much at 48 hours. You know, you show a great effect at 7
7 days which is maintained but the composite endpoint at 48
8 hours is negative. So, that is again another area of are the
9 drugs different, or do the procedures make a difference? I
10 want your comment on that.

11 DR. SAX: I think the points you raised are
12 correct about the specifics of the trial, and I think it
13 just goes to illustrate that one has to look very carefully
14 at what is actually driving the results in the individual
15 trials, and that just makes it a little bit more complicated
16 as one thinks about non-inferiority or comparability for
17 composite endpoints.

18 DR. PACKER: Again, whether a certain trial has a
19 certain p value, a certain time point, etc., etc. is not the
20 point of today's discussion.

21 DR. DIMARCO: I would like to ask a question. You
22 chose to go by clinical infarcts, the other studies have
23 gone by enzymatic infarcts. It seems to me your approach has
24 the disadvantage that you might miss things that may have
25 prognostic significance but at least you have the same

1 definition all the way through, whereas those people, if
2 they had enzymatic bumps, you know, the day after the
3 patient left the hospital, they would have been missed if it
4 was silent.

5 DR. SAX: Dr. Thadani has made the comment about
6 that, that essentially infarctions are confined to the
7 period of screening --

8 DR. DIMARCO: Why did you choose yours, and what
9 do you think the advantages are?

10 DR. SAX: At the time, in RESTORE the steering
11 committee was very concerned about the meaningfulness, as
12 has been addressed here, of small CK elevations. The data,
13 at the time the trial was initiated, were not available. I
14 think that the data now are considerably more compelling to
15 suggest that serial CK screening and, in fact, even low
16 levels, as Dr. Califf has already mentioned this morning --
17 even low levels of CK elevation probably have some
18 prognostic implications. But at the time that this trial was
19 conducted that wasn't understood, and so the steering
20 committee clearly favored going with a more clinically based
21 definition. In unstable angina generally one follows a rule-
22 out MI protocol and that is what drove the screening of CKs
23 in the unstable angina setting.

24 DR. PACKER: Rick, does that suggest that if you
25 were going to do it again you would have used the same

1 definition or a different definition? If you were going to
2 do it in the year 2000?

3 DR. SAX: If we were to do a trial in the year
4 2000, looking at non-inferiority in the setting of
5 angioplasty I think we would look at the amalgamated data
6 that has been acquired and follow the trials that have
7 already been done, and do serial CK screening. I think the
8 data are now there to support doing that.

9 DR. DIMARCO: How would you look at the CKs after
10 the procedure? Would you keep the people in the hospital for
11 the next 24 hours or monitor them for an extra 24 hours?

12 DR. SAX: No, we would monitor 24-48 hours or
13 until hospital discharge. Generally, these patients if they
14 have uncomplicated procedures are discharged within the
15 first 24-36 hours.

16 DR. PACKER: Following up on that question, how
17 would you know the effect had persisted if you didn't screen
18 CKs after discharge?

19 DR. SAX: That is the question Dr. Thadani has
20 asked every advisory committee, that essentially your
21 definition of infarction, unless it is clinically based and
22 you get readmitted, your infarcts are basically limited to
23 the time of the drug effect. It is the same thing as urgent
24 revascularization. So, what one is seeing at 30 days in
25 angioplasty trials, by the definition of the endpoints based

1 on CK screening and based on urgent revascularization is, in
2 fact, confined to around the time of the drug effect.

3 DR. THADANI: Milton, on that, there is a more
4 complicated issue. The patient comes at point zero; your
5 enzyme is negative; he is asymptomatic at 6 hours; his
6 enzymes go up. Now, since you have already randomized the
7 patient you count it as an ongoing infarct which you did not
8 pick up because enzymes don't increase until 6 hours, or the
9 drug could have induced infarcts. In the trials, most of the
10 trials are calling those as an infarct before actually it is
11 not a reinfarction. It could be a silent reinfarction, but
12 if you have a clinical endpoint of chest pain and then a
13 bump there is much more reinfarction. So, there are always
14 problems with even looking at infarcts with CPKs at any time
15 point. So, when you decide you have a patient with a non-Q-
16 MI in your trial, if his enzymes at point zero which is 12
17 hours -- say he comes at 12 hours, he has non-Q-wave. Other
18 guys in the trial -- because he has come within 2 hours and
19 his unstable angina with 6-hour enzyme is positive, he could
20 have been a non-Q to start with. So, I think there is a
21 major problem to analyze that data, although you hope that
22 in a large sample size they are equally randomized but there
23 are some difficulties.

24 DR. PACKER: Can we have some more discussion
25 about this because if we are going to discuss the conduct

1 and design of positive controlled trials in this area, we
2 can't do that without at least recognizing what an endpoint
3 for a drug effect should be. What should an endpoint for a
4 drug effect be? Should it be death? Death and MI? If it is
5 just death and MI, realizing that MI is going to be much
6 more common than death, is it enzymatically screened MI or a
7 clinical MI? Is it death, MI and revascularization? And, if
8 it is revascularization is it all revascularization or
9 urgent revascularization, or is it death, MI and refractory
10 therapy which may or may not lead to revascularization?
11 Unless we feel comfortable in known what endpoint we should
12 be looking at, almost all other questions in this area would
13 be very difficult to pursue. Jeff, any thoughts?

14 DR. BORER: Well, sure since you ask. I don't
15 think that anyone would argue about death, and probably
16 nobody would argue about infarction although the point has
17 been raised, that we have to define infarction. Personally,
18 if I had to make a first cut here without any data in front
19 of me to determine the implications of that, other than what
20 Rick showed and what is in the book here, I would say enzyme
21 screening is the appropriate way to go. The endpoint is more
22 specific and probably has more important implications than
23 merely the symptoms that go with it.

24 I think, and this gets back to a comment Rob made
25 before, or Cindy made, the issue is not whether you do an

1 angioplasty or not but whether the conditions exist that
2 require that something else be done because the patient
3 can't be managed. So, that would lead me to suggest some
4 definition of refractory ischemic symptoms with which the
5 patient cannot go on unless you add something more. Maybe it
6 is angioplasty; maybe it is bypass grafting; maybe it is
7 something else. But I think it is the clinical condition
8 that mandates the additional therapy that should be the
9 endpoint.

10 DR. PACKER: If you add the third component, Jeff,
11 recognizing that the third component was not necessarily
12 collected in most of the trials, one would be facing an
13 enormous challenge in terms of using the existing database
14 to adequately design positive controlled trials.

15 DR. BORER: Well, a problem but one possible
16 approach is to go back and look at the data and see if that
17 issue can be captured. I think that ignoring that third,
18 much softer endpoint is done at our peril because the fact
19 that it occurs confounds what one might have anticipated
20 with regard to the harder endpoints. I think that this would
21 be an issue of informative censoring, just as Tom was
22 mentioning earlier. So, I don't think we can ignore the
23 other endpoint. The question is do we have the data and can
24 we define it in a way that is useful?

25 DR. THADANI: I personally think that death is the

1 definite endpoint. Death never lies. And, in trials the
2 definition of infarct, even by enzymes, is different in
3 different trials. Some take twice the normal. Now, given the
4 data on troponin INT, a lot of patients are getting
5 infarcts. I have had patients with CPK of 50, MB of 4.8,
6 troponin of 1.6. So, patients got infarcts and none of those
7 infarcts were counted in the previous trials. So, that
8 moving definition makes it much harder. Even if you define
9 the previous data, it would be different numbers.

10 Regarding the need for revascularization, I think
11 it varies from fellow to physician. I got a patient on nitro
12 240; Mike's patient is on 20 and the patient has 3 or 5
13 minutes of chest pain and the next thing I know he is in the
14 cath lab. So, unless you can rigorously define -- and some
15 of the protocols have defined it, you know, irrespective if
16 the patient is on IV nitro. I think in PRISM-PLUS the
17 definition was 20 minutes of anginal episodes and in
18 practice nobody does that. So, I think it is a much softer
19 endpoint. I don't mind this being a secondary endpoint, but
20 in the composite it is difficult unless you really define it
21 and everybody follows it. So, I think those are the issues
22 one has to address.

23 DR. PACKER: There are lots of comments here, and
24 it is a very important discussion because, to tell you the
25 truth, if we don't get past this almost nothing else

1 matters. So, Udho, you are suggesting death and MI --

2 DR. THADANI: No, I am suggesting death on its
3 own; maybe MI as a secondary because I am not convinced --

4 DR. PACKER: You are not suggesting mortality
5 alone?

6 DR. THADANI: I am suggesting mortality alone
7 because the small MIs driven by enzymes don't translate into
8 late mortality.

9 DR. PACKER: You can't be suggesting mortality
10 alone!

11 DR. THADANI: I am.

12 [Laughter]

13 Why can't I?

14 DR. PACKER: You can't suggest mortality alone
15 because, one, there is no effect on mortality.

16 DR. THADANI: That is why I am suggesting large
17 trials to show effect.

18 [Laughter]

19 Why not? I mean, you did trials in heart failure
20 because you were not happy with hospitalizations for heart
21 failure. You ended up doing trials to show a mortality
22 difference. All the thrombolytic trials are based on
23 mortality. Now we are going to lower the standards and use
24 therapeutic agents -- we have not talked about negative
25 risk/benefit ratios here. All we have heard in the two

1 trials is they talk about death and MI. Even in the first
2 trial we did not talk about bleeding risk, you know, the
3 grand complications, whatever else. So, I am saying what I
4 am saying --

5 DR. PACKER: But how can one do putative placebo
6 modeling for an endpoint for which there is no treatment
7 effect?

8 DR. THADANI: Well, if you can't do it keep on
9 doing placebo-controlled trials.

10 DR. CALIFF: But there is another issue with
11 regard to mortality as the sole endpoint here, you would
12 need trials of 100,000 --

13 DR. THADANI: So, your drug is not as good as you
14 think.

15 [Laughter]

16 DR. PACKER: The trial doesn't do that. It doesn't
17 reduce mortality. That doesn't mean it isn't valuable.

18 DR. THADANI: I realize that, but I think that is
19 important. I could argue with you that I could tell a
20 patient I am going to do angioplasty, I am going to give an
21 infarct. Rather than waiting for an infarct on day 30, I am
22 going to give it to you on day 1 by enzymes. Are you going
23 to be happy with it? If the PCI study is the correct one,
24 and as Rick has shown, if you go by symptoms limited your
25 infarct rate is 6.1; in all the other trials it is about 9

1 or 10. Do you tell the patient I am going to give you
2 angioplasty and I am going to give you enzyme rise on day 1
3 by 4 percent? I bet no patient would take it if you told him
4 that.

5 DR. PACKER: We will do it this way, Marv; after
6 Marv I am going to turn to Ray. Jeff, hold on; I promise I
7 will get back to you. Marv?

8 DR. KONSTAM: Well, I disagree with Udho because I
9 think MIs are important and because I think we are not going
10 to see another study if we hold it to the standard of
11 mortality alone because of the event rate problem.

12 I actually want to make just two sets of comments.
13 One relates to this idea of acute urgent revascularization
14 as an endpoint. I will say that for studies with acute
15 coronary syndromes in this country in the year 2000, you
16 know I have a major problem with that as an endpoint. I
17 think there probably is a country somewhere where coronary
18 intervention is not commonly performed for patients with
19 acute coronary syndromes, but it isn't the United States.
20 Furthermore, as has been alluded to before, we don't have a
21 scenario where people are -- and I think Cindy said it well
22 earlier, or someone said it -- we are not keeping people in
23 a unit for a few days and seeing how they respond to
24 medicines before we are taking them to the cath lab. It is
25 just a fact of life. We can argue whether it is right or

1 wrong but it is just a fact of life. I think that in that
2 environment I am troubled by acute urgent intervention as an
3 endpoint in the acute coronary syndrome patients.

4 I would have no problem with it in an angioplasty
5 trial, which actually, as others have pointed out, is really
6 a stent trial these days. In a percutaneous coronary
7 intervention trial, need for repeat urgent revascularization
8 takes on a whole new meaning and there I would have no
9 problem with it.

10 So, although I sympathize with the notion that
11 there is meaning to patients having recurrence of their
12 syndromes, I think for practical purposes I have a problem
13 with using acute urgent revascularization as the indicator
14 of recurrences as part of the endpoint.

15 So, to me, MI and death is really where you are on
16 solid ground for the acute coronary syndrome studies. I
17 don't know what the answer is with regard to clinical MIs
18 versus enzyme screening. I defer to Rob and others in terms
19 of the data indicating that small CPK rises -- or maybe we
20 should be looking at small rises in troponin as having
21 prognostic significance. So, I sympathize with that view and
22 I think that there is something more objective about it than
23 just relying on clinical symptomatology as part of the MI
24 syndrome.

25 But I am very troubled by the Integrilin data

1 showing the difference in the MI endpoint between the
2 investigator-defined endpoint and the endpoint committee-
3 defined endpoint. Maybe we might have some discussion about
4 that. Let me say it this provocative way, if you believe the
5 drug worked, then the investigators did a better job of
6 defining endpoints that showed the drug worked than the
7 endpoint committee using enzymatic screening. And, I am very
8 troubled by that finding. It has still not been explained to
9 my satisfaction. It suggests to me that there is something
10 happening in the environment of the intensive care unit with
11 an investigator in front of the patient that is defining an
12 event that really is meaningful. I will just leave it with
13 that.

14 DR. PACKER: Let me ask Cindy, Cindy you referred
15 to this in a previous comment today. Can you give us your
16 views as to what would constitute an appropriate endpoint?

17 DR. GRINES: Well, I agree with Marv. I would
18 probably eliminate refractory ischemia and urgent TVI. I
19 think that is very subjective. I don't think that it is well
20 controlled as to the degree of antianginal medications that
21 patients are on to make that determination. The definition
22 of urgent intervention could be just putting the patient on
23 the table at the end of the day. It doesn't mean that you
24 rushed him down and burst through the doors to throw the
25 patient on the cath lab table. Furthermore, it is going to

1 be eliminated by stenting, which is happening in about 80
2 percent of all the interventions now.

3 So, I think that we should eliminate that as an
4 endpoint. Clearly, death has to remain an endpoint. With
5 regard to infarction, I think we all agree infarction is
6 important but what we disagree on is how it is defined. I
7 think that there are a lot of questions still as to the
8 frequency of the screening, as to whether an MB elevation
9 alone in the absence of a CK is an appropriate endpoint.
10 Although it does have prognostic significance, it may in
11 fact just be a marker of diffuse soft atheroma, similar to
12 what Steve Nissen was discussing this morning. I think even
13 data from Duke shows that the clinician's definition of an
14 infarct does have more prognostic importance than the
15 clinical events committee. I want to opt for allowing the
16 clinician to define the infarct.

17 Now, I am not totally opposed to drawing enzymes,
18 but what would be nice is if those enzymes were, in fact,
19 blinded to the investigator and the investigator had to make
20 an independent determination as to whether they thought the
21 patient was infarcting or draw their own enzymes, as opposed
22 to these protocol-determined enzymes. And, that happens in
23 many trials. You send out your troponins so the operator
24 doesn't really know. If they want to draw enzymes they order
25 them themselves.

1 DR. PACKER: I am going to ask Paul Armstrong to
2 think about this because I want to get your views on this.
3 Ray?

4 DR. LIPICKY: I will be changing the subject --

5 DR. PACKER: Oh, no, we don't want to do that. Not
6 yet.

7 DR. THADANI: On Cindy's question, can I ask Cindy
8 the significance of enzyme rise post-PCI because I was at a
9 meeting and they said the enzyme rises really don't mean
10 that much as opposed to the clinical coronary syndrome,
11 acute ACS, because there are differences because your lumen
12 size is bigger when you are blowing a balloon up. Am I right
13 in my thinking or is it a new trend which is developing,
14 which might have important consequences for what the enzyme
15 rise means?

16 DR. GRINES: I think that there is a lot of data
17 that if you do have an enzyme elevation it is going to
18 ultimately affect your prognosis. But enzyme elevation in
19 the absence of angiographic complication or any clinical
20 scenario -- it doesn't cause the patient to die and, you
21 know, we talked a lot about -- at least not die early. They
22 may die three years down the road but that is from a rupture
23 of a second plaque presumably. If we all believe that the
24 treatment effect occurs during the duration of treatment,
25 then you have to question whether these MB leaks -- truly MB

1 leaks, not CK elevations -- whether that is clinically
2 relevant. The only way you can answer that question is if
3 you do have a mortality difference.

4 DR. THADANI: So, you are agreeing with me that
5 mortality is important.

6 DR. GRINES: Well, obviously mortality is
7 important.

8 DR. THADANI: Because why we started treating
9 infarction was to prevent death. Originally why did the
10 whole thrombolytic trial start was to prevent death. And, I
11 think the same thing, my comments were applicable to ACS
12 because when the Duke group showed the data that ACS is as
13 bad as acute MI because you showed 12 percent, 14 percent,
14 yet, surprisingly, mortality is not showing a difference.

15 DR. PACKER: We will have Paul and Rob.

16 DR. ARMSTRONG: I have two comments, Milt.
17 Symptoms in this disease are obviously incomplete and often
18 misleading feature of --

19 DR. PACKER: Closer to the mike.

20 DR. ARMSTRONG: Symptoms are often are late,
21 incomplete and misleading feature of the disease, and
22 contemporary medicine suggests that physician interaction
23 with those symptoms makes it even more difficult.

24 Second, I think that the frequency with which we
25 sample and the things that we measure will impact on the

1 definition of infarction, and it is a continuum without an
2 arbitrary cut. All of the data that I am aware of suggest
3 that any movement above a previously established baseline
4 has prognostic implications.

5 The other issue, as has come up several times this
6 morning, is the good old electrocardiogram is a good
7 arbitrator at the time of entry into patient trials, and
8 also helpful in terms of arbitrating the presence or absence
9 of symptoms and their meaning. There are biologic ways,
10 including more continuous measurements of those that have
11 direct prognostic significance, and track treatment that has
12 been established as effective.

13 So, I would throw out those issues as I think
14 germane to the discussion at hand.

15 DR. PACKER: Rob and then Tom?

16 DR. CALIFF: I would like to make a couple of
17 comments about the endpoint issue. First, I think no one is
18 going to argue against death being included, but I think
19 death alone is just out of the question because even a
20 dramatically effective treatment -- I mean, there are just
21 things that are important other than death.

22 For those designing trials though, it is important
23 to understand that power based on a composite endpoint,
24 leaving you with relatively little power for death, does
25 leave you open to the possibility that by chance you will

1 end up way on the wrong side in your point estimate for
2 death and that can lead to some unanticipated, unpleasant
3 circumstances in explaining what you find.

4 My favorite endpoint is still death and MI, and I
5 think symptomatic MI in the setting of a cath lab experience
6 -- I think it is not really fair to compare that to
7 symptomatic MI in a patient who comes in to the emergency
8 department from home. I think most of us, if we had an
9 angioplasty or a stent implantation, would rather not
10 remember the experience in the lab, and there are a lot of
11 other uncomfortable sensations going on. So, to say we are
12 going to look for symptoms and then draw enzymes I think is
13 not exactly a perfect way to do it either.

14 So, my favorite endpoint would be death or MI. I
15 think what we learned from PURSUIT, that we are trying to
16 get published if we can get the journals to understand it,
17 is that when PURSUIT was designed there was concern that the
18 high threshold of enzyme elevation used before was lowering
19 the event rate and, therefore, leading to very large sample
20 sizes. So, any elevation of MB was called an MI in PURSUIT.

21 What the analysis really shows comparing the CEC
22 to the investigators is that there were a lot of examples,
23 particularly outside the United States, where a single
24 sample showed a minor elevation and, by definition, you had
25 to call that an MI, from the CEC. But I think a lot of those

1 were laboratory artifacts and not real events. If we require
2 2- or 3-fold elevation of CKMB the relationship between the
3 investigator call and the CEC becomes very concordant. So, I
4 think a threshold greater than just any elevation would be
5 needed. And, if there is a wide number of countries used in
6 the study, probably a core lab, if you could do it
7 logistically, would be ideal. That costs a fair amount of
8 money up front, however.

9 In ischemia, I can certainly buy that if a patient
10 has refractory symptoms that is a bad thing. No patient
11 would want to have that, and it could be counted as an
12 endpoint but, again, those designing trials need to be aware
13 that we are not sure that your power is actually going to be
14 greater if you include that composite on average. It may be,
15 at least based on some of the databases that we have, that
16 you actually reduce your power because the treatment may
17 have less of an effect on that third component of the
18 endpoint.

19 DR. KONSTAM: I understand what you are saying
20 about the PURSUIT data but might you not draw a different
21 conclusion about how the next trial should be done, namely,
22 that in fact the investigator-driven endpoint did a very,
23 very good job at distinguishing drug from placebo? And, so
24 what does that mean? It either means it is partly by chance
25 or the investigators were picking up something irrelevant

1 that the drug did, or, which I would favor, that the
2 investigator-driven endpoint actually did better than
3 anything else. And, why wouldn't you just conclude that you
4 might want to head in that direction, perhaps with a check
5 on it? That is to say, it would have to be CEC concurrence
6 with an investigator-defined endpoint.

7 DR. CALIFF: It is a case in PURSUIT if you look
8 at the endpoint where both the investigator and the CEC had
9 to call it. That is where you see the greatest treatment
10 effect. So, it is tempting to want to go in that direction,
11 but that is just one trial. We don't have an empirical
12 database to say that would happen every time. So, I am sort
13 of swayed by the combination of the two, but there are just
14 too many cases when you do a trial where it is an obvious MI
15 and the investigator -- part of it I think is because study
16 coordinators collect the data; the investigator may be
17 looking at a case report form and not really thinking about
18 it. There are just too many cases that are obvious where the
19 investigator is wrong for me to be comfortable with that as
20 the only endpoint.

21 DR. GRINES: But, Rob, you have monitors that go
22 out to all these sites. So, it is not just the study
23 coordinator or the investigator that has made that mistake;
24 the monitor is supposed to catch that as well. So, then for
25 the events committee to double the rate of diagnosis of MI

1 is pretty substantial. How do you explain the errors in
2 monitoring then?

3 DR. CALIFF: We could have a long discussion about
4 on-site monitoring and what it accomplishes and what it
5 doesn't, particularly if you are doing large trials.

6 DR. PACKER: But not today.

7 DR. CALIFF: Right.

8 DR. PACKER: Let's see, Ray? Tom, I will not
9 forget about you, I promise. Ray and Jay since it is
10 important to get some regulatory input here. So, Ray?

11 DR. LIPICKY: Well, I wanted to disagree with the
12 notion that mortality should be in the combined endpoint.

13 DR. PACKER: Say it again, Ray.

14 DR. LIPICKY: Atypically, I would like to disagree
15 with the discussion and I don't think mortality should be
16 part of the combined endpoint. What we are talking about is
17 deciding what an endpoint should be for a positive control
18 trial sort of based on what we see in the data. Just like
19 you said, Milton, this stuff doesn't seem to affect that
20 endpoint. So, it is sort of catchy to have mortality be in
21 the endpoint; it is certainly not bad but I think it dilutes
22 things. I can certainly measure mortality but I would not
23 have it as part of the primary endpoint where, in fact, it
24 is detracting from one's ability to detect the signal.

25 DR. PACKER: Ray, this committee has gone through

1 this a number of times. I am sure Tom will refresh our
2 memory as to what the pros and cons of this are, but if I
3 remember correctly, the reason for including mortality in a
4 combined endpoint has little to do with the argument as to
5 whether there is a treatment effect there, but has a lot to
6 do with the argument that that is the worst possible outcome
7 and, consequently --

8 DR. LIPICKY: It is a competing risk, but I think
9 that is an erroneous thinking process.

10 DR. PACKER: -- and, consequently, we have
11 operated in general with the concept that to do, for
12 example, hospitalizations without including mortality as an
13 endpoint can lead to a whole host of erroneous conclusions,
14 not just based on competing risk, not based on what is a
15 worst clinical scenario, but it would imply that fatal MIs
16 can be excluded from an analysis that focuses only on non-
17 fatal MIs.

18 DR. LIPICKY: Right, but I want to defend the
19 position I took a little bit so I can argue this. That is,
20 if you don't know anything at all about the treatment that
21 you are undertaking, and that is usually the case in a
22 placebo-controlled trial, you really do have to evaluate
23 this new treatment for competing risk and all that sort of
24 business. But what you see in this business, and you have a
25 bunch of trials to look at, is that the mortality is

1 negligibly affected, if at all, so it, in essence, is noise.
2 So, now it becomes a safety issue and I would evaluate that
3 as an independent entity; certainly measure it, and I don't
4 know that I would power the study to be able to make a
5 distinction there but, for sure, as you said, since the
6 studies are not powered sufficiently to detect reasonable
7 magnitude of effect for death, if it is part of the combined
8 endpoint it may trend in the wrong direction.

9 We, indeed, say everything has to trend in the
10 right direction. So, we either have to stop saying that or
11 we are really being schizophrenic. And, we can evaluate
12 death and its trend, in the right direction or wrong
13 direction, whether it is part of the primary endpoint or
14 not. If the new trials behave like the trials we have seen,
15 having death in that composite endpoint is just noise and I
16 don't think it belongs.

17 DR. PACKER: Ray, realizing that schizophrenics
18 tend to be very adaptable people -- Jay, hold for a second.
19 Tom had his hand up. It was probably not to address this
20 issue --

21 DR. FLEMING: Now it is.

22 [Laughter]

23 DR. PACKER: Jay, was it this issue?

24 DR. SEIGEL: Well, I rose to address a different
25 issue I would still like to address, which is investigator-

1 determined MIs, but I would like to address this issue as
2 well.

3 DR. PACKER: Hold for a second, Jay. Tom?

4 DR. FLEMING: On this issue, I see three reasons
5 to include death. One is it could carry some of the signal
6 and when I look at David's meta-analysis, in the meta-
7 analysis there is a 25 percent reduction in the deaths at 30
8 days, which is consistent with the overall magnitude of
9 reduction in death/MI. So, it could be carrying part of the
10 signal.

11 Secondly, I agree with Milt's point. It is
12 profoundly important and it is concerning to me to leave out
13 from the primary endpoint an element that is the most
14 clinically important.

15 Thirdly, from a statistical standpoint, to not
16 count deaths does generate a very substantial informative
17 censoring. If somebody dies without having had an MI we are
18 going to assume -- they are not out of the analysis, they
19 are still in, but their subsequent profile for when then
20 have an MI is represented by other people who didn't die,
21 and to presume that that person who died was like the people
22 who didn't, in terms of their MI risk, is a very significant
23 assumption that I would believe is probably easily proven to
24 be wrong.

25 DR. LIPICKY: I can argue with each of those. I