

1 report to you an adverse event called in by a user?  
2 How do you know that's accurate? The reason that I  
3 ask is you mentioned among the devices, there were 93  
4 reports of malfunctions. Now, my practice and we  
5 have seven dermatologists, all of whom are very heavy  
6 users of injectable fillers. We have reported to  
7 manufacturers over 30 luer lock failures this year,  
8 and I would hate to think that there's only 60 plus  
9 individuals in the rest of the country who have  
10 reported this. So I question whether, in fact, that  
11 report gets to you from the manufacturer. What  
12 evidence do you have that there is compliance?

13 DR. LoCICERO: Yes, Mr. Melkerson.

14 MR. MELKERSON: May I ask that Douglas  
15 Wood, the Branch Chief of that group try to address  
16 this type of questioning. The presenters are  
17 familiar with the actual analysis of what was done.  
18 Policies and procedures would be Mr. Woods.

19 MR. WOOD: Good morning. My name is Doug  
20 Wood. I'm the Branch Chief of Product Evaluation  
21 Branch II, which is one of the two groups that review  
22 MDR analysis within the Food and Drug Administration.  
23 I'd like to address your question and Dr. Bigby's  
24 question and I believe it was Dr. Olding's question  
25 about some of the ways we do some of our reports, and

1 I'll start with yours, Dr. Newburger.

2           We do require, mandate by law, that  
3 manufacturers report adverse events to us through the  
4 MDR reporting system. These regulations are upheld  
5 through a number of ways, one of which is  
6 inspections. The manufacturer has the liberty, for  
7 lack of a better word, of determining whether a  
8 device adverse event is a malfunction, an injury or  
9 death report, or the infamous other. And they make  
10 their determination in their CAPA, which is  
11 corrective action and preventative maintenance system  
12 to determine whether or not they should report these  
13 reports to the FDA.

14           If they do not report them to the FDA,  
15 during the course of an inspection and the inspectors  
16 believe that they should be reporting these devices,  
17 they are cited in the FDA 483 form and the inspection  
18 investigation report and are mandated to report these  
19 device failures to us. So we unfortunately sometimes  
20 cannot enforce the reporting of these problems until  
21 after an inspection has taken place or until we are  
22 made aware of a lack of reporting.

23           DR. NEWBURGER: May I add one question then  
24 to that. When I was reviewing the -- website, I  
25 noticed in the case of certain devices that there was

1 an extraordinarily long delay between the time it was  
2 reported to the manufacturer and actually made it to  
3 be reported to FDA. So is this the kind of thing  
4 that would happen with the inspection or are they  
5 just behind?

6 MR. WOOD: That's the other half of your  
7 question I was about to answer, yes. In addition to  
8 whether or not a manufacturer does report, they are  
9 also required by law to report within a certain  
10 timeframe, and that is another thing that the  
11 inspectors, when they review a company's reporting  
12 they determine, did you meet the timeframe for those  
13 reports? And they can be cited for that as well.

14 MS. MIRSAIDI: But there is a time  
15 difference between the reports that gets to us and  
16 when it goes on the web. There's a delay between the  
17 time we read the reports and it gets to the website.

18 DR. NEWBURGER: I believe it's logged in  
19 though when you receive it.

20 MR. WOOD: That's correct.

21 DR. NEWBURGER: It's that incident to  
22 reporting it to them to logging it in, and it's awful  
23 long.

24 MR. WOOD: That's correct, and that is  
25 something that is addressed by our different

1 districts among the United States for reporting, and  
2 it is something that is observed when they do  
3 inspect.

4 Dr. Bigby, you asked a question about  
5 comparing the dermal filler reports to other reports  
6 that are submitted from other devices within the  
7 MDRs. And that unfortunately is a very difficult way  
8 to review our MDRs for a number of reasons.

9 For example, Dr. Newburger's comment just  
10 now about the delay in reporting or lack of  
11 reporting, many of the problems, such as luer lock  
12 connections and other connections, often don't get  
13 reported. Some devices, such as cardiac stents,  
14 which, you know, you have the stent, you have the  
15 failure, the device is ex-planted, it's reviewed, we  
16 can actually see those, hence comparing the one to  
17 the other is a very difficult way to compare. So the  
18 number of reports that are received based upon those  
19 type of situations, based upon the number of devices  
20 that are manufactured and are available to the  
21 public, also makes it difficult for us to make a  
22 comparison of that type when we're looking at reports  
23 and trying to find trend.

24 And, Dr. Olding, I believe you asked about  
25 reviewing adverse events on the professional service

1 websites?

2 DR. OLDING: Yes. We have a tracking  
3 system within plastic surgery that looks at the  
4 outcomes, and that includes things like injectables,  
5 and I just wonder how much communication or dialogue  
6 there is between you and those groups and that  
7 website.

8 MR. WOOD: Unfortunately, we cannot use  
9 those websites when reviewing adverse events. We are  
10 required to use adverse events that come from the  
11 user facility, the individual user themselves or the  
12 manufacturer. So we can't go to a website and take  
13 -- I realize that they come from the user on the  
14 website, but we're limited to having the users, right  
15 now to have the users report to us directly using the  
16 Form 3500, which is available to report adverse  
17 events to us.

18 DR. LoCICERO: We're running out of time  
19 here. We're going to get two more questions here.  
20 One quick one. The study design is presented by the  
21 sponsor to the FDA and approved for postmarket  
22 approval studies. Is that the way it works?

23 MS. SHOAIABI: Well, the study designs are  
24 usually developed by the sponsor and FDA --

25 DR. LoCICERO: That's all I want to know.

1 DR. ANDERSON: I'll be quick. I was  
2 wondering if data is collected regarding the level of  
3 training of the person who is doing the injecting  
4 when an adverse event is reported? In other words,  
5 is it a dermatologist, a plastic surgeon, a nurse, a  
6 medical assistant?

7 DR. WOOD: It is not required. Sometimes  
8 there -- I wish I had a copy of an adverse event form  
9 to show you, but in the form there is a narrative  
10 section, and sometimes, and I want to stress,  
11 sometimes information like that is provided, but that  
12 is not generally the rule.

13 DR. LoCICERO: Dr. Li.

14 DR. LI: My experience with this is that  
15 the weak link in this reporting system is not the  
16 manufacturer reporting to the FDA but the physicians  
17 reporting to somebody that there's an adverse event.  
18 In my own experience, that's done in an exceedingly low  
19 period of the time.

20 For instance, in the program that I was  
21 familiar with, we would get 20 to 40 retrieved  
22 implants a week. As near as I could tell, for five  
23 or six years I was director, I don't think we put any  
24 of those as an adverse event.

25 So my question to you is, is the FDA doing

1 anything to basically provide some motivation or  
2 inspiration or some legal reasoning to actually  
3 improve that situation because in the absence of that  
4 connection, you could have the greatest manufacturer  
5 and FDA communication, and it would still be in a  
6 dismally low percentage of devices.

7 MR. WOOD: I completely agree with you,  
8 Dr. Li. That is one of the problems. As Nasrin  
9 pointed out in her presentation, our numerator data  
10 is woefully inefficient because of that very problem.  
11 One of the things that we're doing now is, in the  
12 program that was started in 2002, which right now it  
13 currently encompasses 350 hospitals, to try to get  
14 them to come forward and give us reports about events  
15 that are taking place. We have several other groups  
16 such as HeartNet and KidNet to help report specific  
17 areas there.

18 The only other thing we have currently that  
19 we're trying to promote is the request that  
20 physicians do report these adverse events when they  
21 happen. Right now the focus is so much on trying to  
22 get reports from hospital facilities and from the  
23 manufacturers that we don't have the funds or the  
24 resources to be able to do a great outreach to  
25 physicians and physicians' offices.

1 DR. LoCICERO: Mr. Melkerson.

2 MR. MELKERSON: Just a quick clarification  
3 or distinction actually. Post-approval studies are  
4 requirements or conditions of approval for Class 3  
5 PMA type products, and there are actually some  
6 regulatory teeth behind actually doing and completing  
7 them. So for the PMA products, although they are  
8 required to also report under their MDR reporting  
9 systems, they are required to conduct post-approval  
10 studies. The 510(k) or premarket notification  
11 products, which are also reported, but it's not the  
12 subject of this meeting today but will be tomorrow,  
13 those also are voluntary.

14 So the distinction between what's a  
15 voluntary report for user facilities and the users  
16 themselves, versus the manufacturers which is  
17 required by mandate, but you've heard those  
18 limitations. So I wanted to keep that distinction in  
19 everybody's mind when we're talking about the two  
20 different postmarket looks at adverse event reporting  
21 or safety and effectiveness.

22 DR. LoCICERO: All right. One last  
23 question.

24 MR. HALPIN: I just wanted to ask very  
25 quickly, for the MDR I know that manufacturers are



1 required to report MDRs from the U.S. as well as from  
2 the experience outside the U.S. and given the off-  
3 label uses and the fact that other countries and  
4 other approvals may have labeling, do you have any  
5 idea from your reports how many of those MDRs are  
6 from outside the U.S. where they may have slightly  
7 different indications for you?

8 MR. WOOD: Actually I believe in Nasrin's  
9 talk she gave that information. Wasn't it like 17  
10 percent?

11 MS. MIRSAIDI: 14.5 percent the reports  
12 came from --

13 MR. WOOD: Came from outside the United  
14 States.

15 MS. MIRSAIDI: -- other countries.

16 DR. LoCICERO: Okay. We'd like to thank  
17 all of the FDA speakers for their time and answers to  
18 the question. Thank you very much.

19 We are running a little bit behind now. So  
20 we are going to have a 10 minute break. Return at  
21 11:15.

22 Thank you.

23 (Off the record.)

24 (On the record.)

25 DR. LoCICERO: Getting back to their seats.

1 The FDA is going to get their presentation ready to  
2 go, and I'm going to ask that we have an opportunity  
3 for just about five minutes, if there are any  
4 additional questions that the Panel came up with for  
5 the FDA presenters from this morning, we ran very  
6 tight, and there may have been some additional  
7 thoughts that came up while everybody took their  
8 break. So I'm just going to ask the Panelists again  
9 if they have any questions for the FDA. And we have  
10 one from Dr. Gooley.

11 DR. GOOLEY: Safety obviously was what  
12 these post-approval studies were designed to assess.  
13 I guess I'm wondering how -- and there were mention  
14 that the studies are not powered to low adverse event  
15 rates. First of all, I guess I'm not exactly sure  
16 what that means. Is there a benchmark that's  
17 considered sort of acceptable in terms of an adverse  
18 event rate? And, number two, how are these studies,  
19 how are the sample sizes set for these studies? Is  
20 the sample size set with a certain power in mind,  
21 with a certain objective in mind or --

22 MS. SHOABI: No, the sample sizes were  
23 selected based on feasibility, and they were not --  
24 since these studies were not -- they did not have any  
25 particular sample size calculations done based on any

1 particular endpoint or any particular objective.  
2 There were mainly just descriptive studies to look at  
3 the safety and name the primarily adverse event that  
4 were mentioned.

5           And also the other issue is that because we  
6 really don't know the incidence or prevalence of some  
7 of these primary adverse events in the population  
8 with Fitzpatrick skin types IV-VI, that makes it even  
9 more difficult to design studies that would be able  
10 to detect any of these adverse events if they occur.

11           DR. LoCICERO: Dr. Li.

12           DR. LI: I had one question about the  
13 reporting of devices. Without specifying what  
14 particular device was reported on, could you tell us  
15 if the adverse events were distributed more or less  
16 evenly through different products, or is it possible  
17 that most of the adverse events came from one or two  
18 products?

19           MR. WOOD: Actually Nasrin did the actual  
20 analysis. So I'll let HR answer that particular  
21 question.

22           MS. MIRSAIDI: No, the adverse events came  
23 from about 9 to 10 manufacturers and different  
24 products. There were some differences between the  
25 adverse events in different brands, but they were

1 just locked together for this presentation.

2 DR. LI: I understand that, and I'm not  
3 trying to pick out a product. I'm just trying to get  
4 a sense for the distribution. So maybe my real  
5 question is, is there anyway -- I'm struggling to  
6 kind of calibrate the study --

7 MS. MIRSAIDI: I understand.

8 DR. LI: -- to something.

9 MS. MIRSAIDI: Yes.

10 DR. LI: And one possible way would be to  
11 calibrate the adverse event reporting in the study to  
12 what was reported, you know, through your watch  
13 systems. So is there any way to make that kind of a  
14 comparison or association to see if you're kind in  
15 the ballpark or not? For instance, if you have no  
16 adverse events in the study, but then you had many  
17 adverse events in the reporting system, then that  
18 would actually tell us something about the study.

19 MS. MIRSAIDI: I guess -- how can I put  
20 this?

21 DR. LI: The answer could be no, you know.

22 MR. WOOD: Actually because this is a  
23 general information Panel, we did not bring forward  
24 the names of the manufacturers or the companies that  
25 were reported in the MDRs. Unfortunately that does

1 kind of limit you on your ability to compare that to  
2 the ones you see in the post-approval studies, but we  
3 don't have that available today.

4 DR. LI: Okay. But it's something you  
5 looked at but --

6 MR. WOOD: It is something we looked at,  
7 and it is something we do look at when we do MDR  
8 analyses. As a matter of fact, when a post-approval  
9 study is done, the epidemiologist will typically ask  
10 the analyst within our branches to do a post-approval  
11 study on that particular device for that particular  
12 function.

13 DR. LI: Can you answer a yes or no or  
14 can't tell then? To the extent that you've looked,  
15 does the adverse events reporting from your watch  
16 system look anything like the adverse events reported  
17 in the study, postmarket study?

18 MR. WOOD: I can't really tell from what  
19 we've seen. Sorry.

20 DR. LI: Okay. Fine.

21 DR. LoCICERO: Dr. McGrath. I'm sorry.  
22 One more from Dr. Li.

23 DR. LI: One quick question on the study.  
24 These were followed at 12 and 24 weeks. Is that  
25 correct? As the evaluation points. Is that correct?

1 MS. SHOAIABI: For the three post-approval.

2 DR. LI: Right.

3 MS. SHOAIABI: For the three post-approval  
4 studies, all of them, the follow-up was 24 weeks, but  
5 for the premarket studies, the follow-up was between  
6 12 weeks and 52 weeks.

7 DR. LI: Okay. My question is, is there  
8 any -- what do you know about the 24-week evaluation  
9 time period and the rate of degradation or changes of  
10 the particular filler?

11 MS. SHOAIABI: As you are well aware, they  
12 vary. Different compositions have different  
13 durability times, and also it may vary from person to  
14 person, but here unfortunately we're not talking  
15 about particular devices, and these studies did not  
16 really look at any degradation because the objective  
17 of these studies was just to look at mainly the  
18 primary adverse events and also some other adverse  
19 events. So even if degradation did occur which we  
20 cannot tell whether it did occur or not, they were  
21 not looked at, and that is not part of what the  
22 studies were designed to do or report.

23 DR. LI: Okay.

24 DR. LoCICERO: I sense a lot of opportunity  
25 here. Dr. McGrath.

1 DR. BURKE: I just had one small question.  
2 In the premarket study, the one nodule, do we know  
3 the skin type of that person, of that individual?  
4 There was one nodule in the premarket study, and do  
5 we know the skin type of that individual that had the  
6 one nodule?

7 MS. SHOAIABI: The skin type for the post --  
8 are you talking about --

9 DR. BURKE: Premarket study.

10 MS. SHOAIABI: For the premarket study, I  
11 can't tell you at this point unfortunately whether it  
12 was Fitzpatrick I-III or IV-VI. But that particular  
13 study had between 11 and 20 percent of Fitzpatrick  
14 IV-VI. So I cannot answer that question at this  
15 point, but I can certainly find out if you are  
16 interested.

17 MR. MELKERSON: Are you referring to slide  
18 I guess 59 where it reports one nodule?

19 DR. BURKE: Yes.

20 MR. MELKERSON: That was actually, if I  
21 understand correct, was skin type IV-VI.

22 UNIDENTIFIED SPEAKER: --

23 MR. MELKERSON: So it couldn't. Okay.

24 DR. LoCICERO: Dr. McGrath has a question.

25 DR. McGRATH: I have sort of a general

1 question for the folks from Surveillance and  
2 Biometrics. And tell me if you think this is true,  
3 that the MDR system probably captures the most  
4 serious complications, and I guess I'm asking you, do  
5 you think the MDR system, it would seem that  
6 intuitively and just from experience, you would think  
7 that the more serious ones would end up being  
8 reported much more than the minor erythemas and  
9 temporary swellings, and do you have a sense that  
10 that, in fact, is true, not just from this product  
11 but from all the things you look at in the MDR  
12 system?

13 MR. WOOD: From the manufacturers that  
14 report and report diligently, I think your assessment  
15 is correct. Of course, there are some who do not,  
16 but for the vast majority of the manufacturers, I  
17 believe that the more serious adverse events that are  
18 reported are reported diligently and are reported  
19 accurately.

20 MS. MIRSAIDI: So we don't get MDRs for  
21 expected minor swelling, erythema, things like that.  
22 If they come to us as injury report, they should have  
23 some sort of medical or surgical intervention under  
24 injury reports. So I guess what you're saying is  
25 correct. We get those that are beyond regular,



1 normal, minor side effect of these products.

2 DR. LoCICERO: From that general question,  
3 it takes us right into general comments. So at this  
4 point, we're going to ask each of the Panel members  
5 to give us any general comments they have at this  
6 point, prior to looking at the questions for the FDA.  
7 We'll begin with Dr. Olding.

8 DR. OLDING: I think the Panel is presented  
9 with some difficult questions which we'll go over in  
10 a little bit, and I think they're made more difficult  
11 by the fact that we don't seem to have a really good  
12 handle on the numerator or the denominator, probably  
13 better on the denominator. And I think that we have  
14 to do something to improve the system for adverse  
15 events reporting.

16 I think both the FDA takes some  
17 responsibility in that as well as we have the  
18 physicians, and there has to be some easy manner to  
19 increase those numbers because our decision making,  
20 at least today, is for me going to be difficult  
21 because we don't have a handle on it, and the only  
22 other thing I would say is the post-approval studies,  
23 again, I was on some of the Panels that requested  
24 those post-approval studies, and I anticipated  
25 because I know there's a very strong discussion

1 between the manufacturer and the FDA, that I would  
2 not have heard today that the information that we  
3 could gather from those is somewhat limited because  
4 of the study design, and I would hope that we could  
5 address that in the future.

6 DR. LoCICERO: Dr. Newburger.

7 DR. NEWBURGER: I'm thrilled that we're  
8 meeting here today to have a chance to discuss these  
9 topics because it's a real attempt to accommodate the  
10 real world in terms of how these devices are used  
11 versus the very limited, very narrow situation that  
12 we're confronted with when we have the data presented  
13 in studies for PMAs.

14 That said, I'm also excited that we can  
15 brainstorm about how we can get a more accurate  
16 reflection of what's happening in the real world.  
17 FDA's hands are tied so greatly by the mandate that  
18 they have no authority to impact the practice of  
19 medicine, only the tools with which it is practiced.  
20 And this is a significant issue especially when many  
21 of the users of some of these tools are not  
22 physicians who will not be able to be reached by our  
23 professional organizations. But I'm really excited  
24 that we're doing this and that we will get some  
25 publicity as to the enormity of this issue.

1 DR. LoCICERO: Dr. Bigby.

2 DR. BIGBY: Actually I don't have any  
3 general comments. I'll just save them for the  
4 discussion of the questions.

5 DR. LoCICERO: Okay. Dr. McGrath.

6 DR. BURKE: Yes. I think it would be good  
7 to have really a kind of protocol that everyone  
8 follows the same protocol, and I'd encourage that it  
9 be as long a term study as possible but at least 52  
10 weeks. Some of these fillers we know are still in  
11 the skin after three years according to the studies  
12 that we've read. So when a study is done, if it's  
13 possible to track patients longer, I mean because I  
14 think that's often a concern with the practicing  
15 physician and the importance of safety.

16 DR. LoCICERO: Dr. McGrath.

17 DR. McGRATH: At the moment, I have three  
18 thoughts. I'd just like to mention one is that from  
19 the discussion that we've already had about the MDR,  
20 it sounds like the incidence of very serious  
21 complications is low, but since that's the case, and  
22 since the incidence of all complications and adverse  
23 events are low, I think that the post-approval survey  
24 system is critical here and has to be supported and  
25 augmented.

1           My second thought is I think it's extremely  
2 relevant to separate out these products and to  
3 stratify them by whether absorbable or non-absorbable  
4 and start looking at this whole thing not as a unit  
5 but separate them by their duration and so forth, and  
6 other parameters, so we're talking about different  
7 things.

8           And lastly, I think someone else, and I  
9 love this term, since reporting is going to be key,  
10 it might help if the FDA, and I don't know what you  
11 have specifically on your post-approval survey or  
12 your MDA when people report, but a standardized  
13 narrative would be very helpful. Perhaps if the  
14 questions were asked about who the individual is who  
15 is doing the injection and other things were asked  
16 when an adverse event were being reported, maybe more  
17 information would be captured.

18           DR. LoCICERO: Okay. Dr. Walker.

19           DR. WALKER: Basically my comment is that  
20 I'm somewhat concerned at the amount of disconnect  
21 between the information that the FDA has presented  
22 and what's happening in the real world, and I just  
23 would like to, you know, address the idea that the  
24 FDA needs to make the reporting of these adverse  
25 effects much more -- make it some ease of use, more

1 of a simplification, amongst the using clinicians.

2 I personally have not had the experience of  
3 making a report to the FDA, but I've been told that  
4 it's quite arduous and time consuming, and there may  
5 be some other way to address that as well, make it  
6 simplified to get more of an accurate report from the  
7 general using public and the physicians who are out  
8 there using these products.

9 DR. LoCICERO: Dr. Anderson.

10 DR. ANDERSON: Well, I agree with several  
11 of the other observations today. However, I think  
12 it's important for us to be aware that we have three  
13 highly respected professional organizations who are  
14 offering to work with the FDA, and I think that's  
15 something that we need to keep in mind.

16 DR. LoCICERO: Dr. Li.

17 DR. LI: Well, I think the first issue of  
18 reporting is one that I actually haven't seen solved  
19 for any device in the United States. The only  
20 example I can think of anywhere in the world is the  
21 Swedish Registry for Joint Replacement, where that's  
22 basically a socialized medicine system and everybody  
23 that gets an implant is registered at the time of  
24 getting it with the government.

25 Short of that, I'm aware of any system that

1 actually could get the reporting percentage up,  
2 although I applaud the efforts of the professional  
3 organizations. At the moment, I just don't see how  
4 that's going to be improved.

5           And I guess my own comments to  
6 Dr. McGrath's comment is, we really have no idea what  
7 the number of adverse events are in these devices.  
8 If they really have 175,000 reports, just quick back  
9 of the envelope, I calculate that's something like  
10 1/100th of a percent of all the devices that are  
11 implanted if you're going to take that as some  
12 example. So I just don't see a way forward in that.

13           And just quickly on the study, the study  
14 for me presented more questions than it did answers.  
15 It was kind of an arbitrary setting of patients. As  
16 Dr. Gooley pointed out, we're blinded to the device  
17 which means we're also blinded to the device  
18 variables. It's a single time evaluation, and then  
19 kind of worse yet, there's kind of no correlation of  
20 the results to any other previous report, either in  
21 the device reporting system or the premarket  
22 approval. So I'm kind of left with not exactly sure  
23 what to do with the information in the post-market  
24 study.

25           DR. LoCICERO: Dr. Gooley.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 DR. GOOLEY: Well, I don't have very many  
2 comments. I'm a statistician. So I look at things  
3 from a statistical point of view, of course, but  
4 towards that end, I guess the one thing that I sort  
5 of wonder about is, as I mentioned before, how these  
6 studies are powered and whether or not there are  
7 enough patients studied to answer the questions that  
8 need to be answered.

9 Specifically safety if you're taking about  
10 low event rates for the more serious adverse events,  
11 I guess I would be concerned the studies of sizes of  
12 100, 200, 300 patients would be sufficient to ensure  
13 that the study is "safe" and, of course, that means  
14 that that brings up what is safe? How does one  
15 define safe? But I'm sure everybody that's involved  
16 in these trials have thought long and hard about  
17 those issues. So I would just encourage, of course,  
18 to keep thinking of those issues and like I said, I  
19 am somewhat struck by the seemingly relatively small  
20 sample sizes for some of these studies.

21 DR. LoCICERO: The device manufacturers and  
22 the consumers are probably the most important people  
23 in terms of comments, and I've saved them for the  
24 last of the general comments. So, first, Ms. Rue.

25 MS. RUE: My concern is that since

1 physicians are not the only provider of this devices  
2 and what we're doing, is that although we do have  
3 some efforts going out to the consumers of what they  
4 need to ask, I think that we need to use all kinds of  
5 media that the consumers can know what questions  
6 they're to ask to whoever's providing the service for  
7 them in easy to understand language, and it really  
8 needs to be flooded so they get those things before  
9 they go into the provider, whether it be a physician  
10 or somebody else who may not have that information  
11 readily available to them.

12 DR. LoCICERO: Mr. Halpin.

13 MR. HALPIN: From a manufacturing point of  
14 view, anytime anyone complains to us, we're required  
15 to collect it by regulation. It goes into our  
16 complaint system. There's actually a predefined  
17 definition of when we would actually report something  
18 as a MDR and as you heard earlier from the FDA, they  
19 will actually come out and look at how well we're  
20 actually doing it. Given that 94 percent of what is  
21 in the MDR system is reported by manufacturers, I  
22 would think that we're actually doing a reasonably  
23 good job or being checked. However, that unless it's  
24 reported to us, we're not able to really forward it  
25 on and report it.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947



1           We also do trending internally as part of  
2 our quality system requirements and try to identify  
3 complaint trends as well as adverse event trends that  
4 may be changing over time.

5           With regard to post-approval studies, I  
6 think that from an industry perspective, any guidance  
7 we can get that would help us design these types of  
8 requirements into our pivotal studies, so that we're  
9 able to look at something like skin of color in a  
10 prospective way as part of our original trial design  
11 would be very helpful.

12           DR. LoCICERO: Thank you.

13           Okay. At this time, we'll focus on the  
14 discussion on the FDA questions. Copies of the  
15 questions are in the folders for each of the Panel  
16 members.

17           DR. DANG: So I will present each question,  
18 one by one, and open it up for discussion.

19           So the first question is related to the  
20 discussion on postmarket evaluation of adverse  
21 events. Current labeling for dermal fillers state  
22 that most adverse events are immediately noticeable  
23 and temporary. Please discuss the adequacy of the  
24 current labeling including whether labeling should be  
25 modified to include adverse events that may manifest

1 several weeks to several months after the initial  
2 injection or those adverse events that may take some  
3 time to resolve, such as scarring and necrosis.

4 And also, should labeling be modified to  
5 include such types of adverse events which were not  
6 observed during the clinical study premarket but are  
7 evident in postmarket adverse event reporting?

8 DR. LoCICERO: Thank you. Does anyone want  
9 to begin? Dr. Newburger.

10 DR. NEWBURGER: I think it would be very  
11 helpful to change the labeling so that it is a fluid  
12 situation. My understanding from drug labeling is  
13 that as adverse events and associations are reported  
14 after the period of approval, that they are then  
15 added into the drug insert, and it doesn't seem that  
16 we have the mechanism for that with the devices.  
17 Certainly, there are many adverse events that can  
18 develop two and three years after implantation of  
19 these devices. I've certainly observed patients  
20 where that has happened, where they will have been  
21 injected with an approved elsewhere, of course, and  
22 then will show up with very large nodules that have  
23 developed and biopsy characterizes these as  
24 granulomata which have developed in response to the  
25 material that had been injected.

1           So I think that it should be modified to  
2 include the late developing adverse events and should  
3 follow the CDER model.

4           Furthermore, the clinical studies of many  
5 of these products are so small whereas drug studies  
6 generally have a much more robust patient population.  
7 Also drug studies have generally a more defined  
8 endpoint that's the nature of the drug. So I think  
9 it's very important to be able to accommodate to what  
10 we see developing over time, especially with the  
11 longer-lived products.

12           The size of the studies are such that we  
13 really are missing the 1 in 1,000, 1 in 10,000 and 1  
14 in 50,000 adverse events.

15           DR. LoCICERO: Dr. Anderson.

16           DR. ANDERSON: I think that since these  
17 products are primarily used on the patient's face,  
18 most of the patients who come into have this  
19 procedure done are coming in to look better, and if  
20 we know that a particular longer term adverse event  
21 such as scarring and necrosis can occur, I really  
22 think these patients should be informed of that  
23 possibility.

24           DR. LoCICERO: Dr. Burke.

25           DR. BURKE: I think since some potential

1 adverse effects might be years later, I think that we  
2 should what is the longest experience, I mean within  
3 the write up, it should say this material has been  
4 used for X number of years and these are the adverse  
5 events that have been reported as of this time. I  
6 mean specify exactly how many years experience is  
7 within the write up.

8 DR. LoCICERO: Let me see if I understand  
9 correctly. The wording might say over 7 years  
10 experience, these are the events that occur --

11 DR. BURKE: Yes.

12 DR. LoCICERO: -- after 3 months or  
13 something.

14 DR. BURKE: Yes, but we postulate that some  
15 of the long-term events might be years, many years  
16 later as in the case of silicone. So, it's nice to  
17 know that something has been used for 10 years or 15  
18 years, and there are no adverse effects, and that's  
19 much stronger than something that has been used for  
20 one or two years for something that doesn't degrade  
21 biologically.

22 DR. LoCICERO: Okay. Dr. McGrath.

23 DR. McGRATH: I guess my comment's a  
24 question also. I think everyone probably will agree  
25 that the labeling should be modified to reflect what

1 we're learning further about the products but would  
2 the manufacturers label modifications be limited only  
3 to their individual product or should it be limited  
4 to their product as it falls into a class of  
5 products? And if the latter, then we'd have to  
6 define the classes, and again the thing I keep going  
7 back to is the absorbables and non-absorbables  
8 because length of time and all these things are going  
9 to be so dependent on which product we're talking  
10 about.

11           So I guess my question is I think the  
12 modification answer would have to be elaborated on  
13 even more about what that would include when the  
14 modifications are put on the label.

15           DR. LoCICERO: Dr. Bigby.

16           DR. BIGBY: I think this is almost a no-  
17 brainer question. I mean the current label is not  
18 adequate. The answer to the question about should it  
19 be modified to include these others things is yes,  
20 and should it include adverse events that weren't  
21 reported in trials? If you only have a trial of a  
22 couple of hundred people, that's not a trial. That's  
23 designed to define adverse events. So, yes, you  
24 should talk about things that came up in  
25 postmarketing.

1           The other thing to remember is, you know,  
2 what you put in the label here is not going to have  
3 that tremendous of an impact on utilization because  
4 these are highly popular procedures. People are  
5 making a lot of money doing them. So putting things  
6 in the label is not going to have -- I mean how much  
7 of an impact is that going to have anyway? So I  
8 think at a minimum you need to enforce the label so  
9 at least what is known about adverse events is  
10 included in the label.

11           DR. LoCICERO: Okay. Dr. Bigby mentioned  
12 the postmarket studies. Does everybody agree that  
13 that individual needs to appear in the label?

14           Everybody's shaking their heads.  
15 Dr. Olding.

16           DR. OLDING: I think that it should, in  
17 fact, be included with the labels ultimately but as  
18 we've heard today, it depends upon the quality of the  
19 post-approval study and what you can glean from that.  
20 Currently, I wouldn't want to include this data  
21 because we've already been told that it's really not  
22 comparative.

23           DR. LoCICERO: Understood. Dr. McGrath,  
24 additional comment?

25           DR. McGRATH: And again, just in response

1 to your specific question, you're saying that  
2 everything in a composite that we've learned from all  
3 the postmarket surveys, should it go on the label? I  
4 would say, no, because again I think it's got to be  
5 stratified for the product because clearly we're  
6 seeing differences in the different products. So,  
7 you know, if something is bubbling up with one  
8 product and not with another, I don't think it should  
9 go on all the postmarket labels. I'm sorry. On all  
10 the labels.

11 DR. LoCICERO: On all the labels. I'd like  
12 some more discussion concerning the class of agents.  
13 If something came up in a non-absorbable, that has  
14 not been seen in an absorbable, and it's maybe with a  
15 particular product but it's a serious event, should  
16 that be something that's listed in the class of  
17 products in their insert?

18 DR. OLDING: I would divide it even further  
19 than just absorbables and non-absorbables but we have  
20 different class types. We have the hyaluronic acids.  
21 We have calcium hydroxylapatite, et cetera. We have  
22 silicone. Those are all different classes, and I  
23 know Mary would probably agree with me that each of  
24 those have their own potential complications and  
25 adverse effects and they should be stratified based

1 on those types.

2 DR. LoCICERO: Dr. Bigby.

3 DR. BIGBY: No, I mean I agree completely  
4 with Dr. McGrath about separating them into classes.

5 DR. LoCICERO: So who would we ask to  
6 define the classes? Is that going to be the FDA's  
7 job to classify for us? Dr. Olding.

8 DR. OLDING: I really like the idea that's  
9 been presented a couple of times today, the consensus  
10 conference. And I would hope that something like  
11 that would be very important in helping decide these  
12 sort of questions.

13 DR. LoCICERO: Dr. Newburger.

14 DR. NEWBURGER: I don't know whether it's  
15 actually fair to lump products that are even made  
16 from the same molecule in the same class because  
17 there may be different variations based on how cross-  
18 linking occurs or the shape of the molecule. We know  
19 that certain shapes are going to provoke immunologic  
20 reactions more than another, and I don't know that it  
21 would be actually appropriate to tar all products in  
22 the class with the same brush but that's something  
23 that could be looked at when the initial data come  
24 out.

25 DR. LoCICERO: Dr. Li.



1 DR. LI: Along a similar vein, not to make  
2 a problem harder, but it's not simply a matter of the  
3 chemical makeup or composition of the filler. For  
4 instance, the size of the particles or the dose  
5 response of the particles to different tissue types  
6 will be highly variable. In other words, small  
7 amounts of a highly active material is safer than,  
8 you know, large amounts of some other material that  
9 might have a lower actual biological activity. So  
10 it's not really so simple, that if you have material  
11 A, it's always better than material B. It just  
12 doesn't really work out that way.

13 And I think also the further complication  
14 would be the different tissues in the body. We know,  
15 for instance, polylactic acid has different cellular  
16 response, if I put it near the bone or if I put it in  
17 the cartilage, we know for sure that there are  
18 different tissue responses. So it becomes a very  
19 difficult thing, I think, to try to generally  
20 classify these devices, that if you're in this class,  
21 you're okay, and this class you're not because I'm  
22 willing to bet that every time you do that I can find  
23 a counter example.

24 DR. LoCICERO: So we may be making this  
25 more difficult, but I think we can all agree at this

1 point, Mr. Melkerson, that clearly adverse events  
2 that occur after PMA need to appear in the labeling  
3 and information concerning postmarket approval  
4 studies for that particular agent need to appear in  
5 the labeling. We are somewhat divided. I don't know  
6 if we need to vote because we're going to split  
7 regardless of what we do, but we need to communicate  
8 somewhat that groups of drugs may, groups of devices  
9 may have similar reactions. Mr. Halpin.

10 MR. HALPIN: I think this might be an  
11 opportunity where maybe working on a guidance  
12 document or something where to give industry who's  
13 the expert on their preclinical testing and how their  
14 product works as well as maybe their clinical trial  
15 data, the FDA has a lot of cross-product information  
16 and then academia has their experience with the  
17 product in using it.

18 A guidance document might be a good way to  
19 allow those three different sort of tensions to work  
20 themselves out in terms of what might be the best way  
21 to approach, how you classify, if you classify, HA  
22 dermal fillers, absorbable or non-absorbable and how  
23 you go about that.

24 DR. LoCICERO: So that's known as spreading  
25 the pain.

1 MR. HALPIN: Exactly.

2 DR. LoCICERO: Comments about guidance  
3 document for this. Anybody? Essentially what  
4 happens there is that that needs to be produced by  
5 the FDA and that it will be done in conjunction with  
6 other individuals. It takes a lot of work and time.

7 Mr. Melkerson, any comments?

8 MR. MELKERSON: I was just going to say in  
9 terms of a guidance document, there's actually ways  
10 for professional societies, industry groups or  
11 individuals to submit a proposed guidance to FDA. So  
12 an output from a consensus conference, even if FDA  
13 weren't involved, could be submitted for FDA's  
14 consideration through it's good guidance practices by  
15 process.

16 DR. LoCICERO: Other comments about  
17 question 1?

18 (No response.)

19 DR. LoCICERO: Mr. Melkerson, does this  
20 satisfy the FDA on question 1?

21 MR. MELKERSON: Yes, it does, and I'll just  
22 let you know that as a condition of approval, a post-  
23 approval study is actually required to update the  
24 labeling. Thank you.

25 DR. LoCICERO: Thank you.

1 DR. DANG: Okay. The next question is  
2 considering that dermal fillers, in general, are  
3 administered to healthy patients as an elective  
4 procedure for aesthetic improvement, should FDA's  
5 tolerance for mild to severe adverse events be  
6 different than for devices that are intended for  
7 treatment of disease? If so, does the Panel consider  
8 current FDA tolerance for serious adverse events be  
9 increased or decreased for aesthetic used products?

10 And going with that, what would be the most  
11 effective method or combination of methods for FDA  
12 communication to physicians as well as the public  
13 regarding the postmarket information collected by  
14 FDA, such as information on adverse events related to  
15 uses outside currently approved indication for use,  
16 delayed onset of adverse events as well as less  
17 frequent but severe or unexpected adverse events?

18 DR. LoCICERO: Thank you. We've got a lot  
19 of questions here, but this really I believe begins  
20 at least, to some extent, with the consumer.

21 Ms. Rue, do you have comments about this,  
22 particularly the first couple of questions?

23 MS. RUE: Well, when I was looking at this  
24 in reviewing all of it, my first concern was that  
25 this is pretty much an elective thing for self-

1 esteem. So what we tolerate for people that have  
2 pathology and diseases is different, and I feel that,  
3 first of all, we don't have a grasp really on what  
4 our adverse effects are, and we don't have a good a  
5 grasp as we do when we're working with something  
6 that's treating a disease.

7           So, therefore, I feel that we're not  
8 holding the companies to the same standard as far as  
9 the research on the adverse effects, and I think that  
10 we should have a tighter or a less tolerance for  
11 severe adverse effects and we shouldn't allow as  
12 many. And also the information, and I've said it  
13 before, that we have got to get the consumer this  
14 information to where they don't have to dig and dig  
15 and dig for the information. It needs to be very  
16 readily available so they know what these adverse  
17 effects are and what we tolerate.

18           DR. LoCICERO: Dr. Anderson, this really  
19 has a lot of psychological implications.

20           DR. ANDERSON: It does, and I've seen it  
21 for years in my practice. If a patient goes in for  
22 an elective procedure and all goes well, they're  
23 generally very happy and they go home happy and they  
24 live happily ever after.

25           However, if they go in for an elective

1 procedure and there are complications, particularly  
2 severe or serious complications, the psychological  
3 ramifications can be significant.

4           Therefore, I would agree with the consumer  
5 Panelist that we probably should have less tolerance  
6 for serious side effects. And I can even illustrate  
7 this in other situations. I work with transplant  
8 patients, and in our facility, we decided prior to  
9 the changes in live donation of liver transplants, we  
10 decided not to do live liver transplants because we  
11 would be a perfectly healthy individual at great  
12 danger.

13           So I don't think this is just a plastic and  
14 reconstructive surgery question. I don't think it's  
15 just a cosmetic question. I think it's an ethical  
16 and psychological, quality of life and medical  
17 question.

18           DR. LoCICERO: So how do we balance this  
19 against the long list of adverse events that scare  
20 the patient away from a potential procedure that has,  
21 you know, 90 percent or more success.

22           DR. ANDERSON: Well, I guess we would have  
23 to look at the severity of the adverse events, and it  
24 sounds to me like we need a better reporting system  
25 so that we can actually gather the number of adverse

1 events and how severe they are, and perhaps tolerate  
2 it if we report it adequately, if there are very few  
3 serious adverse events. But I think being able to  
4 tolerate more significant adverse events is not in  
5 the best interest of the patient from a psychological  
6 standpoint.

7 DR. LoCICERO: Additional -- Dr. McGrath.

8 DR. McGRATH: I thought this question was  
9 fairly specific and the first part was should the  
10 FDA's tolerance be different for these devices versus  
11 those intended for the treatment of disease. And to  
12 that, I would respond, because I'm blessed with some  
13 historical perspective, watching the FDA and being  
14 with the FDA over many years, that I think it always  
15 has been. I think that there is a recognition that  
16 there is a difference between illness and quality of  
17 life applications, and I think historically the FDA  
18 has walked this line for many years.

19 So when we get to the next part of the  
20 question, should the current tolerance be increased  
21 or decreased, to that I would answer neither. I  
22 think actually that tolerance is in equipoise at the  
23 moment pretty well, and I think that's why we're here  
24 talking about these things now.

25 DR. LoCICERO: Dr. Bigby.

1 DR. BIGBY: So my comments about question 2  
2 are the following. It isn't at all clear to me what  
3 the FDA's tolerance is of these things. So I think  
4 you could go along way in sort of defining what is  
5 your tolerance because as has been mentioned, the  
6 actual rate of serious adverse events is relatively  
7 small. So what exactly is your tolerance for severe  
8 adverse events? You know, what level of adverse  
9 events is unacceptable? And, what level of study  
10 would we need to find out whether or not that  
11 frequency exists?

12 And then to the second question about  
13 communicating information about postmarketing events,  
14 I think you should start by doing a better analysis  
15 of what those events are and their frequency, and I  
16 can give you two examples. One would be to look at  
17 the adverse event rate by product, by specific  
18 product, and then you can report that adverse  
19 reactions are much higher for this group of drugs or  
20 this particular drug than others and then also  
21 adverse events per location. If you find that the  
22 adverse events are 100 times higher, if you inject  
23 around the eye, I think that's a worthwhile thing to  
24 report, but you have to analyze your data in that  
25 regard before you can report it.



1 DR. LoCICERO: Dr. Newburger.

2 DR. NEWBURGER: I think Dr. Bigby's comment  
3 about what the FDA tolerance is, is an excellent one.  
4 The only recalls that I recall were for devices that  
5 posed life threatening threats, and I'm not aware of  
6 anything other than psychologically life threatening  
7 events that have occurred with these fillers other  
8 than perhaps severe infection.

9 From the point of view of ethics, I think  
10 that the tolerance should be decreased because these  
11 are not devices which preserve the ability to walk or  
12 to keep a heart beating or to preserve one's vision.

13 But I have some comments about the most  
14 effective methods for communications to physicians  
15 regarding postmarket information. I think that a lot  
16 of this really should be also directed to the  
17 consumer because many of the injectors are not  
18 physicians or if they are supervised by physicians,  
19 it may be at a distance and they won't get the  
20 communiqués and there are a number of ways that that  
21 could be done, not the least of which would be to  
22 have one of the myriad of celebrities injured by  
23 improper filler use to be the spokesperson, and I can  
24 suggest half a dozen off the top of my head.

25 There is a network, I think it's the HPNN

1 network where FDA adverse events related to drugs is  
2 disseminated to the physicians on the web, and it's  
3 very easy to sign up, and then they keep sending you  
4 every alert possible from FDA which is why you might  
5 be disinclined to join, but if there was one  
6 separately that's available online just with a weekly  
7 update. I think that that would be a very good  
8 method across the board to reach those who are  
9 providers of this service. And, it's free.

10 DR. LoCICERO: Back to Dr. Bigby's  
11 comments, about location of injection. These  
12 products have some pretty specific indications and we  
13 know from some other reports and discussions today  
14 that injection closer to bone, for example, may  
15 result in a different type of reaction. That's  
16 really not the indication for the product. So how  
17 can the FDA make a statement concerning an adverse  
18 event that occurs not for the indication that's on  
19 the label?

20 DR. BIGBY: Are you directing that question  
21 to me?

22 DR. LoCICERO: Well, you opened the  
23 comment. So --

24 DR. BIGBY: You know, a product that is  
25 approved for an indication that becomes available in

1 the open market often gets used for other  
2 indications, and often those indications far exceed  
3 the use for the indicated application. For example,  
4 Thalidomide is approved erythema nodosum leprosum  
5 which there are very few cases of but it is used in  
6 hundreds of patients with other disorders, and I  
7 think that in that situation, one can talk about the  
8 adverse events when it's used for other indications  
9 and it has been done, especially if it's a serious  
10 adverse event like neuropathy, for example, or birth  
11 defects. So I don't see how this is a problem.

12 DR. LoCICERO: Dr. Li.

13 DR. LI: From the non-clinical aspect, I'll  
14 just pass on a thought. Maybe somebody else can  
15 comment. In question 1, we had a lot of discussion  
16 of where the reporting of adverse events leaves a lot  
17 to be desired. It's some small fraction probably of  
18 what actually occurs. Certainly if we had a full  
19 reporting system, it's unlikely that adverse events  
20 would decrease in percentage. So what we're  
21 reporting is some really kind of a tip of an iceberg  
22 kind of view of the adverse events.

23 Now, given that, it seems that it would do  
24 a disservice to that whole reporting system if we  
25 then downplay the importance of those adverse events.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 In other words, if we had a higher tolerance for  
2 these adverse events for these dermal fillers, I'm  
3 not even sure then at that point why you were doing  
4 any kind of adverse event reporting at all, right,  
5 because we've just acknowledged that it's the  
6 underreporting of the actual events and severity.

7           So if you then dismiss them or lower their  
8 importance and have a higher tolerance, then I think  
9 it really kind of does a disservice to the potential  
10 harm it may be doing to the patients.

11           DR. LoCICERO: So let's be a little bit  
12 specific here. Labeling, for example, for drugs, we  
13 will have a study that lists all of the complications  
14 from a severe event, allergy, to diarrhea or  
15 whatever, and then after that, there's a list of, in  
16 addition, these things might occur, and it's just a  
17 list. Is that sort of what we're recommending? I'm  
18 seeing some heads shaking. Okay. So it would be a  
19 reason to list them. Dr. Li.

20           DR. LI: I guess I would say that would be  
21 a minimum. I agree with Dr. Bigby's comment that I'm  
22 not sure how much the labeling actually controls use.  
23 So I think that would be a minimum requirement.

24           I don't know if it's possible on the label,  
25 and maybe FDA can comment, you know, on some of these

1 things, you know, the vast majority of use is off  
2 label as Dr. Bigby indicated. So it's just kind of  
3 an odd device where by far the largest use appears to  
4 be off label. So I don't know if there could be  
5 stronger wording in the labeling that, you know,  
6 these are off-label uses or something like that. So  
7 it's an odd kind of labeling but it seems to be the  
8 elephant in the room that we all seem that we're  
9 stepping around.

10 DR. LoCICERO: I think we have some  
11 opportunity over the next few questions to get into  
12 that. What is our best way to disseminate this  
13 information to users of the product, not the  
14 consumers? Dr. McGrath.

15 DR. McGRATH: Well, looking at this  
16 previously, I listed four things, and I think that  
17 we're talking here about the adverse events and less  
18 frequent but severe and unexpected adverse events and  
19 so forth. Obviously the package insert, which we're  
20 talking about the labeling, the website I think, and  
21 I'm speaking of all the websites at this point, I  
22 think it should go into the manufacturer training  
23 materials because a lot of manufacturer have training  
24 modules and, of course, again that brings us back to  
25 the professional organizations, and what they can

1 contribute in terms of communication which is really  
2 going to be as key as what the manufacturers do with  
3 their training modules.

4 DR. LoCICERO: Dr. Anderson.

5 DR. ANDERSON: I would concur. I know that  
6 a lot of patients go to professional organization  
7 websites before having something like this done, and  
8 if there was a good consumer/patient piece to the  
9 website, that might be very useful.

10 DR. LoCICERO: Okay. Well, Mr. Melkerson,  
11 on these two questions, major questions, the Panel  
12 seems to agree that at a minimum, there should be a  
13 listing of the adverse events that occur and I don't  
14 know, we are not in a consensus as to the level of  
15 tolerance that that should be, but we are in  
16 consensus that wide dissemination of information  
17 should be accomplished by the variety of methods that  
18 have been discussed this morning, a whole list of  
19 those.

20 Does this satisfy the FDA on these two  
21 problems?

22 MR. MELKERSON: I believe it does but I  
23 will plant a seed for this afternoon's session. On  
24 the questions of tolerance, the current study designs  
25 generally are powered for effectiveness. So when

1 you're thinking about safety tolerance, take that  
2 into consideration when you're thinking about this  
3 afternoon's questions.

4 DR. LoCICERO: Okay.

5 DR. DANG: Thank you. The next question is  
6 related to the post-approval study data that we heard  
7 this morning.

8 Based on clinical experience and results of  
9 the post-approval studies, is there sufficient  
10 evidence to conclude that the evaluation of dermal  
11 fillers in patients with Fitzpatrick skin types I-III  
12 can be generalized to patients with Fitzpatrick skin  
13 types IV-VI. If yes, would such a conclusion be  
14 limited to only the filler materials that have been  
15 evaluated in these post approval studies or would  
16 this conclusion extend to new filler materials not  
17 previously approved by the FDA?

18 DR. LoCICERO: Maybe we can take this one  
19 on pretty quickly. Can I have a show of hands of  
20 those people who believe that this is true that we  
21 can generalize from one data on I-III that it's okay  
22 for types IV-VI? Does anybody agree with that?

23 I see no hands up.

24 So, Mr. Melkerson, does that answer  
25 question 4?

1 MR. MELKERSON: I believe it does.

2 DR. LoCICERO: Thank you.

3 DR. DANG: Okay. Thank you. Moving on.

4 This is also related to Fitzpatrick skin types IV-VI.  
5 Should clinical evaluation of dermal fillers consider  
6 patients with Fitzpatrick skin types I-III and IV-VI  
7 as two distinct populations with potential to exhibit  
8 different safety profiles? If yes, please recommend  
9 approaches or strategies that would evaluate safety  
10 and/or effectiveness of dermal filler use in patients  
11 with Fitzpatrick skin types IV-VI such as premarket  
12 study or a post-approval study.

13 DR. LoCICERO: So we just said that the  
14 studies on the I-III don't work for the IV-VI group.  
15 So how are we going to address that issue in studies?  
16 Mr. Halpin.

17 MR. HALPIN: One of the things that I  
18 wanted to mention is that if you look at the studies  
19 that were done on IV-VI, and the studies that were  
20 done generally, they're not the same types of  
21 studies. So I think the struggle that everybody had  
22 was that you're trying to compare apples and oranges,  
23 and I think perhaps what we need to be doing is  
24 studying these, and they're the same protocol design,  
25 in order to see whether or not they're actually



1 different or not, and I think one of the  
2 manufacturers has actually done them.

3           So it may be that these two subgroups  
4 actually do react the same and that we just don't  
5 have visibility to the data in a way that would allow  
6 us to say that.

7           DR. LoCICERO: So one of the problems for  
8 the sponsors who presented data like this was  
9 recruitment. So how would industry address that  
10 issue?

11           MR. HALPIN: I think in some of the initial  
12 studies that were done, I believe the sponsors may  
13 not have been aware of the issue, and I think these  
14 are very fast and rolling studies in general. I  
15 think that a pre-awareness of what the issues are  
16 would allow the sponsor to understand how to enroll a  
17 study so that it's most effective for them but also  
18 covers the issue. I don't know if anyone else  
19 disagrees with that or not but --

20           DR. LoCICERO: Ms. Rue, a lot of this is  
21 driven by a consumer coming to a user, and is there a  
22 way to get the consumers to volunteer for additional  
23 studies? And this would have to be the darker skin  
24 individuals.

25           MS. RUE: Well, it seems that people are

1 always recruiting people to participate in some study  
2 or not, some research. I mean it's in every  
3 community, and I think some people do it just because  
4 of the interest in it, in helping to determine.  
5 Sometimes there's an enticement and I think that's  
6 what the industry needs, but you see advertisements  
7 all the time in communities that have research areas  
8 asking for people to participate, and I don't think  
9 this is any different.

10 DR. LoCICERO: So one of the questions  
11 embedded in this is, are these two different  
12 populations? And, we have a lot of experts here who  
13 deal with this sort of thing. Dr. Bigby.

14 DR. BIGBY: So I would say that the answer  
15 to that first question is no because actually if you  
16 actually look at the history of the Fitzpatrick  
17 scale, it was designed initially to determine a  
18 response to ultraviolet exposure and phototherapy.  
19 The initial effort only went to type III, and the IV-  
20 VI was an afterthought. If you actually looked at  
21 people's skin reactivity there is a large overlap in  
22 terms of skin color of people who have type I, II,  
23 II, IV, V and VI. If you look at their response to  
24 light, the range is quite broad and the bell curve is  
25 overlapped and there really isn't, I mean like

1 everybody has this idea that keloids and post-  
2 environmental pigmentary problems are much commoner  
3 the darker you get, and I think that that's a true  
4 based on many, many years of clinical experience but  
5 just because you have skin type II doesn't mean  
6 you're not going to form a keloid. So I think that  
7 the overlap is too great.

8 I think the thing to accomplish would be to  
9 know what the safety profile is among the people who  
10 get the product used, and I think the study design  
11 should just make sure you include that spectrum of  
12 people in adequate numbers.

13 DR. LoCICERO: Dr. Walker.

14 DR. WALKER: Yes. I would agree. I think  
15 that that's really the disadvantage of the  
16 information that we have before us is that we just  
17 don't have enough numbers, and I don't think going  
18 forward that that would be very difficult to change.  
19 I think in recruitment, making sure that there is a  
20 diversity among skin types and ethnic groups in any  
21 studies going forward would help to answer that  
22 question.

23 I also agree that there is no difference,  
24 and these are not two distinct populations. That's  
25 my own personal opinion, but we actually don't have

1 any scientific data to prove that.

2 DR. LoCICERO: Dr. Gooley.

3 DR. GOOLEY: Given the lack of data and the  
4 uncertainty of whether or not these are separate  
5 populations, it seems to me that the design of any  
6 studies, especially randomized studies, the  
7 randomization could be stratified on, on the  
8 Fitzpatrick score, to ensure that you didn't have a  
9 higher proportion of agents with higher Fitzpatrick  
10 scores in one arm relative to the other arm, that  
11 might impact the comparison of the two. That might  
12 also help to address the question of whether or not  
13 these populations are different for future studies.

14 DR. LoCICERO: Dr. Li.

15 DR. LI: I'll just put in my two cents on  
16 the materials issues, that again I think this has to  
17 be done on a material or product-by-product basis  
18 because the response to hydroxylapatite could be very  
19 different than a PLA or maybe even different  
20 molecular weights PLA. So I think it could be  
21 misleading to generally just use the skin types as a  
22 way to classify the response.

23 DR. LoCICERO: This might be something that  
24 could be modified by collaboration with societies.

25 DR. LI: Absolutely. So it's just not a

1 dose response but also perhaps a timing issue. Some  
2 of these things resorb at different rates. So in one  
3 product, 12 weeks may be a very appropriate follow-up  
4 time, but another one it actually might be 36 or 48  
5 weeks. So I think to generalize the study at this  
6 point where we just don't know a lot of the basis  
7 information, could potentially, you know, lead us to  
8 wrong conclusions.

9 DR. LoCICERO: Mr. Halpin, this kind of  
10 presents a problem to industry and what's coming out  
11 from this Panel so far is let's get everybody in  
12 there but we may need to do it for different times  
13 and we need to stratify, et cetera.

14 That leaves the industry with not knowing  
15 who to go to, to develop these studies. Do you have  
16 any comments concerning --

17 MR. HALPIN: When you say who to go to, do  
18 you mean --

19 DR. LoCICERO: Well, we've just come up  
20 with the different ideas about how we should design  
21 the study. So right now industry works with FDA to  
22 develop these things. What are the resources that  
23 industry would like to see so that they're not  
24 missing some of these points?

25 MR. HALPIN: Well, I think that a guidance

1 document that would focus on clinical study design  
2 would be useful and the resources that I think we  
3 would want to have at the table would be industry,  
4 academia, and the FDA so that we have a consensus, so  
5 that as we move forward, we're actually moving  
6 together rather than separately and then coming back  
7 four years later and having everybody look at the  
8 issues associated with this.

9 I think another thing to think about with  
10 regard to Fitzpatrick skin type or skin color is  
11 studying the distributions as they actually appear,  
12 and I think that if you understudy a population,  
13 relative to what you're going to expose it to, that  
14 is cause for concern, but that doesn't necessarily  
15 mean you need to overstudy it either.

16 So I think maybe coming up with a consensus  
17 about what percentages are meaningful and appropriate  
18 for a clinical study, that everybody feels  
19 comfortable is representative.

20 DR. LoCICERO: So currently though, if a  
21 sponsor wants to produce a study, they go to academia  
22 and design something and bring it to the FDA and say  
23 is this okay? Are there additional players at the  
24 table that you would need to help design this study?

25 MR. HALPIN: I think typically on a study-

1 by-study basis, you would look at what available  
2 guidance is available, work with the clinical  
3 investigators who are helping you design the product  
4 and work with the FDA through the IDE process to  
5 develop a clinical trial design and move it through  
6 the FDA approval process. I would think that an  
7 individual study-by-study basis, to insist or enforce  
8 that other groups have to be involved may not be the  
9 best approach, that that may be too cumbersome. I  
10 think through the guidance document process, you can  
11 get consumer input, biomaterial input, academic  
12 input, FDA input, set a guidance document and then  
13 move on from there. That would allow preclinical  
14 issues, material testing issues or formulation issues  
15 all to be thought about and documented in a way which  
16 industry can then reasonably follow and know that  
17 there has been input from a number of different  
18 disciplines.

19 DR. LoCICERO: Dr. Li.

20 DR. LI: To make it not so impossible, I'll  
21 throw out as a suggestion, it might not be possible  
22 to write a guidance document that lays out every  
23 possible test combination for every possible material  
24 because I think we're nowhere near being able to do  
25 that, but a more workable solution might be to

1 generate a list of common questions that you'll want  
2 to have answered for each device, and then leave some  
3 latitude how you go about answering that question.

4           For instance, not specifying the specific  
5 follow-up time, but you might specify the manner in  
6 which you pick the specified time as a single  
7 example.

8           So it might be possible to write a guidance  
9 document as a start to list universal goals that all  
10 products should be able to answer this list of  
11 questions in a manner that's suitable for that  
12 device.

13           DR. LoCICERO: Mr. Melkerson, I think we're  
14 actually ready to answer this question but do you  
15 have a comment?

16           MR. MELKERSON: I just wanted to make sure  
17 we're touching base on a couple of points that may  
18 have been lost in the translation.

19           I understand your initial point with regard  
20 to is it generalized or two distinct populations, but  
21 one of the questions is, is it a premarket issue or  
22 is it a postmarket issue was embedded in that  
23 question and it was saying, if yes, I would ask that  
24 question, is it premarket or postmarket. If no, as  
25 well, and I wanted to make sure that got in as a



1 question, and also in terms of post-approval studies,  
2 those basically come from a recommendation from the  
3 Panel to approve with conditions to answer specific  
4 questions that were raised by that particular  
5 product, and then the study design is the  
6 responsibility of working with the postmarket  
7 surveillance EPI group and the sponsor to try to --  
8 how do we answer those questions and design to answer  
9 those particular questions?

10           So a guidance document may be fine for the  
11 premarket. How do we go about testing these in the  
12 general to get an indication of proof or a premarket  
13 application but post-approval studies are generally  
14 based on what particular questions need to be  
15 addressed by that product. So I just wanted to make  
16 sure those were in your discussion points when you're  
17 talking about guidance.

18           It's hard to write a guidance for something  
19 that is dependent on the device and what questions  
20 come up.

21           DR. LoCICERO: So embedded in your embedded  
22 question, are there any products currently being  
23 evaluated in PMA, any dermal fillers out there, that  
24 are currently in trials that are just I-III.

25           MR. MELKERSON: The answer is no. We

1 actually encourage all and, in fact, one of your  
2 presenters actually described that they did not have  
3 a post-approval study to address issues IV-VI because  
4 they actually had a population insufficient to  
5 analyze those patients.

6 DR. LoCICERO: Okay. Additional comments?  
7 Yes.

8 MR. HALPIN: I just wanted to state that in  
9 looking at the second section of the question, where  
10 it talks about this is a pre or postmarket issue, you  
11 could handle it as either a pre or postmarket issue  
12 that if the sponsor's able to actually enroll enough  
13 patients according to guidance in a premarket, so  
14 then they wouldn't have a post-market approval study.

15 If they weren't, rather than kicking them  
16 off the market, you might just have a postmarket  
17 approval as a condition of study to continue the  
18 protocol and study patients in skin of color and that  
19 way you're not simply restricting people or taking  
20 them off the market because of the inability to  
21 enroll in a subtype.

22 DR. LoCICERO: So there are probably a  
23 couple of products out there being used now that have  
24 not had a post-market approval analysis. So  
25 Fitzpatrick type IV-VI, are we saying that those

1 products should be evaluated in a postmarket study?

2 I see a lot of blank stars.

3 DR. LI: Well, again I mean we're missing  
4 something here but it seems to me, I'll speak for  
5 myself, that my knowledge of how these materials  
6 perform, especially as a function of skin type, in  
7 the short term, is not completely understood by me,  
8 and if there's some differences between them, I'm not  
9 sure where the differences come from.

10 So if I'm unsure of the results from the  
11 premarket study, I don't see how I could dismiss a  
12 postmarket study.

13 DR. LoCICERO: Other comments? Dr. Bigby.

14 DR. BIGBY: I have two comments. One is  
15 the answer to the question as you just asked, should  
16 be yes. If they haven't had adequate numbers, they  
17 should.

18 And then this is the one that I often ask  
19 at, you know, the CDER meetings, and that is has any  
20 device or injectable ever lost its approval because  
21 it did not adequately perform the postmarketing  
22 surveillance study? And the answer from the drug  
23 side, it seems to never have happened. So that  
24 asking for a postmarketing study seems to be a way to  
25 kind of sweep the problem under the table and be able

1 to talk about it for a long time but never to be able  
2 to do anything about it.

3 MR. MELKERSON: We'll, I'll put a caveat.  
4 The short answer is no product has been pulled but  
5 there are efforts to put, in terms of the presenter  
6 actually put up the website of actually trying to  
7 identify here is the status of the product, they are  
8 or they are not doing it. There are civil penalties  
9 and other things associated with it. So pulling it  
10 from the market may not be the regulatory authorities  
11 that we invoke to encourage those studies to be  
12 completed.

13 DR. LoCICERO: One final thing. There are  
14 some products, some devices out there that have PMA  
15 approval that had no postmarket study requirement.  
16 Are we suggesting that those be done voluntarily by  
17 the sponsor? Are we saying that those should be  
18 looked at again with the idea to do postmarket  
19 studies? Mr. Halpin.

20 MR. HALPIN: I'm going to comment that  
21 maybe we should be looking forward rather than  
22 looking backwards and that some of the products we  
23 may be talking about may have been improved a long,  
24 long time ago. So I'm not sure that it's necessary  
25 to go back and try and recover those products which

1 may have been improved a long time ago but look more  
2 towards what's happening how, where we're going  
3 forward. But that's just my opinion.

4 DR. LoCICERO: Are there other comments  
5 about that?

6 DR. WALKER: My only comment would be that  
7 moving forward I think just in terms of the adequate  
8 study design, including all skin types is important  
9 as well as looking at the duration and the actual  
10 mechanism of action of some of the newer longer  
11 lasting devices. Some of the older products were  
12 very short lived and even within the timeframe of  
13 their effectiveness, whatever the adverse events were  
14 associated with the product, also resolved by the  
15 product disappeared. That's no longer the case.

16 So the older products to me don't seem to  
17 be as much of an issue as some of the newer one to  
18 five year product duration issues that are about to  
19 come down into the marketplace.

20 DR. LoCICERO: Ms. Rue.

21 MS. RUE: I would think if we got better  
22 adverse reporting in general, then it would indicate  
23 whether that that would need to be done or not but  
24 since we don't have adequate adverse reporting, we  
25 don't know that.

1 DR. LoCICERO: Additional comments?

2 (No response.)

3 DR. LoCICERO: Mr. Melkerson, does this  
4 answer that question for you?

5 MR. MELKERSON: I think you've discussed  
6 the question to our satisfaction, yes.

7 DR. LoCICERO: Thank you.

8 DR. DANG: That concludes the morning  
9 session.

10 MR. MELKERSON: Wow.

11 DR. DANG: Well, I can't conclude it. You  
12 can conclude it, but as far as the questions, we're  
13 done. Sorry about that.

14 DR. LoCICERO: Okay. So we're just a  
15 little bit behind. We're doing very well.

16 So we're now going to break for lunch.  
17 We'll reconvene again in 45 minutes. We're going to  
18 make that 1:20. Please take any personal belongings  
19 that you might want at this time. The room is to be  
20 secured by the FDA staff during the lunch break. You  
21 will not be allowed back in the room until we  
22 reconvene. Thank you.

23 (Whereupon, a luncheon recess was taken.)

24

25



1 Over the past two decades in plastic  
2 surgery, we have become increasingly sophisticated in  
3 our techniques and in the products that we use. To  
4 support this progress, we, as surgeons, have become  
5 increasingly cognizant of the importance of patient  
6 reported outcomes research. We recognize that  
7 traditional outcomes, such as complications data and  
8 photo analysis remain important but taken alone  
9 simply fail to capture all key aspects of outcome.

10 We also recognize the importance of  
11 rigorous development and validation of patient  
12 reported outcome measures.

13 The FDA guidance document on patient  
14 reported outcome measurement development has been  
15 tremendously helpful to us in plastic surgery.

16 With grant support from the Plastic Surgery  
17 Education Foundation, we recently developed a new  
18 patient reported outcome measure for breast surgery  
19 patients. In developing this measure, we adhered  
20 very strictly to FDA recommendations. We  
21 incorporated patient input in every step of the  
22 process. Our conceptual framework was informed by  
23 extensive patient interviews and whenever possible,  
24 we maintained the exact wording used by patients for  
25 our questionnaire items.



1           Cognitive debriefing interviews then helped  
2 us to identify ambiguities, acceptability,  
3 readability and the appropriateness of the recall  
4 period.

5           We then combined these qualitative methods  
6 with quantitative work and field tested the  
7 questionnaire in over 2,000 patients in 5 centers in  
8 Canada and the U.S.

9           Through this rigorous development process,  
10 carefully following the FDA guidance, we were able to  
11 optimize the validity, reliability, responsiveness  
12 and very importantly the clinical relevance of this  
13 new outcome measure for breast surgery.

14           Our research group recently performed a  
15 systematic review of patient reported outcome  
16 measures for use among patients undergoing aesthetic  
17 procedures including dermal fillers. In our review,  
18 we identified nine measures. The quality of these  
19 questionnaires was highly variable. Many have been  
20 developed based on expert opinion alone and none  
21 adequately assessed the impact of a negative sequelae  
22 or complications on a patient's quality of life.

23           Patient education was also not well  
24 addressed with no questionnaires assessing patient  
25 satisfaction with pre-procedural information or with

1 the instructions provided.

2           Based on this review, we determined that  
3 there is a need for a new goal standard patient  
4 reported outcome measure for facial aesthetic to  
5 evaluate satisfaction and health related quality of  
6 life among patients undergoing facial aesthetic  
7 procedures. Such a measure would facilitate  
8 comparison of techniques, quantification of positive  
9 effect, identification of patients most likely to  
10 benefit from procedures. It would also provide an  
11 important follow standard and a reference point for  
12 clinical trials, regulatory efforts and effectiveness  
13 studies.

14           With grant support from the Plastic Surgery  
15 Education Foundation, we've now begun development of  
16 this new measure, and we are now nearing the end of  
17 our first year of a three-year program of research.

18           In developing this new measure, our  
19 ultimate goal is to better understand the impact of  
20 aesthetic procedures and dermal fillers from the  
21 patient perspective and with this knowledge to  
22 improve patient safety and outcomes.

23           We believe that these tools will be of  
24 great value in assessing what we consider the most  
25 important of clinical outcomes, patient satisfaction

1 and quality of life. Thank you.

2 DR. LoCICERO: Thank you. Our next speaker  
3 is going to be Dr. Ira Lawrence.

4 DR. LAWRENCE: Thank you, Dr. LoCicero, and  
5 thank you for inviting me to present to the Panel.

6 My name is Ira Lawrence. I'm a board-  
7 certified internist and clinical immunologist and  
8 Senior Vice President of Research and Development and  
9 Regulatory Affairs at Medicis Pharmaceutical Company  
10 in Scottsdale, Arizona.

11 As the U.S. marketer of the world's leading  
12 hyaluronic acid dermal filler, Medicis has a long and  
13 well-established interest in insuring that the  
14 effectiveness and safety of this class of devices are  
15 based on the highest standards of clinical and  
16 scientific data available.

17 Restylane and Perlane are perhaps the most  
18 well-studied dermal fillers in the world. To date,  
19 there have been over 10 million patient injections  
20 worldwide with Restylane and Perlane with an  
21 excellent record of safety and effectiveness. The  
22 vast majority of the adverse events reported with our  
23 products were local events at the site of injection  
24 and were mild to moderate in severity and short in  
25 duration.

1           We currently maintain one of the largest  
2 dermal filler safety databases in the world and have  
3 used this database to provide up-to-date information  
4 on both the safety and the effectiveness of these  
5 products and our product labeling.

6           This has most recently been evidenced by  
7 our update to the product labeling which have  
8 included data on long-term effectiveness and safety  
9 of the use for periods of up to 18 months post-  
10 initial treatment including subsequent touch-up  
11 treatments with the devices.

12           We have also recently updated the potential  
13 adverse events section of the product labeling to  
14 provide information on additional adverse events that  
15 have been noted as part of our ongoing review of the  
16 postmarketing safety database.

17           We are fully committed to working closely  
18 with the FDA in meeting our post-approval commitments  
19 that have included the conduct of a study evaluating  
20 the safety and effectiveness of the device in  
21 patients with Fitzpatrick skin types IV-VI.

22           In addition, the long-term safety study I  
23 previously discussed included by design over 30  
24 percent of the patients with Fitzpatrick skin types  
25 IV-VI.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1           As the Panel discussed this morning, each  
2 dermal fillers possesses unique characteristics in  
3 both their physical and chemical properties, as well  
4 as safety and effective parameters and, thus, we  
5 respectfully suggest that the Panel should consider  
6 similar rigor in conduction clinical studies and  
7 study design and that these should be applied  
8 universally to all dermal fillers approved by the  
9 Agency.

10           Given the unique aspects of each dermal  
11 filler, this information is critical to ensure that  
12 both physicians and patients are fully informed of  
13 the potential benefits and risks associated with the  
14 use of a specific dermal filler, in order to  
15 determine which product best suits their particular  
16 needs. This information should be updated with some  
17 frequency post-approval and should include all long-  
18 term safety data available to the manufacturer.

19           In addition, information related to the  
20 removal of the device, especially if such removal  
21 would require a surgical procedure, should be  
22 considered for inclusion in the label.

23           In the area of clinical study design, we  
24 would ask the FDA and the Panel to strongly consider  
25 the ability of manufacturers to extrapolate the data

1 collected from studies to correct nasolabial folds,  
2 to include additional facial folds and wrinkles,  
3 given the common anatomy and pathophysiology of these  
4 facial folds and wrinkles.

5           When considering study designs, it is  
6 important to remember that the patients who are being  
7 evaluated are often quite different from the usual  
8 population of patients who participate in clinical  
9 trials. They are seeking an immediate aesthetic  
10 benefit and thus may have a lower tolerance for a  
11 complex trial design which may either delay the  
12 achievement of that benefit or utilize a control  
13 treatment which provides suboptimal or even no  
14 aesthetic benefits. This may actually inhibit the  
15 ability of the study to collect important clinical  
16 data on safety and effectiveness in a timely manner.

17           In studies to evaluate new indications,  
18 which might include soft-tissue augmentation and  
19 recontouring of the face and other portions of the  
20 body, the FDA and the Panel should consider the value  
21 of utilizing a global aesthetic endpoint for  
22 effectiveness based on assessment by both the  
23 physician and the patient and which would include  
24 patient satisfaction measurements.

25           We believe this would more accurately

1 evaluate the overall aesthetic effect achieved rather  
2 than relying solely on quantitative scales which  
3 often do not adequately capture the aesthetic benefit  
4 sought by both the patient and the physician.

5 We appreciate the thoughtful proposals  
6 provided in Dr. Dang's presentation on potential new  
7 indications for dermal fillers and look forward to  
8 the Panel's discussions on these important points.

9 We have some concern, however, on the  
10 proposal for histologic evaluations using biopsy  
11 samples and given the fact that these are aesthetic  
12 devices. These devices are often used on the face or  
13 other areas where a scar which could result from a  
14 biopsy would pose an unacceptable risk to patients.

15 Medicis believes that when used properly by  
16 skilled healthcare professionals, dermal fillers  
17 offer patients significant benefits. It is  
18 essential, however, that all such devices are held in  
19 consistent, scientific and clinical standards for  
20 effectiveness and safety. We are fully committed to  
21 working closely with both the FDA and the appropriate  
22 professional societies to ensure that such standards  
23 are met in any studies involving our products.

24 The proposed consensus conference, as  
25 outlined by Drs. D'Amico, Redbord and Gold earlier

1 today, would seem to be an excellent start to such  
2 collaborative efforts. Thank you.

3 DR. LoCICERO: Thank you, Dr. Lawrence.

4 Our next speaker will be Dr. Robert Weiss.

5 DR. WEISS: Thank you for giving me the  
6 opportunity to represent the American Society for  
7 Dermatologic Surgery. I am the current President,  
8 and we are an organization that is comprised of  
9 board-certified dermatologists with an interest in  
10 dermatologic surgery, and we have more than 5,000  
11 members. And we did a survey in 2007, and we found  
12 out that our members have performed over a million of  
13 the procedures utilizing various dermal fillers.

14 Numerous scientific studies concerning  
15 dermal fillers have appeared in our journal, which is  
16 Dermatologic Surgery.

17 The Society has developed consensus-based  
18 guidelines of care and physician statements related  
19 to them. Most of the statements I'm going to make  
20 today are based on our published literature with a  
21 little bit of infusion of my own personal experience  
22 as well as a very impromptu survey that I did this  
23 past weekend with about 50 of our members who teach  
24 filler procedures. So there is a bibliography  
25 available for your reference if you would like it but



1 being green, I didn't bring a stack of them today.

2           And I'm here to make some general remarks  
3 regarding the safety of dermal fillers in general  
4 without comment about any specific product.

5           In terms of disclosure, our Society does  
6 get a number of unrestricted educational grants from  
7 the various companies that make these devices. And,  
8 personally, I have participated in the CME programs  
9 and have received honoraria and we also do clinical  
10 research for Medicis, and I've been a speaker for  
11 Allergan, Medicis and ColBar, a division of Johnson  
12 and Johnson.

13           So in terms of the ASPS position, our  
14 position is that complications resulting from the use  
15 of dermal fillers, while rare, are frequently  
16 caused by injection technique which is largely  
17 dependent on the experience of the person injecting  
18 and understanding aspects of facial anatomy, and  
19 therefore, we believe that many of these  
20 complications can be prevented by appropriate  
21 training, patient screening and product selection, of  
22 course, being appropriate for which part of the face  
23 that is being injected.

24           We believe that stronger safeguards should  
25 be put in place to ensure, like with the other

1 organizations, that thorough training of appropriate  
2 pre-trained practitioners included in anatomy,  
3 selection preparation and injection of the products  
4 is very, very important to minimize adverse tissue  
5 responses.

6           In the survey that I did over the weekend,  
7 I also got the same sense from our members that they  
8 felt in a similar way, and several of them commented  
9 on the incidence of side effects in type I-III skin  
10 versus IV-VI skin and most people's whose population  
11 comprised anywhere from 8 to 10 percent of type III-V  
12 for fillers, found that there was no difference in  
13 incidence in the side effects. So I found that  
14 interesting and it's certainly correlated with our  
15 own experience.

16           I think I've covered the main points that I  
17 wanted to make.

18           Oh, one other final point, that labeling  
19 for dermal fillers, especially with new fillers, with  
20 more permanent applications or much longer duration,  
21 where there may be side effects that manifest over  
22 weeks or months, we believe that those should be  
23 included in the labeling.

24           And that's my brief statement, and I'd be  
25 happy to answer any questions.

1 DR. LoCICERO: Thank you. Our next speaker  
2 is going to be Dr. Steven Fagien.

3 DR. FAGIEN: Mine will be in a PowerPoint  
4 presentation. Thank you. I appreciate addressing  
5 this group, Dr. LoCicero.

6 I'm in private practice in Boca Raton,  
7 Florida, and my personal practice is limited to  
8 aesthetic periorbital surgery and injectable agents  
9 for facial enhancement. I have a unique association  
10 with membership. I'm an oculoplastic surgeon, a  
11 member of ASOPRS but I'm also a member of the  
12 American Society of Dermatologic Surgery and the  
13 American Society of Aesthetic Plastic Surgery.

14 Even though I'm in private practice, I've  
15 been an educator most of my career and I believe in  
16 excellent outcomes in patient safety. I consult or  
17 am a clinical investigator for Allergan, BioForm,  
18 Medicis, and I've done investigational research for  
19 Allergan, Anaca (ph.), Medicis, Mentor and Sanofi-  
20 Aventis. I have no stock in Allergan.

21 My trip actually today was sponsored by  
22 Allergan. However, my presentation I believe  
23 represents all the companies here that provide dermal  
24 filler and perform clinical studies, and more  
25 importantly, I think this will represent the interest

1 of our patients.

2           As far as RCC preferences, the ideal  
3 situation or pivotal trials for new indications on  
4 currently approved products should be randomized and  
5 include a control arm. Both products evaluated  
6 should be similar. Products for new indication for  
7 fillers, there are currently no approved materials  
8 for intended future application such as lip  
9 augmentation or facial volume restoration. And as we  
10 heard from several of the speakers, our patients are  
11 asking for lip augmentation. They're asking for  
12 facial volume restoration, and we need to address  
13 this.

14           Limited randomized clinical controlled  
15 studies showing safety and efficacy, however, of fat  
16 injection as again mentioned is very limited.

17           The recommendations have been that there's  
18 non-treatment statistical controls with either a  
19 delay of treatment or unrelated control group as the  
20 patient has his own control, the possibility of a  
21 sham or saline as a control. Saline as a control may  
22 be immediately or soon obvious, however, for both the  
23 evaluator and the subject, which can add bias to the  
24 study. Adverse events will inherently be biased  
25 against study products if the control won't be

1 related to the procedure or technique and not due to  
2 the product itself, and it may raise some ethical  
3 questions at some institutions. And then today we'll  
4 talk about the argument against autologous fat  
5 transfer, being taking fat from one part of the body  
6 and injecting it into areas of the face as a control.

7           Why autologous fat? Well, some people  
8 consider it an ideal facial filler, but I will tell  
9 you it is not a dermal filler. It is meant to be  
10 placed in the subcutaneous space. Problems other  
11 with the use of autologous fat injection as a  
12 control, as it raises many concerns such as the  
13 limited access to physicians who currently perform  
14 these injections, enrollment difficulties which we'll  
15 get into. It requires sophisticated apparatus for  
16 facial augmentation with fat variable and fat  
17 procurement. There's so many variables including  
18 processing injection technique, the reliability of  
19 results. Is it required to have a sterile  
20 environment? Some injectors actually use general  
21 anesthesia. There's survivability and degradation of  
22 injected fat, donor site morbidity. So there's so  
23 many issues that we all think about, these will be  
24 entered into a clinical study if this is used as a  
25 comparator and then concerns with study design.

1           Now, ASPS recently published that actually  
2 fat injections are declining at a rate of 12 percent  
3 a year probably because better products are becoming  
4 available that are off the shelf. Due to the  
5 complexities of autologous fat, in fact, not all  
6 dermatologists or plastic surgeons perform these  
7 procedures, and we have seen that there's a growing  
8 rate of HAs unlike a declining rate of injecting fat,  
9 a significantly increased ASPS reports 35 percent  
10 growth rate.

11           We expect, although a small issue, that  
12 with a trial using fat as a comparator, it will be  
13 waited possibly towards plastic surgeons being the  
14 more frequent injectors of autologous fat.

15           Some enroll concerns include the fact  
16 that we're introducing a secondary procedure to  
17 harvest fat, and that may deter patients from  
18 enrolling in the studies. They are more likely to  
19 exit the group. They could opt just to not be  
20 involved in the study and use HA as an off-label use  
21 for these applications. Many patients are seeking,  
22 as mentioned, immediate gratification, and this will  
23 obviously delay their result and many may not accept  
24 the risk of the procedure or the secondary procedure  
25 required to procure fat.

1           There's so many variables, I won't go into  
2 every single one, but there's no standard method of  
3 fat injection, whether you're injecting the lip or  
4 the mid-face or the lower face. Harvesting is across  
5 the board so variable, the injectors, the method of  
6 anesthesia, where they harvest fat from, the cannulas  
7 that are used, irrigation solutions, timing from  
8 injection after procurement, the quality of the fats  
9 variables. Some patients have excellent fat. It has  
10 very low survivability. The age of the patient and  
11 the medical history may have an impact on that. The  
12 quality of the harvest fat depends also on what areas  
13 are used as a donor site.

14           Processing and storage is quite variable,  
15 how the material is watched, centrifuged, filtered,  
16 manipulation of it, adding growth factors or reagents  
17 to enhance the viability of the fat. The injection  
18 apparatus as I mentioned is very complicated. The  
19 needle size used to inject, the gauge, reusable  
20 needles, disposable needles, length of the needle,  
21 types of syringes, the volume of the syringe, the  
22 injection plan of delivery of the fat.

23           So, again, as I mention over and over, the  
24 immense amount of variables using fat as a  
25 comparator.

1           Finally, there's no significant inherent  
2 variabilities in the outcomes in autologous fat  
3 versus synthetic or manufactured filling agents since  
4 HAs for the reason for failure for HAs has to do more  
5 with technique. However, with fat, again as  
6 mentioned, with so many variables, the reason it is  
7 quite variable is it's a living cell. It basically  
8 requires a blood supply unlike these other agents.  
9 Requiring this blood supply is also dependent on many  
10 factors including technique, is there bleeding, is  
11 there possible infection or contamination, and  
12 they're biodegraded completely different. We know  
13 that pathways of biodegradation of hyaluronic acid.

14           Fat is a living cell. Once it's injected,  
15 theoretically it should stay for life. The other  
16 concerns we have is fat actually can grow as the  
17 patient gains weight. So you inject certain areas,  
18 the fat survives, and we can see five years later  
19 when they gain 100 pounds that that fat in that area  
20 may be altered significantly.

21           There are some inconsistencies with fat  
22 that again are related with HA basically dependent on  
23 the technique.

24           There's blinding difficulties. Obviously  
25 the patient would know if they've got a harvest for



1 fat or an injected hyaluronic acid. Scheduling  
2 difficulties, the timing, when we see these patients  
3 after injection is typically different. There's a  
4 lot of edema and so forth and some morbidity  
5 associated with the fat injections. So there's  
6 scheduling differences will be a problem.

7 Injection technique again, we typically  
8 overcorrect. With HAs, we typically treat to optimal  
9 correction. With fat, usually anticipating fat non-  
10 survival, patients are typically overcorrected and  
11 that may skew the results.

12 Adverse events, most of the clinical  
13 studies that we performed, the adverse events with  
14 the comparator, the agent that has already been  
15 established and approved, typically is less than the  
16 agent that is in the trial, and this is because those  
17 injectors have less experience with the agents that  
18 are used in the trial and more familiar with the  
19 agents available.

20 I will promise you that using autologous  
21 fat as a comparator, you will see more AEs with fat  
22 than you will with the agent that you're trying to  
23 get approval for.

24 So, in conclusion, it's my personal opinion  
25 and that of many others that the best control for the

1 new filler applications as suggested maybe a non-  
2 treatment control group. Due to limited physicians,  
3 patient preferences, variability to the process and  
4 study design concerned, autologous fat in my opinion  
5 is a suboptimal control. Thank you.

6 DR. LoCICERO: Thank you, Dr. Fagien. Our  
7 final scheduled speaker is Dr. Diana Zuckerman.

8 DR. ZUCKERMAN: Thank you. I'm Dr. Diana  
9 Zuckerman. I'm President of the National Research  
10 Center for Women and Families, and I'm delighted to  
11 be here to speak on behalf of our non-profit research  
12 and education center which does not accept funding  
13 from companies that make medical products. So I have  
14 no conflicts of interest.

15 Our Center is dedicated to improving the  
16 health and safety of adults and children, and we do  
17 that by scrutinizing medical and scientific research,  
18 explaining it and determining what is known and not  
19 known about specific treatments and comparing safety  
20 and effectiveness.

21 I'm also a Fellow at the Center for  
22 Bioethics at the University of Pennsylvania and a  
23 board member for two non-profit organizations that  
24 are focused on improving resources for the FDA.

25 My doctorate is in psychology. My post-doc

1 is in epidemiology. So I can speak to both the  
2 scientific and psychological issues here today, and I  
3 was on the faculty at Vassar and Yale and a  
4 researcher at Harvard and have worked for the last 25  
5 years in the Congress, the White House, and non-  
6 profit organizations on health policy issues.

7           Concerns expressed today are consistent  
8 with the articles that have been published in medical  
9 journals and the calls that our center has received  
10 for many patients who've used dermal fillers. We  
11 know that some people are having serious unexpected  
12 adverse reactions.

13           The FDA has approved these products based  
14 on small, short-term studies, and so it's not  
15 surprising that these adverse reactions are not known  
16 initially when the products were approved.

17           And as you've already said, the products  
18 were approved based primarily on white patients, and  
19 we know that there can be differences due to  
20 pigmentation differences in the skin, and I think the  
21 big issue here is that this should not be a  
22 postmarket question. These products should have been  
23 studied on people with diverse skin types before it  
24 was approved, and we shouldn't be waiting until  
25 afterwards, but if postmarket studies have been

1 required, as they have been, they should have been  
2 well designed, well done and should be able to answer  
3 the questions that were asked, and if postmarket  
4 studies don't fulfill those requirements, the product  
5 should be removed from the market, or there should be  
6 large warnings about the limited information about  
7 their use for people of color.

8           It's the FDA's job and your job as the FDA  
9 Advisory Panel to determine whether these products  
10 are being studied in a way to prove them safe and  
11 effective and whether they are proven safe and  
12 effective.

13           And since these products have cosmetic  
14 benefits, not medical ones, we need to take all these  
15 adverse reaction reports very seriously. And even  
16 the cosmetic adverse reactions have to be taken  
17 seriously because we know that patients don't want to  
18 get rid of wrinkles and end up with large lumps on  
19 their face instead.

20           Unfortunately, the FDA has been approving  
21 these products for market based on very small,  
22 sometimes poorly designed studies. The FDA standards  
23 have been lower than the standards for life saving  
24 medical products when, in fact, they should be  
25 higher. The FDA should be requiring better studies

1 since these products have only relatively minor  
2 cosmetic benefits but potentially lethal or life  
3 changing risks. And I can say that because our  
4 center has received calls from numerous patients who  
5 have been harmed particularly by the permanent  
6 fillers such as ArteFill and silicone. I know you're  
7 not talking about silicone today, but these permanent  
8 fillers can have very long lasting, disastrous  
9 results, and I actually got an e-mail this morning  
10 from a mother whose son is basically hiding out in  
11 his home, no longer willing to go out in public,  
12 growing a beard and hoping that some of what he calls  
13 disfigurements resulting from ArteFill will not be so  
14 noticeable if he grows a beard. Obviously most  
15 people using these products are women and they don't  
16 have that option, but even for this patient, it's a  
17 very devastating experience and particularly because  
18 he blames himself for having been so vain as to have  
19 used this product to begin with for some very minor  
20 wrinkles and ended up with a face that no longer  
21 looks like his face and that looks asymmetrical and  
22 unusually basically abnormal he says.

23           So I hope that there are doctors on the  
24 Panel. I have heard some discussion today of  
25 experiences that you've had with patients. I know

1 that we're hearing from patients and most of the  
2 patients we hear from have not reported their adverse  
3 reactions to the FDA and unfortunately their doctors  
4 haven't either.

5           The biggest weakness of the approval  
6 process used for these products is that the FDA has  
7 relied on studies of patients who were treated only  
8 once or twice or maybe three times and usually  
9 studied for a year or less. But we know that these  
10 patients are using these products many times,  
11 sometimes every six months or so for the absorbable  
12 products, and that they can continue their use for  
13 many years and yet they have not been studied that  
14 way.

15           As FDA's MOD database indicates, allergies  
16 and cosmetic problems can occur later after two or  
17 three injections, sometimes years later.

18           For permanent fillers, we've heard about  
19 lumps the size of cherries, sometimes even ping pong  
20 balls on patients' faces developing years later. So,  
21 although these products clearly have some benefits,  
22 the question is do they outweigh the risks and if  
23 they do outweigh the risks for some products, do they  
24 outweigh the risks for all of these products. I'm  
25 disappointed that the FDA has not been willing to

1 talk about the postmarket problems of specific  
2 fillers and instead are talking about all of them.  
3 This issue has been raised already today, and I share  
4 that concern because consumers and patients deserve  
5 to have this information. Some of these products  
6 don't have a lot of adverse reactions and some of  
7 them do. And how are we going to help patients make  
8 appropriate decisions for themselves without  
9 providing that information?

10           And the last thing I want to mention is  
11 that in the approval of these products, there has  
12 been a reliance on approving the products relatively  
13 quickly and relying on postmarket studies and  
14 postmarket surveillance to find out what's really  
15 going to go on in the real world with real patients.

16           I have spoken with the FDA Commissioner  
17 personally and also heard him publicly state that the  
18 FDA's postmarket program does not work. It's  
19 terribly under funded, under resourced, and because  
20 of the data not being analyzed automatically, they  
21 don't have the proper computer software or hardware  
22 to do that, the system is broken. It will take years  
23 to fix it, and it is being fixed. They are spending  
24 millions of dollars to fix the system, but currently  
25 if doctors reported more adverse reactions, the FDA

1 could not handle the load of that because they  
2 already can't handle the load they have.

3 I know that the FDA officials here probably  
4 are not in a position to be talking about that today,  
5 but it has been stated publicly as I said by the FDA  
6 Commissioner and other officials.

7 So when Advisory Panels like this one  
8 depend on that postmarket required study or  
9 postmarket adverse reaction reporting, it just  
10 doesn't work. It's not going to work. We have to  
11 shift that responsibility of approving safety and  
12 effectiveness prior to approval, not afterwards.

13 Thank you very much for the opportunity to  
14 testify, and I have been working on these issues for  
15 any years and would be glad to answer any questions.

16 DR. LoCICERO: Thank you, Dr. Zuckerman.  
17 This concludes the scheduled speakers. Is there  
18 anyone else in the audience who wishes to address the  
19 Panel?

20 (No response.)

21 DR. LoCICERO: I see no one else wishing to  
22 address the Panel at this time. So I'd like to ask  
23 the Panel if they have any questions for the  
24 speakers. Dr. Li.

25 DR. LI: I just have a quick question for I



1 believe it's Dr. Lawrence. You said you were  
2 developing a large database to track your product.  
3 Is that correct?

4 DR. LAWRENCE: We actually have an ongoing  
5 database to track our worldwide experience with the  
6 product, that's correct.

7 DR. LI: Could you give us any indication  
8 of how many implants you're actually tracking versus  
9 the number of units you're selling?

10 DR. LAWRENCE: I don't have that  
11 information at my fingertip. I can certainly provide  
12 it to the Agency as a follow-up.

13 DR. LI: Is it a big number or a small  
14 number?

15 DR. LAWRENCE: It's a fairly large number  
16 that we track, yes, that is correct.

17 DR. LI: And you get 10 percent, 20  
18 percent, 50 percent?

19 DR. LAWRENCE: I don't know that I can give  
20 that answer.

21 DR. LI: Okay.

22 DR. LAWRENCE: I don't have the exact  
23 number. I'm sorry about that.

24 DR. LI: Okay. Thank you.

25 DR. LoCICERO: Dr. McGrath.

1 DR. McGRATH: I had two questions. One for  
2 Steve Fagien. You spoke about using saline on  
3 autologous fat. How about using some other sort of  
4 injectable product? Do you think there's enough  
5 similarity that for instance if you were looking at a  
6 HA that you should use another HA as a control or why  
7 didn't you get into that discussion?

8 DR. FAGIEN: Well, one is that there's no  
9 approved agent still for use in cheeks or lips. So  
10 you would have to use a substance that would be  
11 acceptable like saline. The reason fat was suggested  
12 because it's not regulated, and the other option is  
13 to use something that would be acceptable to the  
14 Agency, however, is not approved. That would be  
15 another option but the fact that if we want to stick  
16 by the guidelines of having a comparator that is  
17 either FDA approved for that specific facial region,  
18 we're at a loss and I think once one agent gets  
19 approved, the next one will be very easy. We're here  
20 at the transition where we really don't have that.  
21 So that's why we're offering, you know, the options,  
22 and the one that I mentioned is the one that we think  
23 might be the best and the simplest one to use, but  
24 there are others, and sham saline is certainly one of  
25 them.

1 I think interestingly we talk about  
2 complications of fillers and I still find, and I've  
3 talked with Dr. McGrath, the problems are typically  
4 proximal to the syringe and less have to do with the  
5 product itself. So --

6 DR. LoCICERO: Dr. Anderson.

7 DR. ANDERSON: I had a question for  
8 Dr. Pusic. I wanted to know how close you are to the  
9 completion of a satisfaction questionnaire.

10 DR. PUSIC: We're probably still about 18  
11 months to 2 years away. Currently, we're doing our  
12 qualitative work. So we're still interviewing  
13 patients and generating items for the questionnaire.

14 DR. LoCICERO: Dr. McGrath, you had a  
15 follow-up?

16 DR. McGRATH: Yeah, I had two questions for  
17 Dr. Lawrence. You commented about focus on the  
18 specificity of the filler and then you said shortly  
19 thereafter we're talking about the importance of  
20 long-term follow-up data. Would you predicate the  
21 length of that long-term follow-up on the specificity  
22 of the filler and how would you suggest that be done?  
23 That was my first question.

24 And then I want to take a second after that  
25 and ask you about your comment about extending the

1 data from the nasolabial folds to the other facial  
2 folds.

3 DR. LAWRENCE: Certainly. To your first  
4 point, Dr. McGrath, I believe that, in fact, it does  
5 need to be demonstrated or that long-term safety  
6 needs to be demonstrated in part based on the  
7 longevity of the effectiveness of the device. So I  
8 think it was discussed earlier by other members of  
9 the Panel that each device or each set of devices may  
10 differ with regard to the duration of their  
11 effectiveness, and I think that is the appropriate  
12 manner to follow long-term safety at least as an  
13 initial cut. There are also other delayed type  
14 reactions that may occur even after the device has  
15 been resorbed. So I think that's something that we  
16 need to work out with the manufacturers and hopefully  
17 with the academic community and I think again that  
18 should be the subject of something, for example, as  
19 the consensus conference that has been suggested. I  
20 think it would be very valuable.

21 DR. McGRATH: So you could see these  
22 potentially extending beyond the period when  
23 biologically we assume the material has already been  
24 resorbed?

25 DR. LAWRENCE: I think it would be

1 something that would have to be discussed carefully  
2 but I think it may be something at least as a  
3 postmarketing study that might be of value.

4 DR. McGRATH: Thank you. And my second  
5 question had to do with extending the data for  
6 nasolabial folds to other facial folds and creases.  
7 Again, I assume you're making sort of a similarity  
8 between folds and folds but when you move into  
9 another fold, that might be one that has more blood  
10 vessels or might have more neurologic tissue there.  
11 So I'd like to hear your reasoning on this because  
12 when you make that move, you may be moving into a  
13 very different kind of geography.

14 DR. LAWRENCE: Well, I think the proposal  
15 was that the Panel should consider that as a  
16 possibility to broadly apply nasolabial folds for  
17 certain wrinkles and folds within the face.  
18 Obviously there are a variety of consideration that  
19 need to be taken that would include both the vascular  
20 supply, the neurologic supply as well as the location  
21 on the face. But we believe that there are some  
22 wrinkles, and we have spoken actually to the Agency  
23 about this that are broadly applicable, and we are  
24 hoping that that's something that the Panel may wish  
25 to discuss and evaluate whether, since one of the

1 challenges, of course, for the industry is the fact  
2 that the only validated scale is with the nasolabial  
3 fold and, in fact, we know that a large number of  
4 additional folds and wrinkles are, in fact, being  
5 treated by physicians and healthcare practitioners  
6 and we believe having some information on the label  
7 that it will allow us to both educate and  
8 appropriately collect postmarketing safety data as  
9 mentioned earlier, would be very important both for  
10 the safety and the effectiveness of the product, but  
11 also for the well being of the patients and the  
12 physicians.

13 DR. McGRATH: I don't mean to put you on  
14 the spot, but a final question. What, for example,  
15 would be another crease or wrinkle on the face that  
16 would be quite comparable to a nasolabial fold?

17 DR. LAWRENCE: Well, certainly we've  
18 considered areas such as the oral commissures,  
19 marionette lines, areas around the glabellar lines  
20 that might also be comparable or at least considered  
21 for broadening the applicability of the device.

22 DR. McGRATH: Thank you.

23 DR. LAWRENCE: Thank you.

24 DR. LoCICERO: I have a question for  
25 Dr. Weiss. You mentioned that you had over a million

1 procedures performed by your members. What sort of a  
2 database is this and what kind of data are you  
3 collecting?

4 DR. WEISS: These are surveys that we went  
5 out by e-mail on a regular basis, and usually we get  
6 about one out of four of the membership responding.  
7 Sometimes as high as up to 40 percent but these are  
8 not certified. These are estimates that people make  
9 based on their practices and, for example, our  
10 numbers at our office I can just go back to our  
11 computer database and look up from the encounter  
12 forms that have been entered into the software  
13 exactly how many syringes we have used of each and  
14 then we have all of the patient data. So that's why  
15 I can say with confidence, because I actually asked  
16 my office manager to do that this morning, that in  
17 our practice alone, we've done over -- it's like a  
18 little over 6,000 patients.

19 DR. LoCICERO: You don't have a Society  
20 database where this is stored?

21 DR. WEISS: We're relying on the estimates  
22 of people who are answering their e-mail and then  
23 doing it. Some may be more diligent like us and  
24 actually get numbers, and others can estimate based  
25 on the number of patients that they see per day and

1 the number of filler injections that they do per day.

2 DR. LoCICERO: Are there any other  
3 questions from the Panel?

4 (No response.)

5 DR. LoCICERO: Okay. Thank you very much.  
6 We will now hear from the FDA for the afternoon  
7 presentation. The first speaker will be Dr. Jiyoung  
8 Dang.

9 DR. DANG: I just wanted to remind the  
10 group that this afternoon's presentation from the FDA  
11 will be discussing clinical study design.  
12 Dr. Francis will be presenting on clinical study  
13 design for premarket approval of dermal fillers as  
14 far as what we have seen at the FDA, and we will  
15 continue on with a presentation on some clinical  
16 study considerations for potential new indications  
17 for use, and those will be followed by the  
18 presentation of FDA questions.

19 Dr. Francis is a Medical Officer in the  
20 Plastic and Reconstructive Surgery Branch, and she  
21 will be presenting a review of protocol designs.

22 DR. FRANCIS: Good afternoon. So my agenda  
23 today is to discuss approved protocol designs for  
24 dermal fillers to date, and again this is going to be  
25 a summary of the protocol designs. They will be



1 lumped together. The following slides again will  
2 compile and characterize all of the dermal filler  
3 protocols which have been approved by the FDA. And,  
4 in addition, I'll be addressing dermal filler  
5 protocol designs and analysis issues. They will  
6 again be non-device specific and I will not be  
7 presenting data from premarket studies.

8           So, in summary, at the beginning of the  
9 presentation, a summary of the study protocols for  
10 all approved dermal filler products, and basically to  
11 summarize them, they're to evaluate the safety and  
12 efficacy of study devices when used as dermal fillers  
13 in the nasolabial folds, in a range of moderate to  
14 severe facial wrinkles, facial folds, wrinkles,  
15 nasolabial folds and oral commissures, or the  
16 correction of soft tissue contour deficiencies.

17           And with regard to the protocol designs,  
18 dermal filler devices have been demonstrated to --  
19 well, the plan was to demonstrate effectiveness and  
20 safety using predominantly randomized, controlled,  
21 multi-center clinical trials. The study designs  
22 included either a split face design or a standard  
23 design where one of the cohort of patients received a  
24 control device and the other cohort of patients  
25 received a study device.

1           Masking of patients would vary, either  
2 subjects being fully masked or partially masked. The  
3 investigators were either fully masked or unmasked,  
4 and expert panels were also employed and were always  
5 masked and they would use photographs for evaluation.

6           The evaluation ranged from live assessment  
7 to photographic assessment using the Fitzpatrick  
8 scales, FWS, or a six point validated wrinkle  
9 severity scale.

10           With regard to treatment plans, the  
11 injection depths varied from sub-dermis, deep or mid-  
12 dermis. There were also linear threading techniques  
13 used, serial punctual injections or a combination of  
14 the two, and also tunneling was used.

15           With regard to pain management, physicians  
16 have been either advised to assess the patient's need  
17 for pain management, or they were encouraged to use a  
18 standard of care. They were using topical or  
19 injectable anesthesia and after the injections,  
20 sometimes also cold compresses and other things could  
21 be the standard of care and some of the protocols  
22 actually did not make comment about pain management.

23           With regard to sample size, 117 to 191  
24 subjects were enrolled in the studies and of those  
25 subjects, 115 to 185 subjects completed.

1 Sponsors are also asked to submit a  
2 justification for their specific injection depths and  
3 injection techniques in a manner which allows for  
4 collectible approval data for a given injection  
5 depth.

6 With regard to endpoints, the correlation  
7 of the nasolabial folds would have been compared to  
8 control, based on the blinded evaluations, live  
9 evaluations, the nasolabial fold severity score again  
10 using the Fitzpatrick or other scales such as the  
11 facial fold assessment scale. These would be done  
12 six months post-optimal correction visit. The  
13 statistical objective in this case was to determine  
14 the non-inferiority of the study device to the  
15 control.

16 Other endpoints include the ability to  
17 correct nasolabial folds at three months in  
18 comparison to control by an independent panel of  
19 blinded dermatologists.

20 Another endpoint was that blinded reviewers  
21 use the Lemperle Rating Scale at three months after  
22 the last touch up was applied via blinded,  
23 photographic assessments by board-certified  
24 physicians.

25 And another endpoint included an

1 independent expert review panel to assess the  
2 nasolabial fold severity score decrease over the  
3 post-treatment follow-up period. The endpoint  
4 measured the wrinkle filling but should be supported  
5 by, of course, other data which allow the sponsors to  
6 make conclusions with a variety of unbiased input  
7 from blinded panels, patients and other criteria  
8 which we'll discuss in the next slide.

9           So as a result, we're talking about the  
10 secondary implants and again this is a range of all  
11 of the implants from all of the summaries of all of  
12 the dermal filler protocols to date.

13           Subject satisfaction has often been used  
14 with overall treatment response, and this would  
15 measure anti-porcine collagen antibodies and  
16 comparison of the total volume of study device  
17 injected into the nasolabial fold in order to achieve  
18 optimal correction and this would be compared to a  
19 study group.

20           Other secondary endpoints include the  
21 investigator's visual assessment of each patient's  
22 nasolabial folds using a six-point scale and a  
23 qualitative assessment of the level of correction by  
24 the investigator and by the patient.

25           Secondary points also included blinded