

UNITED STATES OF AMERICA  
 DEPARTMENT OF HEALTH AND HUMAN SERVICES  
 FOOD AND DRUG ADMINISTRATION  
 + + +  
 CENTER FOR DEVICES AND RADIOLOGICAL HEALTH  
 MEDICAL DEVICES ADVISORY COMMITTEE  
 + + +  
 IMMUNOLOGY DEVICES PANEL

December 3, 2008  
 8:30 a.m.

Hilton Washington DC North  
 620 Perry Parkway  
 Gaithersburg, MD 20877

PANEL MEMBERS:

GEORGE J. NETTO, M.D.	Chairperson
WILLIAM K. FUNKHOUSER, M.D., Ph.D.	Voting Member
JANINE M. JASON, M.D.	Voting Member
TERRY R. LICHTOR, M.D., Ph.D.	Voting Member
DONALD BERRY, Ph.D.	Consultant
RALPH FREEDMAN, M.D., Ph.D.	Consultant
THOMAS JULIAN, M.D.	Consultant
BARBARA LEVY, M.D.	Consultant
ROBERT OZOLS, M.D., Ph.D.	Consultant
JOAN R. LONDON, M.A.	Consumer Representative
DANIEL BRACCO	Industry Representative
MARTHA B. HOLLAND	Patient Representative
DAI J. LI, M.D., M.S., Ph.D.	Executive Secretary

Free State Reporting, Inc.  
 1378 Cape Saint Claire Road  
 Annapolis, MD 21409  
 (410) 974-0947

## FDA REPRESENTATIVES:

MARIA M. CHAN, Ph.D.  
Office of In Vitro Diagnostic Device  
Evaluation and Safety (OIVD)

STEVE I. GUTMAN, M.D., M.B.A.  
OIVD

## FDA PRESENTERS:

JAMES P. REEVES, Ph.D.  
MARINA V. KONDRATOVICH, Ph.D.  
ROBERT L. BECKER, JR., M.D., Ph.D.

## SPONSOR REPRESENTATIVES:

JEFF ALLARD, Ph.D.  
RICHARD MOORE, M.D., FACOG, FACS  
STEVEN J. SKATES, Ph.D.

## OTHER PARTICIPANTS:

APRIL DONAHUE  
CAROLYN D. RUNOWICZ, M.D.  
JAMES W. ORR, JR., M.D.  
ROBERT C. KNAPP, M.D.  
CARA TENENBAUM, J.D., M.B.A.  
DAVID A. FISHMAN, M.D.

I N D E X

	<u>PAGE</u>
CALL TO ORDER	
Opening Remarks - George J. Netto, M.D.	5
Introduction of Panel and FDA Staff	6
General Announcements - Dai J. Li, M.D., M.S., Ph.D.	8
FDA Conflict of Interest Disclosure Statement - Dai J. Li, M.D., M.S., Ph.D.	9
Open Public Hearing Statement - Dai J. Li, M.D., M.S., Ph.D.	12
OPEN PUBLIC HEARING	
April Donahue	13
Carolyn D. Runowicz, M.D.	17
James W. Orr, Jr., M.D.	21
SPONSOR PRESENTATION - Fujirebio Diagnostics, Inc.	
Introduction - Jeff Allard, Ph.D.	26
Ovarian Cancer Risk Assessment - Richard Moore, M.D., FACOG, FACS	30
ROMA Development - Steven J. Skates, Ph.D.	39
Multicenter Validation Trial - Richard Moore, M.D., FACOG, FACS	45
Conclusion and Summary - Jeff Allard, Ph.D.	59
Q&A	62
FDA PRESENTATION	
Introduction - James P. Reeves, Ph.D.	115
Statistical Analysis - Marina V. Kondratovich, Ph.D.	122
Clinical Issues - Robert L. Becker, Jr., M.D., Ph.D.	138
Q&A AND PANEL DISCUSSION	150

I N D E X

	<u>PAGE</u>
OPEN PUBLIC HEARING	
Robert C. Knapp, M.D.	232
Cara Tenenbaum, J.D., M.B.A.	235
David A. Fishman, M.D.	241
SUMMATION	
Sponsor	246
PANEL DELIBERATIONS AND FDA QUESTIONS	
Question 1	250
Question 2	276
Question 3	309
Question 4	321
Question 5	325
Question 6	328
ADJOURNMENT	333

M E E T I N G

(8:30 a.m.)

1  
2  
3 DR. NETTO: I would like to call this meeting  
4 of the Immunology Device Panel to order. I'm  
5 Dr. George Netto, the chairperson of this Panel. I'm  
6 an Associate Professor of Pathology, Oncology and  
7 Urology at Johns Hopkins.

8 At this meeting, the Panel will be making a  
9 recommendation to the Food and Drug Administration on  
10 the 510(k) application K080033 for the Fujirebio  
11 Diagnostic, Inc. The HE4-EIA is an enzyme immunometric  
12 assay for the quantitative determination of HE4 in  
13 human serum. The HE4-EIA used in conjunction with the  
14 Architect CA-125II assay creates a Predictive  
15 Probability of epithelial ovarian cancer using a  
16 mathematical function referred to as the Risk of  
17 Ovarian Malignancy Algorithm, or ROMA, for use in pre-  
18 menopausal and post-menopausal women with an adnexal  
19 mass who have already been referred to an oncologic  
20 specialist and are scheduled for surgery.

21 Subjects categorized as low risk for  
22 epithelial ovarian cancer using the ROMA value may have  
23 surgical intervention performed by a non-oncology  
24 specialist. The results must be interpreted in  
25 conjunction with other clinical findings in accordance

1 with standard clinical management guideline. The assay  
2 is not indicated as an aid in a decision to proceed to  
3 surgery.

4           If you haven't already done so, please sign  
5 the attendance sheets that are at the registration  
6 table. If you wish to address this Panel during one of  
7 the open sessions, please provide your name to  
8 Ms. AnnMarie Williams at the registration table. If  
9 you are presenting in any of the open public sessions  
10 today and have not previously provided an electronic  
11 copy of your presentation to the FDA, please arrange to  
12 do so with Ms. Williams.

13           I note for the record that the members  
14 present constitute a quorum as required by 21 C.F.R.,  
15 Part 14. I would also like to add that the Panel  
16 participating in the meeting today has received  
17 training in FDA device law and regulation.

18           I would now like to ask our distinguished  
19 Panel members and FDA staff seated at this table to  
20 introduce themselves. Please state your name, your  
21 area of expertise, your position, and your affiliation.  
22 And maybe we can start on the right side.

23           DR. BRACCO: My name is Dan Bracco. I'm with  
24 Oxford Immunotech, and I'm the industry rep.

25           MS. LONDON: Good morning. My name is Joan

1 London, and I'm the consumer rep.

2 MS. HOLLAND: My name is Martha Holland. I'm  
3 a patient rep.

4 DR. BERRY: I'm Donald Berry,  
5 biostatistician, M.D. Anderson Cancer Center, chairman  
6 of the Department of Biostatistics and head of the  
7 Division of Quantitative Sciences.

8 DR. JASON: Janine Jason. I'm a physician,  
9 epidemiologist, immunologist, and I'm CEO of Jason and  
10 Jarvis Associates.

11 DR. OZOLS: I'm Bob Ozols. I'm senior vice  
12 president of Fox Chase Cancer Center. I'm a medical  
13 oncologist involved in ovarian cancer for clinical  
14 management.

15 DR. FREEDMAN: Ralph Freedman, professor of  
16 gynecologic oncology at M.D. Anderson.

17 DR. LI: My name is Dai Li. I'm a medical  
18 officer with the IVD. I'm also the Executive Secretary  
19 of the Immunology Devices Panel.

20 DR. JULIAN: I'm Tom Julian. I'm a  
21 gynecologist at the University of Wisconsin in Madison.

22 DR. FUNKHOUSER: Bill Funkhouser, director of  
23 anatomic and surgical pathology at University of North  
24 Carolina in Chapel Hill.

25 DR. LICHTOR: I'm Terry Lichtor. I'm a

1 neurosurgeon at Rush University in Chicago, and I do  
2 neurooncology and neuroimmunology research.

3 DR. LEVY: I'm Barbara Levy. I'm a private  
4 practice gynecologist in Seattle, Washington.

5 DR. CHAN: I'm Maria Chan. I'm the  
6 acting Division director for the Immunology/Hematology  
7 Devices in OIVD.

8 DR. NETTO: Now, Dr. Li, the Executive  
9 Secretary of this Panel, will make some introductory  
10 remarks.

11 DR. LI: Good morning. First, I would like  
12 to make a few general announcement related to today's  
13 activities. First, transcripts of today's meeting will  
14 be available from Free State Court Reporting,  
15 Incorporation. The telephone number is 410-974-0947.  
16 Information on purchasing videos of today's meeting can  
17 be found on the table outside of the meeting room.

18 And let me take the time to introduce our FDA  
19 press contact, Ms. Mary Long. Will you please stand in  
20 the back of the room there?

21 I would like to remind everyone that members  
22 of the public and the press are not permitted in the  
23 Panel area at any time during the meeting, including  
24 breaks. If you are a reporter and wish to speak to FDA  
25 officials, please wait until after the Panel meeting

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947



1 has ended.

2           Finally, as a courtesy to those around you,  
3 please silence your electronic devices if you have not  
4 already done so.

5           I will now read into the record the Conflict  
6 of Interest statement. The Food and Drug  
7 Administration is convening today's meeting of the  
8 Immunology Devices Panel of the Medical Devices  
9 Advisory Committee under the authority of the Federal  
10 Advisory Committee Act of 1972. With the exception of  
11 the industry representative, all members and  
12 consultants of the Panel are special government  
13 employees or regular federal employees from other  
14 agencies and are subject to federal conflict of  
15 interest laws and regulations.

16           The following information on the status of  
17 this Panel's compliance with federal ethics and  
18 conflict of interest law is covered by, but not limited  
19 to, those found at 18 U.S.C. Section 208 and Section  
20 712 of the federal Food, Drug and Cosmetic Act, are  
21 being provided to participants in today's meeting and  
22 to the public. FDA has determined that the members and  
23 consultants of this Panel are in compliance with  
24 federal ethics and conflict of interest laws.

25           Under 18 U.S.C. Section 208, Congress has

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 authorized FDA to grant waivers to special government  
2 employees who have financial conflicts when it is  
3 determined that the Agency's need for a particular  
4 individual's service outweighs his or her potential  
5 financial conflict of interest. Under Section 712 of  
6 the Food, Drug and Cosmetic Act, Congress has  
7 authorized FDA to grant waivers to special government  
8 employees and regular government employees with  
9 potential financial conflicts when necessary to afford  
10 the committee essential expertise.

11 Related to the discussion of today's meeting,  
12 members and consultants of this Panel who are special  
13 government employees have been screened for potential  
14 financial conflicts of interest of their own as well as  
15 those imputed to them, including those of their spouses  
16 or minor children and, for purposes of the 18 U.S.C.  
17 Section 208, their employers. These interests may  
18 include investments, consulting, expert witness  
19 testimony, contracts, grants, CRADAs, teaching,  
20 speaking, writing, patents and royalties, and primary  
21 employment.

22 For today's agenda, the Panel will discuss  
23 the -- recommendation on a pre-market notification  
24 application for the Fujirebio HE4 ELISA kits and  
25 associated risks of a malignancy algorithm test. The

1 device is for use in pre-menopausal and post-menopausal  
2 women presenting with adnexal mass who have already  
3 been referred to an oncology specialist and are  
4 scheduled for surgery. The result must be interpreted  
5 in conjunction with other clinical findings in  
6 accordance with standard clinical management  
7 guidelines. The assay is not indicated as an aid in a  
8 decision to proceed to surgery.

9           Based on the agenda for today's meeting and  
10 all financial interests reported by the Panel members  
11 and consultants, no conflict of interest waivers have  
12 been issued in connection with this meeting. A copy of  
13 this statement will be available for review at the  
14 registration table during this meeting and will be  
15 included as part of the official transcript.

16           Dan Bracco is servicing as the industry  
17 representative acting on behalf of all related industry  
18 and is employed by Oxford Immunotech.

19           We would like to remind members and  
20 consultants that if the discussions involve any other  
21 products and firms not already on the agenda for which  
22 an FDA participant has a personal or imputed financial  
23 interest, the participants need to exclude themselves  
24 from such involvement and their exclusion will be noted  
25 for the record. FDA encourages all other participants

1 to advise the Panel of any financial relationships that  
2 they may have with any firms at issue. Thank you.

3 I will now turn the meeting back over to our  
4 chairperson, Dr. George Netto.

5 DR. NETTO: All right. Now, we'll proceed to  
6 the Open Public Hearing portion of the meeting. Prior  
7 to this meeting, six persons requested to speak in the  
8 Open Public Hearing portion. Three will speak in the  
9 morning, and the remaining three will speak in the  
10 afternoon. We ask that you speak clearly into the  
11 microphone to allow the transcriptionist to provide an  
12 accurate record what you're saying. Please state your  
13 name and the nature of your financial interests you may  
14 have in this or another medical device company.

15 Dr. Li will now read the Open Public Hearing  
16 Statement.

17 DR. LI: Both the Food and Drug  
18 Administration and the public believe in a transparent  
19 process for information-gathering and decision-making.  
20 To ensure such transparency at the open public hearing  
21 session of the Advisory Committee meeting, FDA believes  
22 that it is important to understand the context of any  
23 individual's presentation. For this reason, FDA  
24 encourages you, the open public hearing or industry  
25 speaker, at the beginning of your written or oral

1 statement, to advise the Committee of any financial  
2 relationship that you may have with the Sponsor, its  
3 product, and, if known, its direct competitors.

4           For example, this financial information may  
5 include the Sponsor's payment of your travel, lodging,  
6 or other expenses in connection with your attendance at  
7 the meeting. Likewise, FDA encourages you at the  
8 beginning of your statement to advise the Committee if  
9 you do not have any such financial relationships. If  
10 you choose not to address this issue of financial  
11 relationships at the beginning of your statement, it  
12 will not preclude you from speaking.

13           DR. NETTO: All right. Now, Ms. April  
14 Donahue requested to speak as an ovarian cancer  
15 survivor. Ms. Donahue, are you present? Please be  
16 reminded that each presentation has ten minutes.

17           MS. DONAHUE: Good morning. I'm April  
18 Donahue, and I'm an ovarian cancer survivor. Oops. I  
19 hit the button already. There we go. And Fujirebio  
20 did ask if I would speak today, and they are paying for  
21 my travel.

22           I wanted to share with you today the  
23 importance of early detection in ovarian cancer because  
24 I was one of the fortunate people to be diagnosed in  
25 Stage 1 ovarian cancer when I was age 24. And a lot of

1 people think, well, that's awfully young, and it is,  
2 but there are other young ovarian cancer survivors as  
3 well. And so I feel compelled that I wanted to talk  
4 about all the ovarian cancer survivors that I've been  
5 privileged to meet over the years and how an early  
6 detection test will help them.

7           As I said, when I was 24, I was diagnosed,  
8 and I did have symptoms, and they were dismissed by  
9 four different doctors. I went from ultrasound to a  
10 different doctor, and they told me different things.  
11 But the symptoms did persist, and thank God that I did  
12 listen to my body and said, hey, something is just not  
13 right here. I really want to be sure what's going on.

14           Finally, the doctor said, okay, you're going  
15 to have surgery and have this cyst taken out, and  
16 nobody had mentioned ovarian cancer to me at all. So  
17 after I woke up, I thought, okay, I'm good to go.  
18 Unfortunately, about a month later, the doctor called  
19 and said, okay, we need to discuss your pathology  
20 report, and at age 24, I didn't really know anything  
21 about that, and it was ovarian cancer. It was in the  
22 early stages, but I did have another surgery, and thank  
23 God, it was all confined to that one ovary. I was able  
24 to have my daughter, who is now 14, and so, of course,  
25 I did have another ovary.

1           And then ten years after that, I started to  
2 have symptoms again. At that point, I had never seen  
3 or really didn't know too much about a gynecologic  
4 oncologist, who knew I had ovarian cancer, did not say  
5 anything to me about being concerned about ovarian  
6 cancer again. They said, "You need to see a GI  
7 doctor." And thank God that my family doctor really  
8 said to me, "No, I think you need to see a gynecologic  
9 oncologist," and I really am blessed that got to see a  
10 good one.

11           And he said, "Well, I think we can wait six  
12 months." And something was nagging at me again. And I  
13 said, "No, I think I'm done having kids. It's time to  
14 have, you know, the ovary taken out and not worry about  
15 it. And, sure enough, I woke up, and it was ovarian  
16 cancer again. So I just wanted to share that story  
17 with you because that is the frustrating struggle that  
18 many women experience when they do have ovarian cancer  
19 in trying to get diagnosed. I'm really sorry. These  
20 slides are very, very dark. I tried to make them teal.

21           So the overall five-year survival rate is  
22 only 53 percent. However, if people are diagnosed  
23 early, it's as high as 90 percent, and so that's really  
24 our goal is to get people diagnosed in earlier stages.  
25 However, less than 20 percent of people are diagnosed

1 early. And, you know, the survival rate is just  
2 appalling for people diagnosed at late stages. And  
3 ovarian cancer, as you may know, is a leading cause of  
4 death among gynecologic cancers and 1 in 58 females  
5 born in 2007 will develop the disease.

6           And, you know, Barbara Goff did a wonderful  
7 study at the University of Washington, where she --  
8 they study symptoms. And so women do have symptoms.  
9 And so knowing this, I think it really empowers us to  
10 be able to talk to women, and when I do talk to women  
11 about ovarian cancer symptoms, they'll say to me, okay,  
12 well, what can I do? And it's a frustrating part for  
13 me to say, "I'm sorry, you know, go see your doctor.  
14 Go see your gynecologist." But there's not really a  
15 test that they can go for to be screened, like we have  
16 the mammogram. There's really no test. So that's why  
17 I think that the test that you're discussing today is  
18 very important because a lot of these symptoms are  
19 misunderstood and are very vague. Everyone can have  
20 these symptoms from time to time, but it's if they  
21 persist that it can be a problem.

22           And a lot of women are under the  
23 misperception that they go to their doctor and they get  
24 their pap smear and that can detect ovarian cancer.  
25 And, of course, we know that's not true. And a lot of



1 women just don't have access and even know about a  
2 gynecologic oncologist. So that's why I'm excited  
3 about the test that you're going to be talking about  
4 today.

5 I also feel that the treatments for women  
6 with ovarian cancer are not quite what we want them to  
7 be, and I know the researchers are working very hard in  
8 that area, but the quality of life for women battling  
9 ovarian cancer is not wonderful.

10 And I wanted to show this final slide and  
11 just show you that if a woman goes to a gynecologic  
12 oncologist, she has a 97 percent chance of having  
13 complete surgical staging, and it can go down as low as  
14 35 percent if she has it by -- her surgery done by a  
15 surgeon. So that's another reason why I feel, as a  
16 patient, that this test would be great for women  
17 because then they actually have something to go to  
18 their doctor and ask for and then be referred to a  
19 specialist who can help save their life. So I  
20 appreciate the Panel's time very much. Thank you.

21 DR. NETTO: Thank you very much, Ms. Donahue,  
22 for sharing your perspective. The next speaker is  
23 Dr. Carolyn Runowicz from the University of Connecticut  
24 Health Center.

25 DR. RUNOWICZ: Good morning, Mr. Chairman,

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 members of the Panel. I am honored to be able to speak  
2 with you today. I do want to tell you that my travel  
3 costs have been covered by the Sponsor as well as  
4 receiving an honorarium.

5           For my day job, I am a cancer center director  
6 at the University of Connecticut, and I am a practicing  
7 gynecologic oncologist. As a practicing gynecologic  
8 oncologist, I believe that it is imperative that we  
9 develop better prevention, screening, and triaging of  
10 patients with ovarian cancers and related tumors. As  
11 we understand more and more about ovarian cancer, we're  
12 beginning to understand that maybe actually a fallopian  
13 tube is the site of origin. And we're also  
14 understanding that there may be a distinction between  
15 Stage 1 and 2 versus Stage 3. And this is very  
16 important in the triaging of patients.

17           As you heard, there is an unacceptable  
18 overall five- to ten-year survival of patients with  
19 ovarian cancer-like cancers. The published pivotal  
20 studies on the ROMA, in combination with noninvasive  
21 preoperative evaluations history, including family  
22 history, and, importantly, clinical examination will  
23 result in the appropriate referral of patients with  
24 pelvic masses scheduled for surgery. And as you saw in  
25 the last slide, gynecologic oncologists perform better

1 optimal cytoreduction and staging of patients.

2           Published data suggests that referral to a  
3 gynecologic oncologist results in a higher percentage  
4 of patients being adequately staged and optimally  
5 cytoreduced. And optimally cytoreduced means that we  
6 remove all visible tumor to less than one centimeter,  
7 putting those patients into a better survival. Optimal  
8 cytoreduction has been associated with improved  
9 disease-free and overall survival in patients with  
10 ovarian cancer.

11           The overall false negative and false positive  
12 rates, as reported in the pivotal studies, will improve  
13 the triage of patients with pelvic masses to referral  
14 centers with gynecologic oncology services. However,  
15 importantly, the algorithm, ROMA, is not meant as a  
16 stand-alone test, but to be used in combination with  
17 clinical examination, a comprehensive history,  
18 including family history, and noninvasive radiologic  
19 testing, such as CAT scans, transvaginal sonos or MRI.

20           When used in combination with the clinical  
21 findings and radiologic examination, the false negative  
22 rate improves. If one evaluates the 17 false negative  
23 patients, all of the patients with invasive cancer had  
24 either a CAT scan, transvaginal ultrasound, or markedly  
25 elevated CA-125 which strongly suggested an ovarian

1 cancer and would have prompted a referral to a regional  
2 center. These patients referred to regional centers  
3 would have had optimal care.

4           Furthermore, if one excludes the low-  
5 malignant-potential tumors, or LMP tumors, the false-  
6 negative rate is further improved. Based on pathology  
7 and molecular markers, the classification of low-  
8 malignant-potential tumors should be divided into two  
9 categories, serous borderline tumors with noninvasive  
10 implants, or SBTs, and serous borderline tumors with  
11 invasive implants and micropapillary serous carcinomas.  
12 This distinction is very important. The latter  
13 category of tumors have a 30 to 40 percent mortality  
14 rate and therefore need to be distinguished from the  
15 garden variety LMPs that we see, which have almost a  
16 100 percent survival.

17           In the 17 patients with false negative  
18 results, nine are LMPs. Furthermore, our understanding  
19 of ovarian cancer now distinguishes Stage 1 and 2 from  
20 Stage 3. These are clinically and biologically  
21 distinct. The ROMA performs well in distinguishing  
22 these stages.

23           As a practicing clinician, I find this test  
24 useful for triaging and regionalizing the care of women  
25 with suspected ovarian cancer to high-volume centers

1 with gynecologic oncology expertise. This referral  
2 should result in women undergoing optimal  
3 cytoreduction, which, as noted, has been shown to  
4 impact survival in this dreaded disease.

5 I thank you for the opportunity to present  
6 these marks before this distinguished Panel. Thank  
7 you.

8 DR. NETTO: Thank you, Dr. Runowicz. The  
9 last speaker is Dr. James Orr from the Florida  
10 Gynecologic Oncology and Lee Cancer Center.

11 DR. ORR: Good morning, Mr. Chair, members.  
12 Thank you very much for this opportunity, and I will  
13 say that the Sponsor is reimbursing me for my expenses  
14 and time to be here. My name is Dr. Jimmy Orr, and I'm  
15 a board-certified obstetrician/gynecologist, as well as  
16 board-certified in gynecologic oncology.

17 I've been in private practice in Florida  
18 since 1985. As a private practitioner, I've been  
19 honored to have served as the president of the Society  
20 of Gynecologic Oncologists, president of the Florida  
21 Society of Gynecologic Oncologists, and have served on  
22 the executive committee of the American College of  
23 Obstetrics and Gynecology.

24 Probably related to population demographics,  
25 nearly 7 percent of all new ovarian cancers are

1 diagnosed in my state. My practice is located in a  
2 1,600-bed medical center, and our registry accession's  
3 more than 5,000 newly diagnosed patients on a yearly  
4 basis. We currently treat more than 150 newly  
5 diagnosed ovarian cancers on a yearly basis. So my  
6 perspective is given through the eyes of a private  
7 practitioner who daily sees women who are self-referred  
8 as well as referred by other physicians.

9 I believe that there are a number of axioms  
10 regarding ovarian cancer. Number one, the management  
11 of ovarian cancer by trained gynecologic oncologists is  
12 associated with decreased morbidity of treatment,  
13 increased likelihood of complete staging, and improved  
14 survival. Gynecologic oncologists are the only  
15 surgical sub-specialists who have the ability to  
16 consistently complete the correct procedure with benign  
17 intraoperative findings as well as to convert and  
18 complete the proper procedure if a malignancy is  
19 discovered. Results of a gynecologist combined with  
20 any other surgical sub-specialists do not equal the  
21 results of a gynecologic oncologist doing this  
22 operation alone.

23 I think these results are very important,  
24 specifically in those with early stage disease and  
25 tumors of low malignant potential where decisions

1 regarding extent of surgery and additional therapy,  
2 particularly chemotherapy, are absolutely related to  
3 the surgical findings. Scientific evidence  
4 unmistakably indicates that the correct surgical  
5 procedure offers a survival benefit in all women with  
6 ovarian malignancy.

7           In women with an adnexal mass, the ability to  
8 accurately predict the presence or absence of a  
9 malignancy confers the patient and her family the  
10 distinct benefit of appropriate preoperative counseling  
11 and preparation and lessens the risk of need for  
12 potential reoperation.

13           Additionally, the ability to obtain this  
14 quantitative test that places a woman into a low-risk  
15 category should lessen the psychologic stress  
16 associated with pre-surgical waiting time.  
17 Importantly, low-risk results may increase the use of a  
18 minimally invasive approach, lessening overall surgical  
19 morbidity in those women who might otherwise be  
20 subjected to an open operation.

21           While every woman with ovarian cancer could  
22 benefit from appropriate referral, there are many  
23 explanations including but not limited to geographic,  
24 economic, and technical reasons why women at risk for  
25 ovarian malignancy may or may not be referred to a

1 gynecologic oncologist for initial or later treatment.

2           Currently, there exists no clinical,  
3 radiologic, or laboratory test used alone or in  
4 combination that results in 100 percent positive  
5 predictive value for this disease. In this disease  
6 process, any objective test that has a one minus NPV or  
7 false negative rate of less than 10 percent, when used  
8 in the hands of the gynecologic oncologist, should  
9 significantly contribute to women's healthcare,  
10 remembering that fewer than 50 percent of women with  
11 ovarian cancer ever see a gynecologic oncologist.

12           Additionally, current evidence-based care  
13 dictates evaluation and interpolation of the entire  
14 clinical situation prior to surgical referral and  
15 treatment of that individual patient. Thus, any number  
16 of coexisting clinical, medical, or radiologic findings  
17 may provoke referral or operation by a gynecologic  
18 oncologist even in the presence of a low-risk ROMA.

19           Importantly, the false positive rate adds  
20 little risk to overall care, as these women will be  
21 likely referred and operated by a gynecologic  
22 oncologist or in a high-volume center where surgical  
23 results are typically excellent. Currently,  
24 gynecologic oncologists perform seven, that's 2.3  
25 benign and 4.6 malignant, operations on a weekly basis,



1 as demonstrated by our SGO survey. Thus, the average  
2 gynecologic oncologist has additional capacity in their  
3 practice to manage those patients who may have a false  
4 positive ROMA.

5           The potential loss of surgical cases referred  
6 back by the gynecologic oncologist is small. And, in  
7 actuality, after consultation, the patients often  
8 prefer to have their procedure completed in a high-  
9 volume center.

10           The results of this pivotal study clearly add  
11 an important diagnostic tool for the evaluation and  
12 triage of women with an adnexal mass. While individual  
13 management should always, and I say always, be guided  
14 by the entire clinical scenario, the results from this  
15 test should improve women's opportunity to have the  
16 correct procedure, lessen their preoperative stress,  
17 increase the opportunity to undergo a minimally  
18 invasive procedure, and greatly contribute to the  
19 future progress of care of those women in this country  
20 with ovarian malignancy. And I thank you each for your  
21 time.

22           DR. NETTO: Thank you very much, Dr. Orr. Is  
23 there anyone else that would like to speak? We still  
24 have a few minutes.

25           (No response.)

1 DR. NETTO: Any of the Panel would like to  
2 ask questions of the Open Public Hearing speakers?

3 (No response.)

4 DR. NETTO: Thank you. We'll proceed now  
5 with the agenda. Please note that there will be a  
6 second Open Public Session in the afternoon.

7 We will now proceed to the Sponsor's  
8 presentation for the Fujirebio Diagnostics. I would  
9 like to remind public observers at this meeting that  
10 while this meeting is open for public observation,  
11 public attendees may not participate except at the  
12 specific request of the Panel. The Sponsor will  
13 introduce the speakers, and you will have 60 minutes.

14 DR. ALLARD: Thank you, Mr. Chairman.  
15 Members of the Immunology Device Advisory Panel,  
16 members of the Office of In Vitro Diagnostic Devices of  
17 the Food and Drug Administration, good morning and  
18 thank you for the opportunity to present our data today  
19 on a test that we believe represents an important step  
20 forward in the treatment of women with ovarian cancer.

21 I'm Jeff Allard. From 2004 to 2008, I was  
22 chief scientific officer at Fujirebio Diagnostics, and  
23 during the time I worked at Fujirebio, I was  
24 responsible for overseeing the development of the test  
25 that you will hear about today. It's called the Risk

1 of Ovarian Malignancy Algorithm, or ROMA, and it's a  
2 tool for assessing the risk of ovarian cancer in women  
3 scheduled for surgery for pelvic mass.

4           First, I'd like to introduce you to Fujirebio  
5 Diagnostics, the company that developed the ROMA  
6 algorithm. In 1998, Fujirebio, Incorporated, purchased  
7 the diagnostics business of Centocor, a company that  
8 I'm sure you're familiar with, and formed Fujirebio  
9 Diagnostics. Fujirebio Diagnostics develops,  
10 manufactures, and sells in vitro diagnostic tests for  
11 cancer, and some of their products are listed on this  
12 slide.

13           Now, here is an overview of what you will  
14 hear today. Ovarian cancer remains a serious and often  
15 fatal disease in women. A number of studies have shown  
16 that surgical treatment and survival are substantially  
17 improved when women with ovarian cancer are treated by  
18 oncologic specialists in high-volume centers. Current  
19 methods to discriminate whether a woman with a pelvic  
20 mass has ovarian cancer or benign disease are sub-  
21 optimal. So this argues for improved tools to estimate  
22 the risk of ovarian cancer in women that present with  
23 pelvic mass.

24           And as you'll hear today, ROMA was developed  
25 by first evaluating a series of cancer biomarkers

1 singly and then in combination. Among those tests was  
2 HE4. It's a putative protease inhibitor. It is FDA-  
3 cleared and has been used and is in use in patients  
4 with ovarian cancer for monitoring for recurrence or  
5 for progression of disease. Tissue expression of HE4  
6 is restricted primarily to reproductive and respiratory  
7 tissues, so it makes a good biomarker.

8           It's been shown that HE4 is complementary to  
9 CA-125 in that it adds sensitivity at fixed levels of  
10 specificity. That was how we measured the contribution  
11 of HE4 to 125. And we identified that HE4 combined  
12 with CA-125 in a logistic model accurately estimated  
13 the risk of ovarian cancer in women with a pelvic mass  
14 scheduled for surgery. And it provided 89 percent  
15 sensitivity at a pre-determined level of specificity of  
16 75 percent. We'll discuss this in more detail in a  
17 moment.

18           This would be the first test cleared by FDA  
19 for use by physicians to stratify women with pelvic  
20 mass into subgroups of high and low risk of harboring  
21 ovarian cancer. It will provide more useful  
22 information to ensure the patients are treated by the  
23 right surgeon in the right facility and to better plan  
24 and implement the most appropriate treatment and  
25 postoperative care, as you've heard this morning.

1           We will present data today that demonstrates  
2 that the false negative rate and the false positive  
3 rate are both within acceptable limits. ROMA is  
4 intended to be used in conjunction with current methods  
5 of identifying ovarian cancer risk. These include  
6 family history, physical exam, and imaging, as  
7 described in various published guidelines, and these  
8 are, of course, well known to the members of this  
9 Panel. It is also not a tool for detection of or  
10 screening for ovarian cancer.

11           Today, we will demonstrate that the  
12 application of HE4 in combination with CA-125 and the  
13 ROMA algorithm has the potential to increase the  
14 survival of women with ovarian cancer. It may also  
15 improve treatment of women with nonmalignant diseases  
16 by assisting in the referral of patients to the optimal  
17 specialist for their care.

18           So with these points in mind, here is our  
19 agenda for today. Dr. Richard Moore, who is director  
20 of the women's program in women's oncology and  
21 assistant professor of obstetrics and gynecology at  
22 Brown University in Providence, Rhode Island, was the  
23 principal investigator on this project. Dr. Moore will  
24 describe the current practice for managing women who  
25 present with pelvic masses and the unmet medical need

1 for additional tools to stratify women into high and  
2 low risk of having ovarian cancer.

3 He will be followed by Dr. Steven Skates,  
4 assistant professor of medicine at Harvard Medical  
5 School and Massachusetts General Hospital. Steve was  
6 the biostatistician for this project, and he will  
7 describe how the risk of malignancy algorithm was  
8 developed.

9 Then Dr. Moore will return, and he will  
10 describe results of the multicenter pivotal trial, and  
11 he will specifically address the questions that FDA  
12 posed to you.

13 Finally, I will sum up our presentation, and  
14 we look forward to answering your questions. Now I'll  
15 turn the podium over to Dr. Moore.

16 DR. MOORE: Good morning, Mr. Chairman, Panel  
17 members. I'm Dr. Richard Moore, and I'm a gynecologic  
18 oncologist in the program of women's oncology at Brown  
19 University and Woman and Infants' Hospital. I was the  
20 PI for this study, and I also work as a consultant with  
21 Fujirebio.

22 I became interested in developing a multiple  
23 marker assay for ovarian cancer risk assessment because  
24 many of the women in our region were not receiving  
25 optimal care for their ovarian cancer. I realized that

1 we needed a better way to identify women that were at  
2 high risk for having ovarian cancer in order to improve  
3 their care and increase their survival for this  
4 disease. Before I go over the study results, I would  
5 like to spend some time examining the unmet medical  
6 needs that women with a pelvic mass and ovarian cancer  
7 face that can be addressed by a more accurate risk  
8 assessment tool, such as a multiple marker assay, or  
9 ROMA, the test we'll present here today.

10 Ovarian cancer remains one of the deadliest  
11 of all cancers, and the American Cancer Society  
12 estimates there will be approximately 22,000 new  
13 ovarian cancer cases each year. And this results in  
14 about 15,500 deaths, annually. Ovarian cancer is the  
15 number one cause of gynecological cancer deaths and the  
16 fifth leading cause of all cancer deaths in women.  
17 Unfortunately, ovarian cancer incident rates are either  
18 stable or, in some reports, slowly increasing.

19 The women at highest risk for being diagnosed  
20 with ovarian cancer are women that present with a  
21 pelvic mass or ovarian cyst. And it's estimated that  
22 one in five women will be diagnosed with an ovarian  
23 cyst or an adnexal mass at some time in their lifetime.  
24 And up to 200,000 women will undergo surgery each year  
25 for an ovarian neoplasm. Roughly 13 to 21 percent of

1 these women will be diagnosed with an invasive  
2 epithelial ovarian cancer.

3           We know that women who are diagnosed with  
4 early stage disease are fundamentally curable and that  
5 the five-year survival rate for Stage 1 ovarian cancer  
6 can reach up to 93 percent. However, the majority of  
7 women, more than 70 percent, will have advanced stage  
8 ovarian cancer at the time of their diagnosis. And we  
9 see the five-year survival rate dramatically decreases  
10 to about 40 percent for these patients.

11           So how can we effect survival for women who  
12 will be diagnosed with ovarian cancer? Survival can be  
13 increased through prevention, screening, early  
14 detection, surgery, and chemotherapy. Unfortunately,  
15 we currently do not have effective screening,  
16 prevention, and early detection methods readily  
17 available to us. However, it has been shown that  
18 appropriate surgical management can increase survival  
19 for women diagnosed with ovarian cancer, and this is a  
20 tool that should be readily available to all of our  
21 patients.

22           So let's contrast the impact of advances in  
23 chemotherapy with the impact that surgery can have on  
24 patients with ovarian cancer. Over the last 20 years,  
25 improved chemotherapy drugs and routes of delivery have



1 resulted in up to a 16-month improvement in survival.  
2 In contrast, comprehensive surgery with optimal tumor  
3 debulking and surgical staging performed by  
4 gynecological oncologists can increase survival by at  
5 least 12 months. With this in mind, we have the  
6 ability today, this very moment, to impact positively  
7 the survival for thousands of women diagnosed with  
8 ovarian cancer just by making sure that these patients  
9 have optimal ovarian cancer surgery by surgeons  
10 experienced in the management of this disease.

11           Currently, the best surgical care for ovarian  
12 cancer patients is a cytoreductive surgery or for  
13 patients with clinical early stage disease to undergo  
14 extensive surgical staging. And this will help to  
15 define the extent of the disease, determine the need  
16 for adjuvant chemotherapy, provide prognosis for the  
17 patient, and outline a plan of care.

18           Studies have also demonstrated that  
19 aggressive surgical debulking can improve survival for  
20 women with ovarian cancer. So what is an optimal tumor  
21 debulking? Well, surgical debulking is a removal of  
22 all visible tumor to less than a centimeter in size,  
23 and to achieve this, extensive surgical procedures such  
24 as bowel resections or diaphragmatic stripping or even  
25 splenectomies, in some cases, are performed in order to

1 achieve the goal of removing all the tumor. These  
2 surgeries can be difficult and highly technical and  
3 require surgeons that are specially trained and  
4 experienced in ovarian cancer debulking.

5           The surgeons that are trained in ovarian  
6 cancer surgery are gynecologic oncologists. And a  
7 gynecologic oncologist is a board-certified surgeon  
8 that has had four years of training in an obstetrics  
9 and gynecology residency and then has gone on for a  
10 further three to four years of training in a fellowship  
11 of gynecological oncology.

12           Gynecologic oncologists specialize in the  
13 surgical and medical management of ovarian cancer  
14 patients. They perform surgery. They administer  
15 chemotherapy. And they understand the natural history  
16 of ovarian cancer.

17           In 2007, Goff and her colleagues, in a multi-  
18 state study, reported that gynecologic oncologists more  
19 often completed a comprehensive cancer surgery when  
20 compared with gynecologists or general surgeons.

21 Gynecologic oncologists performed comprehensive surgery  
22 twice as frequently as their counterparts. Goff also  
23 looked at the outcomes of high-volume surgeons, who are  
24 typically gynecologic oncologists, and they found that  
25 the high-volume surgeons were more likely to perform a

1 comprehensive ovarian cancer surgery when compared to  
2 low or medium-volume surgeons.

3           When examining the types of hospitals where  
4 ovarian cancer patients had their initial surgery, less  
5 than 50 percent of women had their surgery at high-  
6 volume hospitals, a hospital where the rate of  
7 comprehensive ovarian cancer surgery is the highest. A  
8 third of patients had their surgery at low-volume  
9 hospitals, where about half of these patients had sub-  
10 optimal cancer surgeries.

11           In another study by Paulsen in the  
12 Netherlands, they demonstrated that there is a  
13 significant survival advantage for ovarian cancer  
14 patients that are operated on by gynecological  
15 oncologists when they were compared to patients that  
16 had surgeries by gynecologists or general surgeons.

17           As well, Paulsen looked at where the patients  
18 had their surgeries. And patients whose surgeries were  
19 performed at tertiary care hospitals versus community  
20 hospitals also had a significant survival advantage.  
21 In fact, there are many studies both in Europe and in  
22 the U.S. with similar findings, demonstrating a  
23 survival advantage of up to 18 months for ovarian  
24 cancer patients who are operated on by gynecologic  
25 oncologists. In a meta-analysis of 53 studies with

1 over 6,500 patients, it was found that optimal  
2 cytoreductive surgery increased survival by up to one  
3 year or 50 percent.

4           So there is no doubt that the type of  
5 surgery, the type of surgeon, and the institution where  
6 women have their ovarian cancer surgery will improve  
7 their survival. Yet, only half of women with ovarian  
8 cancer are operated on by high-volume surgeons at high-  
9 volume centers, even though the data suggests and  
10 demonstrates their survival and outcomes will be  
11 improved when they are cared for by multidisciplinary  
12 teams and at centers experienced in the care for  
13 patients with this disease.

14           So how do we get the patients to the right  
15 surgeons and the right centers, and how do we assess a  
16 patient's risk for ovarian cancer? Currently, the  
17 tools that are available to us as clinicians for  
18 assessing risk of malignancy in women presenting with a  
19 pelvic mass include a history, a physical exam,  
20 imaging, such as ultrasound and CT scans and MRIs, and  
21 sometimes tumor markers, such as CA-125.

22           The question is: Can these tools be improved  
23 to ensure that more women get the right treatment by  
24 the right surgeon at the right place? I believe they  
25 can, and there is many benefits to an accurate risk

1 assessment above and beyond triage, which will extend  
2 to both the patients and physicians on many levels.  
3 For example, a gynecologic oncologist can use the ROMA  
4 test in conjunction with referring physicians to  
5 increase the number of women with high-risk features  
6 that will be operated on by the gynecologic oncologist.

7           An accurate risk assessment will help the  
8 physician plan the surgical approach, such as  
9 laparoscopy or robotic surgery for low-risk patients  
10 versus laparotomy for high-risk patients. It will help  
11 the physician plan for preoperative and postoperative  
12 care. For example, a patient with multiple comorbid  
13 medical conditions that has a high-risk score, we will  
14 now know that more than likely, this patient will need  
15 a laparotomy and staging procedure and will allow us to  
16 prepare for this patient's care during and after her  
17 surgery. Equally important, an accurate risk  
18 assessment will enable physicians to better counsel  
19 their patients and prepare their patients for surgery.

20           On the other hand, and more importantly,  
21 patients will benefit from an accurate risk assessment,  
22 as it will allow the patients to prepare for surgery  
23 and develop expectations for their care. They can plan  
24 for their care, and it will allow them the selection of  
25 the appropriate surgeon and center, and it can relieve

1 anxiety.

2           So just imagine in a patient, just imagine  
3 the benefits to a woman that has been referred to an  
4 oncology center and her expectations are that she has  
5 ovarian cancer. Imagine her emotional relief when the  
6 physician can sit down with her and say, "Look, you're  
7 at low-risk because your score is low, and, therefore,  
8 the likelihood that you have ovarian cancer is less  
9 than 6 percent."

10           We have seen that only 50 percent of women  
11 with ovarian cancers are operated on by high-volume  
12 surgeons or at high-volume centers, and they have  
13 improved survival. With a more accurate risk  
14 assessment tool, we will enable more ovarian cancer  
15 patients to have comprehensive surgeries by oncology  
16 specialists at multi-disciplinary institutions that  
17 specialize in cancer care. If we can get more of the  
18 right patients to the right surgeons in the right  
19 hospitals, we can improve survival right now. We don't  
20 have to wait for improvements in chemotherapy. We  
21 don't have to wait for prevention and screening and  
22 early detection. We can take immediate steps today to  
23 improve the survival rates for women with this deadly  
24 disease. I believe the risk assessment test we will  
25 now begin to describe will help us achieve this goal.

1 And I will turn the podium back over to Dr. Steven  
2 Skates, who will explain the test and how it was  
3 developed. Thank you for your time.

4 DR. NETTO: Thank you. If you just excuse me  
5 for one second. For the Panel members, the questions  
6 will be at the end of the presentation so you can ask  
7 all the questions.

8 DR. SKATES: Good morning, and thank you for  
9 the opportunity to describe how and why we developed  
10 the risk of a malignancy algorithm, or ROMA. ROMA is a  
11 risk assessment tool that I believe can represent a  
12 step forward in assessing the risk of ovarian cancer in  
13 women with pelvic masses.

14 I've been working in this field since 1986,  
15 and one of the things that I've found most gratifying  
16 is the significant impact that statistical modeling can  
17 have on the practice of medicine. This impact has yet  
18 to be seen in cancer diagnostics. In particular, there  
19 is an unmet need in ovarian cancer, which I believe  
20 statistical modeling can address.

21 What's needed is a tool for assessing ovarian  
22 cancer risk in women with pelvic masses. We know that  
23 CA-125, the tumor marker associated with ovarian  
24 cancer, is sub-optimal for this purpose. So we  
25 initiated pilot studies to develop a risk assessment

1 tool for ovarian cancer using statistical modeling of  
2 multiple serum tumor markers that would achieve a  
3 clinically useful sensitivity and specificity.

4           To develop this algorithm, we did two pilot  
5 studies, a cohort study at Women and Infants' Hospital  
6 in Providence, Rhode Island and, importantly, a case  
7 control study in Boston at Harvard Medical School  
8 Hospitals. The case control study is important because  
9 what it does is complement the cohort study and provide  
10 a ratio of cases to controls that are approximately  
11 50/50. This is the most allocation for estimating  
12 coefficients in statistical models, such as logistic  
13 regression, and for assessing the complementarity of  
14 additional markers to standard markers like CA-125.  
15 This provides the power to make that assessment.  
16 That's where the pilot studies come to the fore.

17           We identified biomarkers complementary to CA-  
18 125 by looking at high specificities, such as 90 to 95  
19 to 98 percent, so that we had more signal from the  
20 other markers. To validate ROMA, a criterion was set  
21 based on clinically acceptable criteria of moderately  
22 high specificity, 75 percent.

23           An independent nationwide prospective pivotal  
24 study was conducted. This is a cohort study. The  
25 algorithm was developed on the pilot studies, and then



1 it was then applied to the independent pivotal study  
2 and the confidence interval estimated for sensitivity  
3 at 75 percent specificity. The question to be  
4 addressed by the pivotal study is the following: Does  
5 the 95 percent confidence interval for sensitivity lie  
6 entirely above the pre-specified clinically acceptable  
7 sensitivity?

8           So the two pilot studies are described in  
9 this slide. As you can readily see, the case control  
10 study complemented the cohort study so that there are  
11 approximately the same number of cases as there were --  
12 are controls when you combine both studies. This gives  
13 us a total of 480 patients in the combined pilot  
14 studies, with approximately equal cases to controls.

15           In addition, we know that tumor markers, such  
16 as CA-125, differ significantly in their distribution  
17 between pre- and post-menopausal women. Therefore, we  
18 stratified by menopausal status. In pre-menopausal  
19 women, many conditions not associated with ovarian  
20 cancer can result in elevations of CA-125. This can  
21 confound the interpretation of CA-125 tests and  
22 potentially result in false positives. Hence, it is  
23 critical that any test that uses CA-125 must stratify  
24 by menopausal status. So that's what we did.

25           In order to achieve both the target high

1 sensitivity and specificity, we believed we needed a  
2 combination of markers, not just one. We assessed 15  
3 candidate tumor markers, including those listed on this  
4 slide, all known to be associated with ovarian cancer.  
5 We evaluated these 15 candidates, both individually and  
6 in every possible combination of panels of size six.  
7 And the goal was to find the smallest panel with the  
8 highest sensitivity at a given specificity for which  
9 additional markers did not significantly increase that  
10 sensitivity.

11           The endpoint in the study was the malignancy  
12 status as defined by pathology following surgery on the  
13 pelvic masses, which divided the patients between  
14 invasive epithelial ovarian cancers and low-malignancy  
15 potential borderline tumors from the benign controls.

16           Here is the distribution of disease type by  
17 menopausal status. There are a total of 190 women --  
18 91 women with epithelial ovarian cancer, 55 of them  
19 pre-menopausal and 136 post-menopausal. Fifty-one  
20 LMPs, low-malignancy-potential tumors, 17 pre-  
21 menopausal, 34 post-menopausal. 236 women with benign  
22 disease, 120 pre-menopausal and 16 post-menopausal.  
23 Gives a total of 478 women with pelvic masses in these  
24 categories, and there were two non-EOC patients not  
25 included.

1           We used logistic regression to evaluate the  
2 sensitivity of the combination of biomarkers. And,  
3 simply stated, this process is a process of elimination  
4 whereby one identifies the best combination of five or  
5 six markers and then drops them down one at a time  
6 until further removal severely lowers the sensitivity  
7 at a given specificity. The goal here is to eliminate  
8 in this process any marker that did not significantly  
9 improve that sensitivity. And what we found was the  
10 minimal subset of biomarkers that significantly  
11 increases sensitivity to CA-125 was the combination of  
12 HE4 and CA-125.

13           Any other marker added to this combination  
14 did not significantly improve sensitivity. Therefore,  
15 based on this selection of biomarkers, the HE4 and CA-  
16 125 results, we developed an algorithm that combined  
17 these two markers that would estimate the risk of  
18 ovarian cancer in women with pelvic masses. We used  
19 logistic regression within each strata of menopause and  
20 an additional intercept term to allow for the fact that  
21 we had case control patients from one of the pilot  
22 studies and derived an equation for each menopausal  
23 group.

24           The case control term was then dropped from  
25 the equations so that the resulting estimates given by

1 the equations gave a probability appropriate for a  
2 cohort study, which is what the pivotal study is. The  
3 result from the logistic regression is a linear model  
4 for the index or log odds of having ovarian cancer.  
5 The log odds then determine the Risk of Ovarian  
6 Malignancy or probability of having ovarian cancer.

7           These calculations are single-line formulas  
8 that can be readily implemented in simple Excel  
9 spreadsheets, for example, to provide either the pre-  
10 menopausal index, the PI, or the post-menopausal index,  
11 also the PI. These formulas don't require complicated  
12 computer software nor the associated requirements of  
13 distributing and implementing and testing such  
14 software. As a result, ROMA can be easily and readily  
15 used by any laboratory with a capability of running  
16 immunoassays to better identify the risk of ovarian  
17 cancer in women with pelvic masses.

18           The risk of ovarian cancer malignancy  
19 algorithm classifies a patient as high-risk if the  
20 probability exceeds a given cut point.

21           ROMA was then validated in our pivotal cohort  
22 study. The measure used in the validation criterion is  
23 the sensitivity at 75 percent specificity combined over  
24 pre-menopausal and post-menopausal groups. It is  
25 readily interpreted as the average proportion of cancer

1 patients with pelvic masses correctly classified as  
2 ROMA. That combines over pre- and post-menopausal  
3 women in a typical practice which sees pelvic masses  
4 and is that -- the sensitivity that results is that  
5 proportion.

6           The validation criterion we set for ROMA in  
7 this independent and separate population from the pilot  
8 studies in the cohort pivotal study is a minimum of 80  
9 percent sensitivity at a clinically acceptable 75  
10 percent level. Or, more precisely, that the entire 95  
11 percent confidence interval for sensitivity at 75  
12 percent specificity exceeds 80 percent.

13           Dr. Moore will now describe the prospective  
14 multicenter clinical cohort study that was conducted to  
15 validate ROMA.

16           DR. MOORE: Thank you. Well, we know every  
17 year in the U.S., somewhere between 100 and 200,000  
18 women will undergo surgery for a pelvic mass, and today  
19 we've heard that in order to provide the best care  
20 possible for these women, we need better tools to  
21 assess the risk for ovarian cancer. I will now talk  
22 about how we validate ROMA, a tool that we believe will  
23 be beneficial to ovarian cancer patients. And along  
24 the way, I will address some of the FDA's questions.

25           You heard from Dr. Skates how the Risk of

1 Ovarian Malignancy Algorithm was generated in two pilot  
2 studies. We conducted the pivotal trial to validate  
3 ROMA. This was a national trial involving a new cohort  
4 of patients. And it's important to point out that this  
5 cohort did not include any of the patients that were  
6 used in the two pilot studies.

7           The objective of this trial was to validate a  
8 predictive model, utilizing HE4 and CA-125, to assess  
9 the risk for epithelial ovarian cancer and LMP tumors  
10 in women presenting with a pelvic mass. We conducted  
11 the study at 14 geographically dispersed centers across  
12 the country. And most of the studies had divisions of  
13 gynecological oncology and departments of obstetrics  
14 and gynecology. This allowed us to enrich the study  
15 population with patients with ovarian cancer in order  
16 to achieve our statistical power.

17           This was a prospective double-blind  
18 multicenter trial, and all patients were required to be  
19 18 years of age or older. They all had a documented  
20 ovarian cancer or pelvic mass with imaging, and they  
21 were all planned to have surgical intervention.

22 Patients that were diagnosed with ovarian malignancies  
23 in the studies were required to be surgically staged as  
24 part of the protocol, and all of the blood samples were  
25 obtained preoperatively. We used a central pathology

1 review to confirm the site pathology diagnosis.

2 We enrolled 566 patients into the study, of  
3 which 530 were evaluable. There were 246 pre-  
4 menopausal patients and 284 post-menopausal patients.  
5 So now let's look at the pathology distribution of all  
6 the cases.

7 When we examined the disease distribution, we  
8 found that 66 percent of patients had benign disease,  
9 24 percent had invasive ovarian cancers, 4 percent had  
10 LMP tumors, 1 percent had nonepithelial ovarian  
11 cancers, 3 percent had metastatic tumors to the ovary,  
12 and 2 percent had other GYN tumors, such as cervical or  
13 endometrial cancers.

14 Similarly, when we examine the patients with  
15 benign disease in the study cohort, we see that the  
16 spectrum of pathology is what we would typically expect  
17 to find in patients with benign pelvic masses or  
18 ovarian cysts. For instance, we see that there's a  
19 higher incidence of endometriosis in the pre-menopausal  
20 patients when we compare them with the post-menopausal  
21 patients and there's a higher incidence of serous cyst  
22 adenomas in the post-menopausal group when compared to  
23 the pre-menopausal group.

24 When we look at the stage distribution for  
25 all invasive epithelial ovarian cancers in the cohort,

1 this was similar to what we would expect to find in a  
2 population of women diagnosed with invasive ovarian  
3 cancers. We see that 13 percent of the patients had  
4 Stage 1 disease, 14 percent of the patients had Stage 2  
5 disease, 65 percent had Stage 3 disease, 5 percent had  
6 Stage 4 disease, and 3 percent were unstaged.

7           Now let's look at the results of ROMA and the  
8 risk stratification into high and low-risk groups.  
9 When examining all pre- and post-menopausal women with  
10 either benign disease, invasive epithelial ovarian  
11 cancers, or LMP tumors, we see that 262 patients with  
12 benign disease were classified to the low-risk group,  
13 and that 89 patients with benign disease were  
14 classified to the high-risk group. And this  
15 represented our false positive tests.

16           When examining women diagnosed with either an  
17 invasive epithelial ovarian cancer or an LMP tumor, we  
18 found that ROMA classified 134 patients into the high-  
19 risk group, and only 17 patients with an invasive  
20 epithelial ovarian cancer or LMP tumor were classified  
21 to the low-risk group, and these represented our false  
22 negative tests.

23           This provided for a sensitivity of 89 percent  
24 at a set specificity of 75 percent and a positive  
25 predictive value of 60 percent and a negative



1 predictive value of 94 percent.

2           So let's look at the breakdown of the  
3 patients with invasive epithelial ovarian cancer and  
4 LMP tumors that had false negative tests. We see that  
5 in the post-menopausal group, three out of the nine  
6 patients actually had LMP tumors and only six patients  
7 had invasive epithelial ovarian cancers that had a  
8 false negative test. And, therefore, we identified 95  
9 percent of the epithelial ovarian cancers in the post-  
10 menopausal group.

11           In the pre-menopausal group, six out of the  
12 eight patients had LMP tumors and only two patients had  
13 invasive epithelial ovarian cancers. And these two  
14 patients had false negative tests. And, therefore, we  
15 were able to identify 89 percent of pre-menopausal  
16 patients that had an invasive epithelial ovarian  
17 cancer.

18           When examining all patients together, only 8  
19 out of the 129 patients with an invasive epithelial  
20 ovarian cancer had a false negative test. And,  
21 therefore, 94 percent of all invasive epithelial  
22 ovarian cancers in this trial were correctly identified  
23 with ROMA. This is an important finding because over  
24 half of the patients with a false negative test had LMP  
25 tumors where the clinical effect of a false negative

1 test is minimal compared to that of a patient with an  
2 invasive epithelial ovarian cancer.

3           When we examined the stratification by stage  
4 of invasive epithelial ovarian cancers, we see that  
5 ROMA also correctly identifies 86 percent of the Stage  
6 1 and 2 ovarian cancers and nearly all, or 99 percent,  
7 of the Stage 3 and 4 ovarian cancers. This is in stark  
8 contrast to the historical rate of CA-125, where only  
9 half of early stage patients will have an elevated  
10 CA-125. And we also know that only about 80 percent of  
11 all ovarian cancer patients will have an elevated  
12 CA-125.

13           So let's examine how ROMA performs compared  
14 with another risk assessment tool used formally or  
15 informally in our clinical practices. The risk of  
16 malignancy algorithm, or RMI, developed by Ian Jacobs,  
17 is an algorithm that uses the clinicopathological  
18 variables to assess risk for ovarian cancers in  
19 patients with a pelvic mass. The RMI employs an  
20 imaging score, along with CA-125 values, and menopausal  
21 status to calculate the risk of malignancy. We  
22 compared ROMA to the RMI.

23           We were able to calculate an RMI using a  
24 combination of ultrasounds, CT scans, and MRIs for 80  
25 percent of the study patients. We compared the RMI

1 values we obtained for ROMA to the results for each  
2 individual patient. When we examined benign and  
3 invasive epithelial ovarian cancers, we found that at a  
4 set specificity of 75 percent, the RMI achieved a  
5 sensitivity of 85 percent, compared with ROMA, which  
6 had a sensitivity of 94 percent. This difference was  
7 statistically significant, with a P-value of 0.01.

8           When we examined patients with Stage 1 and 2  
9 invasive epithelial ovarian cancers, we found that the  
10 RMI achieved a sensitivity of 66 percent compared with  
11 a sensitivity of 86 percent for the ROMA. And, again,  
12 the difference approached statistical significance,  
13 with a P-value of 0.05.

14           So I've shown you that ROMA correctly  
15 identifies 94 percent of all patients with invasive  
16 epithelial ovarian cancer and that ROMA alone performs  
17 better than the RMI. In addition, ROMA is a simple,  
18 easy to use, quantitative test, without the use of  
19 subjective data. The ROMA will provide a risk  
20 assessment tool that is easy to interpret and we  
21 believe will be helpful to physicians in evaluating  
22 their patients and beneficial to patients by addressing  
23 an unmet medical need we discussed earlier. The ROMA  
24 will be a valuable addition to the tools that we  
25 currently use to assess risk for cancer.

1           I would now like to examine the false  
2 negative rate for ROMA, which we will show is  
3 acceptable. First, the FDA has posed to us a question:  
4 What is the clinical tolerable percentage or percentage  
5 range of ovarian cancer patients who could reasonably  
6 have their initial surgery performed by non-oncology  
7 specialists? Expressed in very specific test  
8 performance terms, what is the minimal false negative  
9 rate, or 1 minus the negative predictive value, for a  
10 test assisting in a decision for who performs and  
11 initial surgery. Next slide.

12           The false negative rate, defined by 1 minus  
13 the negative predictive value for the pivotal trial is  
14 6 percent when we consider both epithelial ovarian  
15 cancers and LMP tumors alone, or together. However,  
16 the false negative rate is only 3 percent when we  
17 examine invasive epithelial cancers alone. This false  
18 negative rate is cut in half because, as we saw  
19 earlier, over half of the patients with a false  
20 negative test in this trial actually had LMP tumors.

21           So now let's look at the clinical effect of a  
22 false negative test. If we assume that gynecologic  
23 oncologists use ROMA to stratify risk and have low-risk  
24 patients cared for by non-oncology specialists, then we  
25 can do the following calculations. We know there are

1 22,000 new ovarian cancer cases each year in the U.S.,  
2 and half, or 11,000, of these patients are currently  
3 being operated on by gynecologic oncologists. So when  
4 examining patients with either an invasive epithelial  
5 ovarian cancer or LMP tumor with a false negative rate  
6 of 6 percent, we will see that 660 women will have a  
7 false negative test. However, only half of these  
8 patients, or 330, will have had an invasive epithelial  
9 ovarian cancer. Therefore, potentially, 330 patients  
10 with ovarian cancer will have their surgery with a non-  
11 oncology specialist.

12           However, we have to remember that all  
13 patients, including those with a false negative test,  
14 will actually undergo surgery and therefore will have a  
15 definitive diagnosis of their cancer based on the gold  
16 standard of pathology. No patients will be left with  
17 an undiagnosed cancer as a result of a false negative  
18 ROMA test.

19           For the rare patient that is discovered to  
20 have an ovarian cancer in the community setting, some  
21 will have their surgeries converted to oncology  
22 surgeries, and others will return to the gynecologic  
23 oncologist for further care. Even a patient treated by  
24 a non-oncology specialist who is already in the  
25 gynecological oncology system will be referred back to

1 the gynecological oncologist for continued management.

2           Equally important, the ROMA test can be used  
3 in addition to other currently available clinical  
4 tools, such as history and physical, imaging, and other  
5 tools that will assess the patient's risk for  
6 malignancy. With this strategy, the false negative  
7 rate would be expected to be lower than that for ROMA  
8 alone, and, therefore, the data we just presented would  
9 be the worst-case scenario.

10           So with this in mind, we feel that an  
11 acceptable false negative rate for ROMA tests would be  
12 less than 10 percent when considering both epithelial  
13 ovarian cancers and LMP tumors together. The ROMA  
14 achieved the false negative rate of 6 percent when we  
15 looked at epithelial ovarian cancer cases along with  
16 LMP tumors and a false negative rate of 3 percent when  
17 we looked at invasive epithelial ovarian cancer  
18 patients alone.

19           Now let's look at the FDA's questions on  
20 false positive rates. Is there a maximum percentage of  
21 benign disease subjects that would negatively affect or  
22 overwhelm an oncology specialist with surgeries that  
23 could safely be performed by non-oncology specialists?

24           Again, expressed in a very specific test  
25 performance term, what is the maximum false positive

1 rate, or 1 minus the positive predictive value, for a  
2 test assisting in a decision for who performs initial  
3 surgery? Using the definition of 1 minus the positive  
4 predictive value as a false positive rate, we see that  
5 ROMA algorithm has a rate of 40 percent when examining  
6 both pre- and post-menopausal patients together. So  
7 the use of this test by gynecological oncologists in  
8 the referred population of this study would only  
9 decrease the number of benign surgeries they would  
10 perform as 75 percent of the benign disease could  
11 safely have their surgery performed by non-oncology  
12 specialists.

13           So let's examine again the worst-case  
14 scenario where all patients with a pelvic mass are  
15 referred and tested with ROMA. As we will show you,  
16 the number of benign surgeries potentially being  
17 performed by gynecological oncologists would increase  
18 minimally. To examine the effect that ROMA had -- the  
19 effect the ROMA test could have on the surgical volume  
20 for a gynecological oncologist, we used data from the  
21 National Institute of Health's consensus statement  
22 along with the U.S. population figures and life  
23 expectancies for U.S. women and the incident rates for  
24 women presenting with a pelvic mass.

25           With this model, the number of pelvic mass

1 surgeries performed each year in the U.S. ranged from  
2 105 to 169,000 cases. This number is consistent with  
3 published reports. Using an incident rate of 13  
4 percent and the known number of invasive epithelial  
5 ovarian cancer cases of 22,000 each year in the U.S.,  
6 we can calculate there are approximately 169,000 pelvic  
7 mass cases each year. We know that the gynecological  
8 oncologist operate on half, or 11,000, of the 22,000  
9 ovarian cancer cases. And from our trial, the  
10 gynecological oncologist operated on two benign cases  
11 for every ovarian cancer, and, therefore, they  
12 performed 22,000 cases each year.

13           If the ROMA test were applied to all 169,000  
14 cases, at a specificity of 75 percent, the  
15 gynecological oncologist would now operate on about  
16 37,000 benign cases, an increase of 15,000 cases from  
17 22,000 cases each year. With a sensitivity of 94  
18 percent for epithelial ovarian cancer, almost 21,000 of  
19 the 22,000 ovarian cancer cases would now be operated  
20 on by a gynecological oncologist, an increase of 10,000  
21 cases a year. The total number of cases the  
22 gynecological oncologist would now be required to  
23 perform would be approximately 58,000.

24           Well, let's put this into perspective as to  
25 what the potential increase in volume would be for an



1 individual gynecological oncologist. We know that  
2 there's about a thousand gynecological oncologists in  
3 the U.S. We see that the maximum number of benign  
4 cases would be increased to 37,000 cases each year,  
5 representing a maximum increase of 15 cases per  
6 gynecological oncologist each year. However, with  
7 this, the gynecological oncologist will now capture  
8 21,000 of the 22,000 ovarian cancer cases,  
9 significantly raising the number of epithelial ovarian  
10 cancers managed by oncology specialists.

11           The maximum increase in total number of cases  
12 for an individual gynecological oncologist would only  
13 be 25 cases a year, or two cases per month. This  
14 represents a minimal increase in the total number of  
15 cases. But, most importantly, this would result in 94  
16 percent of all invasive epithelial ovarian cancers  
17 being cared for by oncology specialists.

18           Based on the worst-case model, as just  
19 described, the positive predictive value would be 36  
20 percent, and, therefore, the false positive rate would  
21 be 64 percent. And even at this level, gynecological  
22 oncologists would not be overwhelmed. And, therefore,  
23 even a false positive rate of 64 percent would be  
24 acceptable. The false positive rate in the ROMA  
25 algorithm in the current study was 40 percent.

1           So we have shown that ROMA has an acceptable  
2 false negative rate and that no patients will be left  
3 with an undiagnosed cancer as a result of a false  
4 negative ROMA test. In fact, the use of ROMA could  
5 substantially increase the number of cancers that are  
6 operated on by gynecological oncologists.

7           We've also seen that the false positive rate  
8 is acceptable and that the ROMA will not overburden  
9 gynecological oncologists with benign disease. Equally  
10 important, it can increase the number of patients with  
11 benign disease that can safely have their surgery  
12 performed by non-oncology specialists in their  
13 community hospitals where they have the support  
14 structure and their family.

15           So, in conclusion, ROMA will assist in  
16 providing more accurate risk stratification and  
17 providing a tool for physicians to better ensure that  
18 the right patients get to the right surgeons in the  
19 right hospitals. It will improve the physicians'  
20 ability to provide the most appropriate treatments for  
21 our patients. And it will help physicians to counsel  
22 their patients as to the expectations, anxieties, and  
23 management plans for their care. In the meantime,  
24 patients with benign disease will be able to safely  
25 have surgery in the community hospitals.

1 I hope you will agree that the ROMA is an  
2 appropriate tool to add to our armaterium for assessing  
3 preoperative risk for ovarian cancer. Thank you,  
4 Mr. Chairman. Thank you to the Panel. I'll turn the  
5 podium back to Dr. Allard.

6 DR. NETTO: Thank you.

7 DR. ALLARD: I'd like to now summarize what  
8 we've heard today. Ovarian cancer remains a  
9 significant medical problem with low survival rates.  
10 One in every ten women will have surgery for a pelvic  
11 mass in their lifetime, and that amounts to more than  
12 150,000 surgeries every year. Published data  
13 demonstrate that survival rates can be improved with  
14 earlier detection, appropriate therapies, and when  
15 women with ovarian cancer have their surgery performed  
16 by an oncology specialist at an experienced  
17 institution.

18 And, yet, more than half of American women  
19 with ovarian cancer still have their surgery performed  
20 by non-oncology specialists in low-volume institutions,  
21 while many with benign diseases remain being treated by  
22 oncology specialists. So better tools are clearly  
23 needed to stratify women with pelvic mass into high and  
24 low-risk groups and thereby more appropriately direct  
25 their treatment.

1           We developed such a tool, and it's a test  
2 that determines the risk of ovarian cancer in women  
3 with a pelvic mass, and we've called this the risk of  
4 ovarian cancer -- Risk of Ovarian Malignancy Algorithm,  
5 or ROMA. It was developed in two independent pilot  
6 studies where we tested 15 different biomarkers.  
7 Surprisingly, only HE4 added sensitivity to CA-125 at  
8 fixed levels of specificity. So we achieved the goal  
9 we set for our study using a simple formula that does  
10 not require complex software to operate.

11           ROMA stratifies risk by menopausal status  
12 which is critical for any test that uses CA-125. And I  
13 think that's well appreciated by this group. ROMA can  
14 be easily and readily used by any laboratory capable of  
15 running immunoassays to better identify the risk of  
16 ovarian cancer in women with pelvic masses.

17           The CA-125 HE4 ROMA algorithm was validated  
18 in a multicenter prospective double-blind trial of  
19 women with pelvic mass scheduled for surgery. ROMA was  
20 shown to have 89 percent sensitivity at a pre-  
21 determined level of specificity of 75 percent. And  
22 that translates into a test that effectively identifies  
23 89 percent of women with ovarian cancer who should be  
24 treated by an oncologic specialist. Conversely, it  
25 will correctly identify 75 percent of women with a

1 benign tumor, offering the potential for them to be  
2 safely treated by their obstetrician/gynecologist.

3           Now, the Agency asked you to determine if the  
4 false negative rate is acceptable, and we believe we've  
5 shown that it is. The ROMA algorithm delivers a false  
6 negative rate of 6 percent for all EOC and low-  
7 malignant-potential tumors. This is a clinically  
8 tolerable percentage for a number of reasons. First,  
9 the false negative rate today, the effective false  
10 negative rate today, is more than 50 percent. Second,  
11 in our trial, over half of the cancer cases stratified  
12 to the low-risk subgroup.

13           As Dr. Moore has shown you, 9 out of 17 in  
14 the low-risk subgroup were borderline tumors. And most  
15 of the remainder were early stage, Stage 1 and 2. And,  
16 lastly, 6 percent represents a worst-case scenario, as  
17 some of the cancer cases would have been detected on  
18 imaging. Finally, it's important to note that a false  
19 negative ROMA result, as Dr. Moore has pointed out, is  
20 not a misdiagnosis, but rather, the patient will still  
21 undergo surgery by a non-oncology specialist.

22           We also discussed the false positive rate  
23 that FDA asked you to consider. ROMA's false positive  
24 rate of 40 percent is also acceptable. And while the  
25 number of patients referred to oncologic specialists

1 will increase with the use of the test, the increase in  
2 the number of surgeries is well within the capacity of  
3 oncologic specialists to manage. Therefore, the use of  
4 the ROMA algorithm will now negatively affect or  
5 overwhelm oncology specialists with surgeries that  
6 could safely be done by non-oncology specialists.

7           So, in conclusion, the application of HE4 in  
8 combination with CA-125 and the ROMA algorithm has the  
9 potential to increase the survival of women with  
10 ovarian cancer. It will also improve treatment for  
11 women with nonmalignant diseases. This would be the  
12 first test of its kind cleared or approved by FDA for  
13 this purpose and will help to assure that the 150,000  
14 plus women that have surgery for a pelvic mass each  
15 year in the U.S. are treated by the right physician at  
16 the right institution.

17           We thank you for the opportunity to present  
18 our data today and, believe it or not, we look forward  
19 to your questions.

20           DR. NETTO: Thank you very much. I would like  
21 to thank the Sponsor's representatives for their  
22 presentations. And now to the Panel. Does anyone have  
23 a question to any of the representatives who presented  
24 this morning? Go ahead, Dr. Berry.

25           DR. BERRY: So, as I understand it, all of

1 these women would have surgery. The purpose of the  
2 ROMA is to appropriately triage them to a gynecologic  
3 oncologist if they are likely to have cancer? Is that  
4 the point?

5 DR. ALLARD: That is exactly the point. It  
6 is the triage of patients to the appropriate  
7 specialist.

8 DR. BERRY: And you showed data that  
9 specialists -- patients who are treated by specialists  
10 do better. Why? Is it because they get better  
11 chemotherapy? Is it that the specialist knows that  
12 they have cancer and does something that's appropriate?  
13 Why wouldn't the community physician send them to a  
14 specialist if they know that they have cancer?

15 DR. ALLARD: I'm going to ask Dr. Moore to  
16 answer that question. Those answers are well-  
17 documented in the literature, and he can explain that  
18 quite clearly for you.

19 DR. MOORE: Thank you for the questions. The  
20 reason that patients do better with surgery by  
21 gynecological oncologists is that they're specifically  
22 trained and know that when we achieve optimal  
23 cytoreductive surgeries in these patients, that there  
24 is a survival advantage. With a GYN oncologist, we  
25 know the natural history of this disease. And as

1 opposed to general surgeons who sometimes operate on,  
2 let's say, pancreatic cancers, and when we operate on  
3 pancreatic cancers, doing an optimal cytoreductive  
4 surgery really doesn't help what their outcome is going  
5 to be. So they don't know the natural history of  
6 ovarian cancer, and when they see a patient with  
7 ovarian cancer, they often stop and don't proceed on  
8 with a radical debulking surgery. As Dr. Orr pointed  
9 out, GYN oncologists are really the only surgeons that  
10 are specifically trained to take care of oncology  
11 patients.

12           And so that's for patients that present with  
13 advance stage disease. Let's talk about patients that  
14 present with early stage disease or clinically early  
15 stage disease, the patient that comes in with an  
16 ovarian cyst that on imaging doesn't look like an  
17 ovarian cancer. That is why many of these patients end  
18 up staying in their communities. And when these  
19 patients have their surgery and on frozen section it  
20 turns out that it's a cancer, then surgical staging is  
21 very important. And the reason for this is even though  
22 at the time of surgery, when we take out a cyst and  
23 it's found to be a cancer, and we look around the  
24 abdomen and feel and see, and there's no tumor left --  
25 up slide -- slide up, please. Even when we look around



1 the abdomen and see that there's no tumor left, we know  
2 that a significant amount of these patients will  
3 actually have advanced stage disease.

4           So there've been studies where we've looked  
5 at patients that have just tumor in the ovary and  
6 clinically nothing else. And when those patients are  
7 brought back to the operating room and undergo a full  
8 surgical staging, which involves peritoneal cytology,  
9 it involves lymph node dissections, it involves  
10 diaphragmatic pap smears and multiple biopsies, we see  
11 that 31 percent of the patients who were thought to be  
12 early stage disease are, in fact, upstaged to advanced  
13 stage disease of Stage 3C.

14           So it's very important that people who know  
15 the natural history of this disease operate on these  
16 patients because GYN oncologists know that if we can  
17 get the tumor volume down to less than a centimeter,  
18 then that patient is going to have a significant  
19 survival advantage, and it's worth doing a radical  
20 surgery to get to that point.

21           And we also know that in patients that have  
22 clinically apparently early disease, that many of them,  
23 31 percent, in fact, have Stage 3 disease and need  
24 chemotherapy, whereas if they truly have a Stage 1  
25 cancer, they don't need chemotherapy. So that's why

1 patients do better. It's because the GYN/ONCs are  
2 trained and know the natural history of this disease.

3 DR. BERRY: So just so I understand, the  
4 purpose is not to minimize the number of surgeries?  
5 They're going to get surgery anyway, is that correct?

6 DR. MOORE: Correct.

7 DR. BERRY: Thank you.

8 DR. MOORE: Thank you.

9 DR. JASON: Several questions for you. In  
10 follow-up to that, a patient who then is found on  
11 pathology to have disease comes in then to a gynecology  
12 oncologist. How does that affect their outcome as  
13 opposed to doing it all at the first surgery?

14 DR. ALLARD: Yeah, go ahead.

15 DR. MOORE: Thank you. So, and this happens,  
16 unfortunately, on a daily basis, and I'm sure the  
17 GYN/ONCs around the country have the same experience  
18 that I do. When we have a patient that has been in a  
19 community and has a surgery and then on final pathology  
20 is diagnosed with ovarian cancer, I guess there are two  
21 case scenarios. One could be the case where the cyst  
22 was taken out and we think it may be in early stage.

23 Well, the two routes that that patient can go  
24 down is they'll either have a second surgery in order  
25 to determine what their surgical stage is to determine

1 whether they need chemotherapy. And, as I just pointed  
2 out, if that patient comes in, she has a 31 percent  
3 chance of being upstaged. And that patient will need  
4 chemotherapy if they're upstaged. If they don't have  
5 any positive nodes or biopsies that are positive and  
6 the tumor is confined to the ovary alone, then the  
7 surgery is enough as long as they've been surgically  
8 staged and are truly a Stage 1 patient.

9           The other patient that comes in sometimes are  
10 patients that have a large amount of tumor, and the  
11 surgeon who initially did their surgery either didn't  
12 do a attempt at a cytoreductive surgery or couldn't do  
13 it. And those patients benefit from having an  
14 aggressive attempt at cytoreductive surgery. So,  
15 often, these patients will also undergo a second  
16 surgery. Or they will undergo chemotherapy. And then  
17 we know that their survival rates are not that great  
18 compared to patients that have an optimal cytoreductive  
19 surgery. So, in many cases, these patients will  
20 undergo surgery a second time around or they may get  
21 chemotherapy when they really don't need it to benefit.

22           DR. JASON: Do you have any sense what  
23 proportion are in that group?

24           DR. MOORE: The proportion of?

25           DR. JASON: The second group you were

1 describing that have repeated surgery and don't have  
2 optimal removal.

3 DR. MOORE: In Goff's study, they saw that in  
4 patients that were operated on by low-volume surgeons,  
5 that only half of them had an optimal cytoreductive  
6 surgery. So half of the patients wouldn't have a good  
7 attempt at having tumor removed.

8 DR. JASON: Okay. Thank you. A few other  
9 questions, but the one that I'm most interested in,  
10 when you compared your ROMA, I know you compared it to  
11 RMI?

12 DR. MOORE: Yes.

13 DR. JASON: In the modeling, did you do two  
14 other comparisons, and how did it turn out? One being  
15 basically to add the imaging component to your ROMA?  
16 Did that have an impact? Did you try it and did that  
17 have an impact, specifically in terms of sensitivity?  
18 And, secondly, if you were to just take the two tests  
19 and say if this person is positive to either, how did  
20 that sensitivity compare to what ultimately became the  
21 ROMA?

22 DR. MOORE: Yes, we did those analyses. So  
23 I'd first like to bring up the ROMA versus RMI slides  
24 with the data showing. So what we did do is we  
25 compared RMI and ROMA, and, as I showed you earlier, in

1 all stages -- up slide -- RMI had -- was less -- had a  
2 decreased sensitivity when compared to ROMA. And that  
3 was statistically significant. Up slide.

4 In the Stage 1 and 2 patients, we also saw  
5 that ROMA performed better than RMI, and that reached  
6 or was near statistical significance, with a 0.05.

7 The slide that I didn't show you -- up  
8 slide -- was we looked at patients that had Stage 1,  
9 Stage 2, Stage 3A, which is microscopic tumor outside  
10 of the pelvis, Stage 3B, which is tumor of very small  
11 volume in size, and Stage 3Cs, where there is no  
12 visible tumor in the upper abdomen or in the omentum,  
13 but they are Stage 3 based on lymph nodes. So in all  
14 of these patients, a CT scan, MRI, or ultrasound would  
15 not show disease outside of the pelvis. And,  
16 therefore, they would be considered as, you know,  
17 either benign or having an early stage, 1 or 2,  
18 cancers.

19 And when we looked at these patients, we saw  
20 that the RMI achieved the sensitivity of about 68  
21 percent compared with ROMA, that achieved a sensitivity  
22 of 89 percent, and this was statistically significant  
23 as well.

24 So we did take the RMI results -- so all the  
25 patients that had a high-risk score on the RMI were

1 grouped into a high-risk category. And all the  
2 patients that also had a high-risk score on the ROMA  
3 were also grouped into a category. And then patients  
4 that had low-risk scores on both tests were put into  
5 one category to see if there was a difference. And  
6 when we did this, we did not see a statistical  
7 difference between ROMA, sorry, in that population  
8 looking at both of them versus ROMA. So, really, the  
9 driving factor of the test was the ROMA algorithm for  
10 separating these patients into high and low-risk  
11 scores.

12 DR. JASON: Now, here you had mentioned that  
13 you really -- you were just barely at significance for  
14 some of this. What were your actual sensitivities,  
15 although I know you say they aren't significantly  
16 different --

17 DR. MOORE: The --

18 DR. JASON: -- when you did that.

19 DR. MOORE: The sensitivities on this were,  
20 for ROMA, were 89 percent.

21 DR. JASON: But the one that you're  
22 describing where you did that --

23 DR. MOORE: They were very similar to 89  
24 percent.

25 DR. JASON: So they were around the same

1 place?

2 DR. MOORE: Yes, they were.

3 DR. JASON: And then how about if you simply  
4 said I'm going to take anyone who's positive to either  
5 one of these tests and refer them, never worry -- I'm  
6 not going to worry about my false positives? What's  
7 your sensitivity in that setting? How did that compare  
8 to ROMA?

9 DR. MOORE: If we took every patient with  
10 both tests being positive?

11 DR. JASON: Either --

12 UNIDENTIFIED SPEAKER: Either --

13 DR. JASON: Either one.

14 DR. MOORE: Yeah, we didn't look at that  
15 combination.

16 DR. JASON: Oh, okay.

17 DR. MOORE: I'll let Dr. Skates -- he seems  
18 to have some information on that.

19 DR. SKATES: If we could have the slide with  
20 the CA-125 and HE5 plot for both the pre-menopausal  
21 separately and then the post-menopausal? On the slide,  
22 this is the post-menopausal women. And the blue here  
23 are the benign disease. The red squares are epithelial  
24 late-stage. The pink triangles are epithelial early-  
25 stage. And the orange circles are low-malignant-

1 potential. And you can see the diagonal line that  
2 represents ROMA at 75 percent specificity going across  
3 there, separating out most of the benign disease from  
4 most of the cancers.

5           And this shows how the diagonal line, which  
6 is linear combination of CA-125 and HE4, best separates  
7 the controls from the cases. If, in fact, what you're  
8 proposing is a straight line, say, at 100 horizontal to  
9 the x-axis for HE4 and a vertical line, for example, at  
10 35 for CA-125, then everyone in the upper right  
11 quadrant would be positive on that test. But it would  
12 be less efficient, less sensitive, in my judgment, by  
13 just looking at this than what you would get with the  
14 diagonal line.

15           DR. NETTO: But you did not do this  
16 formally --

17           DR. SKATES: We did not do a formal  
18 significant test. I'm just trying to do the best --

19           DR. NETTO: Okay.

20           DR. SKATES: -- assessment of this from a  
21 plot perspective that I can get right on the spot.  
22 And, similarly, on the slide, this the pre-menopausal  
23 line, and you can see that if you had in that box a  
24 vertical line for CA-125, you would have a lot of false  
25 positives for CA-125 that the ROMA doesn't get. There



1 is a lot of blue below the line. That's mainly because  
2 in the pre-menopausal, the weighting is primarily in  
3 favor for HE4 because CA-125 has so many false  
4 positives in the benigns.

5 DR. JASON: Okay.

6 DR. SKATES: And, therefore, it appropriately  
7 weights the test in favor of HE4. So what you would  
8 get is very -- if you just used HE4 in this situation,  
9 you get a similar although not quite as optimal result  
10 as ROMA. If you use the combination of CA-125 and HE4,  
11 you would get many more false positives.

12 DR. JASON: So, in terms of the earlier  
13 discussion on what is an acceptable amount of false  
14 positives, the feeling was this would be beyond what an  
15 oncologist, gynecology oncologist could handle?

16 DR. SKATES: This would decrease the  
17 specificity from 75 percent maybe down to 50 percent.  
18 And so we would be referring many more, perhaps twice  
19 as many to the gynecologic oncologist.

20 DR. JASON: Um-hum.

21 DR. SKATES: Whether they could handle that  
22 or not is unclear, but we felt that 75 percent  
23 specificity and retaining 75 percent of the benigns to  
24 the non-oncology specialist was the appropriate minimal  
25 level.

1 DR. JASON: Okay. Okay. Some minor  
2 questions. In some of the tables in the primary text,  
3 it said that 15 subjects didn't have surgery?

4 DR. ALLARD: Correct.

5 DR. JASON: Why didn't they have surgery?

6 DR. ALLARD: We don't know exactly, but that  
7 was a common exclusion, and I'm guessing that because  
8 their conditions resolved.

9 DR. JASON: Okay.

10 DR. ALLARD: In between the time that they  
11 enrolled in the study and the time that their surgery  
12 was scheduled.

13 DR. JASON: Okay.

14 DR. ALLARD: Oh, I'm sorry. Dr. Moore knows  
15 the answer.

16 DR. MOORE: Yeah, we know that in a small  
17 fraction of those patients, they went back for imaging.  
18 They had been enrolled. And just before their surgery,  
19 they had imaging, and their cyst had resolved, so they  
20 were functional cysts.

21 DR. JASON: Okay. And then it also said 15  
22 subjects were included who didn't meet criteria? Why  
23 was that?

24 DR. ALLARD: Yeah, they were subjects that  
25 were enrolled that did not meet inclusion/exclusion

1 criteria, and the typical ones were things like we  
2 found out after they had been enrolled that they in  
3 fact had had a previous cancer or that they in fact  
4 were on a chemotherapy medication. Most commonly, it  
5 was methotrexate. And that would violate one of our  
6 exclusion criteria. So it was those kinds of  
7 violations of inclusion/exclusion criteria.

8 DR. JASON: And they were included because it  
9 turned out they didn't --

10 DR. ALLARD: In the additional analysis, we  
11 did include those patients because, in fact, they were  
12 evaluable.

13 DR. JASON: I got you.

14 DR. ALLARD: They were enrolled and they were  
15 evaluable.

16 DR. JASON: Okay. And is the HE4 test  
17 licensed already for some use?

18 DR. ALLARD: Is it licensed?

19 DR. JASON: Um-hum. For --

20 DR. ALLARD: To --

21 DR. JASON: For monitoring?

22 DR. ALLARD: Ah, it is FDA-cleared for  
23 monitoring today, yes, it is.

24 DR. JASON: Okay. And, lastly, on the  
25 labeling, on Page 15, I just want to make sure I

1 understand this. This has to do with the sensitivity  
2 data.

3 DR. ALLARD: Okay.

4 DR. JASON: And I don't know this assay, so  
5 it's probably something -- I just want to make sure I'm  
6 on board. Is this saying that if you have 100 percent  
7 increase in concentration, the sensitivity is 31  
8 percent, but if it's only a slight increase, the  
9 sensitivity is 71 percent, suggesting that there is  
10 ongoing disease?

11 DR. ALLARD: That's in the discussion on  
12 monitoring in the package insert?

13 DR. JASON: This is in the labeling section  
14 of the proposed labeling. There is a table on Page 15,  
15 sensitivity represented, risk estimation, blah, blah,  
16 blah, and there's a table of percent change in HE4  
17 concentration along with sensitivity and specificity.

18 DR. MOORE: Yeah, that's in the monitoring  
19 package.

20 DR. JASON: Right.

21 DR. MOORE: And, typically, how we use serum  
22 tumor markers when we're following patients either  
23 being treated for ovarian cancer or for following them  
24 for recurrences after they've been treated is we look  
25 at the trends of their tumor markers. So, for

1 instance, the most common one that we've historically  
2 used is CA-125. I may have a patient that starts with  
3 a CA-125 before treatment, 400 or so, and with  
4 treatment we reach a baseline of 10. If while we're  
5 monitoring them for recurrence we see that goes from 10  
6 to 20 to 35 --

7 DR. JASON: Oh.

8 DR. MOORE: -- even if it's still within the  
9 normal range, we know that that patient has a  
10 recurrence of her disease or most likely by  
11 serological. So when we looked at HE4, the same  
12 principles applied. So it's more the increase of HE4  
13 that would indicate new disease, and that's where that  
14 came from.

15 DR. JASON: So when you talk about percent  
16 change, it's from that very reduced amount, and so  
17 you're -- the 100 percent has a lower sensitivity  
18 because are you going from a lower baseline or --

19 DR. MOORE: No. And, you know, I'm not sure  
20 where they're going from from that, but what we're  
21 looking at is more from the trends over time on tumor  
22 marker values.

23 DR. JASON: Okay. Okay. And the last  
24 question is for the assay that was done at three  
25 different labs, did you do any quality assessment of

1 lab variability?

2 DR. MOORE: I'll let Jeff answer that.

3 DR. ALLARD: We did. In fact, we ran  
4 multiple samples at each of the different  
5 laboratories --

6 DR. JASON: Um-hum.

7 DR. ALLARD: -- and demonstrated. CVs were  
8 always within bounds of less than 10 percent.

9 DR. JASON: Okay. Great.

10 DR. ALLARD: In general, the assay has a  
11 total CV of less than 7, and that's roughly what we  
12 observed at each of the three different laboratories,  
13 but there were control samples that were run.

14 DR. JASON: And do you propose, then, to say  
15 that -- well, if they would be -- a given place would  
16 be one place anyway. Not to worry. That's good.  
17 Thank you.

18 DR. ALLARD: Okay.

19 DR. NETTO: Dr. Ozols?

20 DR. OZOLS: Two questions. This assay, the  
21 ROMA test, was used in a very selective population who  
22 were referred to cancer centers. In your proposed  
23 indication that I read, it is that it -- that this ROMA  
24 test be applied to those patients who are referred to a  
25 center?

1 DR. ALLARD: Correct.

2 DR. OZOLS: So do you see this as not being  
3 used by gynecologists in a community? You just want  
4 this to be used by patients upon referral?

5 DR. ALLARD: We have thoughts on that, and  
6 I'm going to ask Dr. Moore to answer that as a  
7 clinician.

8 DR. OZOLS: Okay.

9 DR. MOORE: When we conducted the study, it  
10 was mainly in gynecological oncology divisions that  
11 were in obstetrics and gynecology, and we did this in  
12 order to make sure that we had enough cancers in the  
13 study to reach statistical power. We agree with the  
14 FDA that the population in this trial is a referred  
15 population.

16 But when we look at our pivotal trial  
17 reference patterns and how these patients came to  
18 us -- slide up -- we see that about 70 percent of the  
19 patients came from gynecologists and about 9 percent  
20 from family practitioners and another 9 percent from  
21 internists and 10 percent from other sources, such as  
22 self-referral or other surgeons.

23 So we believe that this is a vitally  
24 important test for gynecological oncologists to use  
25 because it will allow us to do many of the things we

1 talked about in the presentations, in terms of  
2 counseling patients, selecting how we do surgeries,  
3 which is very important. We know that it's very  
4 important not to rupture a tumor intraoperatively, and  
5 if we have a high-risk patient, then maybe laparoscopy  
6 isn't the route to go because we often end up rupturing  
7 tumors. If we have a high-risk patient and they have  
8 multiple comorbid medical conditions -- an 80-year-old  
9 with a pelvic mass that has many conditions, such as  
10 congestive heart failure, and we have a high-risk test,  
11 well, this is going to help us plan for that patient's  
12 preoperative and postoperative care. We know that that  
13 patient is probably going to end up with a laparotomy,  
14 a staging procedure, and be in the ICU, and it's good  
15 to know that.

16           So from a gynecological oncology standpoint,  
17 I think this is a vital test for us to have to help  
18 manage these patients. Now, if this were used by  
19 gynecologists, we feel that the impact on patients  
20 would actually be beneficial because many of these  
21 patients with cancer would be referred, but we did not  
22 study that population.

23           DR. NETTO: Exactly. So how can you say  
24 that?

25           DR. MOORE: Right. And we didn't study that



1 population, so we can't extrapolate to that. But where  
2 we can use it is in referral, when I have a patient  
3 come in for a second opinion and see me, I can  
4 thoroughly review their case histories, use ROMA to  
5 help me say, okay, you're safe having your surgery --

6 DR. OZOLS: So but if that patient gets  
7 referred to you, you do the ROMA, and even if it's a  
8 low malignancy index risk, you're going to send a  
9 patient back? I think Dr. Orr said most of those  
10 patients are going to stay anyway, right?

11 DR. MOORE: Yeah, I think that depends on the  
12 practice. I know where I am, we have many off-sites,  
13 and I actually go out and operate with GYNs on patients  
14 that we feel are low-risk, and we currently have our  
15 own triage system that we're using. And so patients  
16 that are high-risk we keep at our center, and for  
17 patients that are low-risk, they can stay on Cape Cod,  
18 for instance, which is two hours away from us, and I'll  
19 often be in that center on the day seeing patients in  
20 the clinic, and if they end up having a cancer, they  
21 call me down from clinic. So it will truly help us as  
22 GYN oncologists also triage these patients, adequately  
23 inform these patients, help with their anxieties.  
24 There're many benefits to this test --

25 DR. OZOLS: Okay. So the second question,

1 one of your thoughts are about that the risk for  
2 malignancy index right now, or some variant of it, is  
3 obviously available to every gynecologist that do an  
4 ultrasound, do a CA-125, that's standard care, and yet,  
5 in that, they had about an 85 percent sensitivity, as  
6 you talk about. And, you know, and that's -- so your  
7 ROMA test, if we accept it, it's about 89 percent  
8 better. The fact is that the majority of patients  
9 using this risk malignancy index, which is, you know,  
10 not that bad of, say, 85 was borderline -- I mean,  
11 possible to range as high as 90 percent, but they're  
12 still not referred, right? Only half of the patients  
13 are still operated on by physicians who know that they  
14 probably have cancer, right? So how do you think this  
15 would alter practice just by that change in 8 or 9  
16 percent sensitivity?

17 DR. MOORE: Well, I think it gives us another  
18 tool to assess that. You know, right now, in Europe,  
19 they use the RMI formally. In the U.S., some places we  
20 use RMI formally, and others, it's a calculation that  
21 we do in our head. And we know that ultrasounds are  
22 variable. They're subjective. So if you have an  
23 ultrasound in a tertiary care center, it's probably  
24 going to be a much more detailed ultrasound in terms of  
25 looking at architectures of cysts and stuff than what

1 you would find in the private practice. And that's why  
2 the RMI has some subjectivity to it whereas, you know,  
3 serum testing and the use of ROMA really doesn't.

4           When we look at the ACOG referral  
5 guidelines -- let's put those slides up -- we see that,  
6 right now, ACOG, their guidelines are that patients  
7 with a CA-125 of greater than 200 that are pre-  
8 menopausal should be referred, or if they have any  
9 evidence of, you know, advanced stage disease, ascites,  
10 metastasis or a family history. So a lot of the time,  
11 these are patients that -- pre-menopausal patients that  
12 will be referred in with advance stage disease. And we  
13 end up missing early stage disease. And I think that's  
14 why half of the patients aren't referred in is just  
15 because it does not look like a malignancy when they're  
16 looking at imaging and ultrasound results.

17           DR. NETTO: Just as a follow-up on that. So  
18 clarify for us exactly if this test is to be used in a  
19 setting where a patient is referred to a gynecologic  
20 oncologist? Was the pivotal part done purely in a  
21 setting of gynecologic oncologists exactly like the  
22 intention to use is or not, because it seems like you  
23 keep saying mainly were gynecologic centers, were  
24 gynecologic oncologists with experience. So were there  
25 some that would have been similar to just a regular

1 gynecologist getting a patient and did the ROMA? Was  
2 the setting of your pivotal part --

3 DR. MOORE: Yes.

4 DR. NETTO: Was it purely exactly like the  
5 intention to use or not because I'm not so clear on  
6 that. It seems to me that it's not. But I would like  
7 your opinion.

8 DR. MOORE: So out of the 14 sites that we  
9 had, one of them was a site that had nine gynecologists  
10 that are in private practice.

11 DR. NETTO: And I'm not worried about that.

12 DR. MOORE: Yeah.

13 DR. NETTO: The ones that --

14 DR. MOORE: The remainder of the sites were  
15 all divisions of gynecological oncology that enrolled  
16 patients onto the trial. So they were all enrolled by  
17 GYN oncologists.

18 DR. NETTO: So everyone was?

19 DR. MOORE: Yes.

20 DR. NETTO: So with that being the  
21 setting -- but what you just referred to is it may  
22 improve this earlier stage pre-menopausal capture of  
23 these being referred, but that's not the setting where  
24 you studied this test. You cannot make a statement  
25 about that. So the ROMA, you didn't do it in an

1 initial population and showed that it did improve the  
2 referral pattern because these are people who were  
3 referred not because of the ROMA, were referred because  
4 they were thought to have tumor based on the clinical  
5 factors, right?

6 DR. MOORE: Yeah, although --

7 DR. NETTO: So I don't think we have data to  
8 show that the ROMA does capture additionally because  
9 they were already boxed in the gynecologic oncology box  
10 based on their clinical --

11 DR. MOORE: Um-hum.

12 DR. NETTO: -- suspicion, right? And then  
13 you did the ROMA as an additional?

14 DR. MOORE: Yeah, but a point to that, and I  
15 agree that it wasn't a referred population, that  
16 referred population is already defined as a high-risk  
17 population for the fact that they've been referred in.  
18 Yet, the ROMA can still stratify patients into high-  
19 risk and low-risk very accurately.

20 And as a GYN oncologist, where we will use  
21 that, that will really help me is that phone call that  
22 I'll get saying, "Look, I have a patient that has an 8  
23 centimeter cyst. She is 40 years old. And the  
24 ultrasound looks like this." And I'll say to my  
25 colleague, "I'll be happy to see her. Let's get a ROMA

1 test on her. We'll have her come in, and that will  
2 help us get patients coming in."

3           You know, we have a very, very close  
4 relationship, as most sites do across the country, with  
5 the referring physicians or the gynecologists that send  
6 patients in, and in collaboration with them as we see  
7 their patients, I think this will help us improve that  
8 standard of care.

9           DR. NETTO: But is that the setting you made  
10 the study, the pivotal study in?

11           DR. MOORE: Yes, in our institution, many of  
12 those patients came in for second opinions. Some were  
13 self-referred in. Some were sent in, as you saw on the  
14 pie chart, we showed that GI and internists and family  
15 practitioner sent these patients in.

16           DR. NETTO: But you would agree the misses  
17 are the ones that to start with the gynecologic  
18 oncologist did not worry about them being cancers, and  
19 those we did not study in this --

20           DR. MOORE: Well, I don't know if that's a  
21 100 percent true, and I think we should bring up the  
22 benign disease slide. When we look at the distribution  
23 of benign disease in this study and we look at pre-  
24 menopausal patients -- let's go down to simple  
25 paratubal cysts. Up slide.

1           So simple paratubal cysts in the pre-  
2 menopausal patient, we had 34 of those patients. Well,  
3 when we look at paratubal cysts, these are cysts that  
4 are balloons filled with water. There is no internal  
5 septations, there is no intrapapillary projections,  
6 there's no nodules. That is a diagnosis that can be  
7 made by ultrasound. Yet, these patients ended up being  
8 enrolled on our study. Now, many of them may have been  
9 having surgery because they were symptomatic, but we  
10 knew in that group that that would be a benign surgery.

11           For instance, teratomas or dermoids are very  
12 well described by MRI. They have a fat component  
13 within the cyst. We know those are benign diseases.  
14 So there are some patients in this trial that we could  
15 have said, yeah, this is benign. You can go back.

16           DR. NETTO: All right. Thank you.

17           DR. MOORE: Thank you.

18           DR. OZOLS: But I want to go back to that  
19 hypothetical 40-year-old woman with this 8 centimeter  
20 mass, pre-menopausal, okay? She comes in to you and  
21 you do the ROMA test, and there's an 11 percent chance  
22 that you're incorrect in putting her at low-risk,  
23 right?

24           DR. MOORE: In the -- can you bring up the --

25           DR. OZOLS: The pre-menopausal. It's, you

1 know, I think you have 6 percent for --

2 DR. MOORE: Yes.

3 DR. OZOLS: And so are you going to say,  
4 okay -- is that going to be very reassuring to her  
5 that, look, you've got an 11 percent chance of having,  
6 you know, a potentially lethal disease, and I'm going  
7 to send you back to your gynecologist? I don't think  
8 so --

9 DR. MOORE: Well, I think they have -- you  
10 know, these are taken in consultation with all the  
11 other clinical equipment that we have in discussions  
12 with the patients.

13 DR. OZOLS: All right. So you're going to  
14 operate on her anyway.

15 DR. MOORE: All of these patients, yes --

16 DR. OZOLS: -- think she has cancer.

17 DR. MOORE: All of these patients will have  
18 surgery, yes.

19 DR. OZOLS: Right --

20 DR. MOORE: That's a requirement. That is a  
21 requirement.

22 DR. OZOLS: But if we use it in a community,  
23 then, I mean, this pre-menopausal who has 11 percent  
24 risk, you're going to say that's okay, leave her there,  
25 and let the GYN guy operate on her? Don't send her to



1 me?

2 DR. MOORE: I think that --

3 DR. OZOLS: If you're wrong 11 percent of the  
4 time in a pre-menopausal woman?

5 DR. MOORE: No, I think when that's compared  
6 with what's currently going on, that is a huge  
7 improvement. What currently is going on is 50 percent  
8 of those patients aren't making it to us anyways.

9 DR. OZOLS: Right.

10 DR. MOORE: So it's a huge improvement.

11 DR. NETTO: Okay. Dr. Freedman?

12 DR. FREEDMAN: Thank you. To follow up on  
13 that question. So you see a patient with a large cyst,  
14 pre- or post-menopausal and you're requesting a sample  
15 to be tested, but you don't actually see the patient in  
16 that scenario? I realize it's a hypothetical, but you  
17 don't actually see the patient. So the patient doesn't  
18 benefit from the actual correlation of the test  
19 findings with the clinical findings by the experts? Is  
20 that how you might imagine it would be used?

21 DR. MOORE: No, and again, we agree with the  
22 FDA that this is a referred population. But we can --  
23 you know, often in referral, like they do at many  
24 centers, they will set up the test that they want done  
25 for when they get there. So CT scans, please order a

1 CT scan for me and I'll review when the patient gets  
2 here --

3 DR. FREEDMAN: But let's say the ROMA --

4 DR. MOORE: The same with ROMA.

5 DR. FREEDMAN: Sorry to interrupt, but let's  
6 say the ROMA was in the acceptable benign range. You  
7 wouldn't see the patient?

8 DR. MOORE: No. I think in the way that this  
9 trial was set up, it was a referred population. So we  
10 would see that patient. And I think there is a lot of  
11 benefits to us seeing a patient whether it's benign or  
12 malignant. And we can discuss with them many features  
13 of ovarian cancer care and risk assessment that maybe  
14 the generalist didn't talk about. In my practice, as I  
15 had mentioned, we operate at off-site hospitals. And  
16 I'll see those patients, and I'll say, well, I think  
17 your risk is pretty low. Let's do the surgery on Cape  
18 Cod. I'm going to be there anyways. And that way you  
19 can be at home in your community where your support  
20 structure is. I come down. If it's a cancer, I'm  
21 there. We can do a cancer surgery. If it's not,  
22 fantastic.

23 DR. NETTO: But you cannot generalize that  
24 scenario.

25 DR. MOORE: You can to some extent because if

1 you look at the major teaching -- or the major GYN  
2 oncology centers, many of them do it this way. They  
3 all have outreach support systems. I know M.D.  
4 Anderson does; I know Duke does and UNC. So a lot of  
5 these systems operate as regional centers so that they  
6 can get the care of gynecological oncologists out to  
7 the region.

8 DR. NETTO: Yes, Dr. Julian?

9 DR. JULIAN: First of all, as a tertiary care  
10 gynecologist for more than 30 years, about three-  
11 quarters of the patients I do, benign patients, are  
12 redos because they were incompletely or not optimally  
13 done. I understand that the training for the average  
14 community gynecologist is 12 months of gynecologic  
15 surgery as -- generally, okay, in a 48-month residency.  
16 The gynecologic oncologist has three to four times this  
17 amount of training with much more difficult cases.  
18 Now, in terms of the referral of these patients,  
19 oftentimes, the gynecologist is not the triage  
20 mechanism for these patients. Often, a third party is  
21 involved in this.

22 Now, when I read the material that you  
23 submitted, I came at it from a little different  
24 perspective. The presentation, which I agree with  
25 entirely, gynecologic oncologists should be doing the

1 cancer, but the question I have is how many of the  
2 extremely difficult cases that will be incompletely  
3 done, such as the endometriosis here, the ovarian  
4 remnants, the tube ovarian abscesses that are  
5 misdiagnosed or old, how many of those will not get to  
6 a gynecologic oncologist, do you think, because of  
7 this? Will this be used as a mechanism to say this is  
8 not a gynecologic oncology referral?

9 DR. MOORE: No, I don't think it should be.  
10 I don't think this is a test that is going to be used  
11 at that level to stop referrals from going to a GYN  
12 oncologist. On the other hand, you know, even if the  
13 ROMA test were negative, and we're seeing a patient,  
14 and we do a pelvic exam, and it's a fixed in solid mass  
15 and we know it's endometriosis, and we know that  
16 referring gynecologist is referring her to us not  
17 because it's a cancer but just because of the advanced  
18 surgical skills, that patient is going to say.

19 DR. JULIAN: Right. But do you think the  
20 third party -- it will never get to you because the  
21 ROMA is negative?

22 DR. MOORE: It's not indicated for that use  
23 and that's not what we've tested, so it shouldn't be  
24 used that way.

25 DR. JULIAN: Okay. Is the CA-125 currently

1 indicated for the triage of these patients?

2 DR. MOORE: No, it's not. CA-125 has not  
3 been cleared or approved for risk stratification of  
4 ovarian cancer.

5 DR. JULIAN: Okay. The other question I have  
6 is our first speaker, Ms. Donahue, probably had, by  
7 history, either a low-malignant-potential tumor or a  
8 germ cell tumor. This test doesn't detect either of  
9 those with great specificity, is that correct?

10 DR. MOORE: Well, we don't know about germ  
11 cell tumors. There was probably only two of them in  
12 the study, and they're very rare. Low-malignant-  
13 potential tumor, it does a reasonable job on  
14 identifying LMPs. However, I wouldn't say that she  
15 wouldn't have ovarian cancer because I do have a number  
16 of patients in this day and age that have early stage  
17 and even advanced stage cancers that are living. I  
18 have a number of patients that are now 15 years out  
19 from their initial diagnosis, have had multiple  
20 recurrences. So, you know, there are invasive ovarian  
21 cancer patients out there.

22 And with some of the new information that  
23 we're starting to see on the origins of ovarian cancer,  
24 as Dr. Runowicz alluded to, the Type 1's and the Type  
25 2's, the Type 1's are probably the ones that progress

1 on from a benign tumor to an LMP tumor as a pre-  
2 cancerous lesion and then into a low-grade tumor,  
3 whereas the Type 2s are one that arise sporadically and  
4 they're much more aggressive. So there are ovarian  
5 cancer patients out there that have been, you know, for  
6 a long time survival rates. I appreciate your  
7 comments.

8 DR. FUNKHOUSER: A few questions for you,  
9 Dr. Moore. Is the standard of care to stage low-  
10 malignant-potential neoplasms?

11 DR. MOORE: Well, you know, that's a very  
12 good question, and I'm not sure that we can say that in  
13 this day and age there is a standard of care. Now, in  
14 the past, many of the ovarian cancer patients underwent  
15 surgical staging, and the main -- or sorry -- LMP  
16 patients underwent staging, and the main reason for  
17 that is at the time of frozen section, the chances of  
18 the final path coming back as invasive ovarian cancer  
19 was as high as 20 percent. Now, as we're getting  
20 better at pathology and understanding low-malignant-  
21 potential tumors, that rate of misclassification on  
22 frozen section has dropped down to about 5 percent.

23 We also have to divide these tumors, the LMP  
24 tumors, into serous tumors and mucinous tumors. We  
25 know that almost 95 percent of mucinous tumors are

1 going to be Stage 1. And for many of those tumors,  
2 mucinous tumors, we don't stage them any longer, and at  
3 Women and Infants', we don't. We get a final pathology  
4 for mucinous tumors, we don't go ahead and stage.

5 Now, for serous LMP tumors, I think the  
6 committee is still out on that one. But if you look at  
7 the staging rate in this trial on LMP tumor, it was  
8 only about 50 percent. And that's because oncologists  
9 are now starting to understand that the LMP tumors are  
10 not tumors where the benefits -- the patient is going  
11 to benefit from a huge debulking surgery, or sorry,  
12 they will benefit from a debulking surgery, but knowing  
13 their stage is not vital. So patients that will  
14 undergo surgery at a community hospital would be  
15 referred back into the cancer centers. We'll review  
16 their pathology, and if it's truly an LMP tumor, those  
17 patients don't undergo further surgical staging, and  
18 they don't undergo chemotherapy.

19 DR. FUNKHOUSER: Next question. If you had a  
20 perfect test that allowed you to distinguish op or  
21 preoperatively whether a woman with an adnexal mass had  
22 a benign serous cyst adenoma, for example, for a serous  
23 cyst adenocarcinoma, for example, would you do a  
24 different surgical procedure in your approach to that  
25 patient?

1 DR. MOORE: I believe I would. I mean, I  
2 think leading up to the surgery is going to be  
3 different and how we prepare that patient. You know,  
4 for a patient that I think I know has a cancer, they  
5 will know the type of surgery they're going to have, a  
6 laparotomy with surgical staging and lymph node  
7 dissections. A patient that is, you know, let's say  
8 they know they have a serous cyst adenoma, well, often,  
9 we'll make a Pfannenstiel incision, a much smaller  
10 incision, and we'll most of the time, nowadays, we'll  
11 use laparoscopy, remove the tumor, put it in a bag, and  
12 try and drain the bag intraoperatively and pull  
13 everything up through ports or do robotic surgery.

14 So I think this test will help us in the  
15 preoperative setting in determining what the surgery is  
16 going to be, how we're going to counsel these patients,  
17 and what their postop events will be, in terms of  
18 recovery. Are you going to be in the hospital just  
19 overnight and have four laparoscopy port sites, or are  
20 you going to have a major incision that allows us to do  
21 a full surgical staging.

22 DR. NETTO: So is that based on the ROMA  
23 standalone? You will change your approach?

24 DR. MOORE: Well, he -- no, he asked me a  
25 hypothetical question --



1 DR. NETTO: If you had the test -- yeah, if  
2 you had the test and then you went back and talked  
3 to --

4 DR. MOORE: Do you know, I think yes. I  
5 would use all those clinical factors in helping us  
6 determine how we're going to take care of this patient.  
7 So if I have an exam where I have a cyst that's mobile,  
8 and it's small enough that I think I can get it into a  
9 10 centimeter laparoscopic bag --

10 DR. NETTO: But that's not standalone?

11 DR. MOORE: I beg your pardon?

12 DR. NETTO: That's not standalone? You're  
13 using clinicopathologic, and your analysis did not  
14 include that so --

15 DR. MOORE: Yeah, but --

16 DR. NETTO: -- you can't say that.

17 DR. MOORE: There is not a clinical test that  
18 I think a physician uses that is the only thing that  
19 they use to make a decision.

20 DR. NETTO: And I'm not arguing with that.

21 DR. MOORE: Yeah --

22 DR. NETTO: Actually, I'm arguing for that.

23 DR. MOORE: Right.

24 DR. NETTO: But the issue is your analysis  
25 did not include any of the radiologic or any of the

1 other clinicopathologic in term of saying ROMA is  
2 better or not, did it have an additive or not benefit  
3 to that.

4 DR. MOORE: Well, we did when we looked at  
5 the RMI index, which does use imaging, as well as  
6 menopausal status, as well as tumor markers --

7 DR. NETTO: But in your -- yeah. Okay.

8 DR. MOORE: Yeah.

9 DR. NETTO: Next question.

10 DR. FUNKHOUSER: My next question is if you  
11 have a pre-menopausal woman and you've done a  
12 Pfannenstiel and you've removed what you think is a  
13 serous cyst adenoma and the final path comes back  
14 carcinoma, you now refer that patient to a gynecologic  
15 oncologist. There is a delay of two to three weeks  
16 before she can have a formal laparotomy and formal  
17 staging and debulking as necessary. Have we done harm  
18 to that patient other than the second general  
19 anesthesia and second operation?

20 DR. MOORE: Well, I would feel that having a  
21 second major surgery is unnecessary. And the two  
22 routes that these patients can go down when they are  
23 referred in is, one, we can talk to them about the  
24 risks that they're going to have advanced stage disease  
25 and just give them chemotherapy. And some patients

1 will come and say, "I don't want surgery no matter  
2 what," and I'm going to get chemotherapy because all we  
3 can do is calculate a risk that they have advanced  
4 stage disease. And those patients may get chemotherapy  
5 unnecessarily without any benefit. That's a harm. A  
6 patient that comes in and has a second surgery, that's  
7 a harm. You know, when we can deal with cancer up  
8 front and have that patient have their initial surgery  
9 that is the correct surgery, that's the most  
10 appropriate treatment for an ovarian cancer patient.

11 DR. FUNKHOUSER: You've argued persuasively  
12 that it's a benefit to patients to have operations in  
13 local or regional medical centers as opposed to  
14 tertiary care center, which may be far distant from  
15 their homes. Do you think that the balance is in favor  
16 of referral using a screening test or tests to refer  
17 them to distant medical centers where they can be seen  
18 and managed by a gynecologic oncologist?

19 DR. MOORE: Again, that's not the population  
20 that we studied, but I think if it was used in that  
21 fashion, it would pose very minimal harm or risk to  
22 that patient and, actually, potentially increase the  
23 number of cancers that we're seeing.

24 DR. NETTO: Dr. Lichtor?

25 DR. FUNKHOUSER: I have a question for

1 Dr. Strakes [sic], please?

2 DR. NETTO: I'm sorry.

3 DR. FUNKHOUSER: Dr. Strakes, the data that  
4 we've been able to see looks at the ROMA test as a  
5 standalone test, but, yet, we're given no comparison  
6 against CA-125 alone as a screening test. In your  
7 opinion, at a level of specificity of 75 percent for  
8 detection of LMP or carcinoma of the ovary, is there a  
9 statistically significant difference between the  
10 ability of ROMA to detect LMP and carcinoma as opposed  
11 to CA-125 alone?

12 DR. SKATES: Yes, in my opinion, there is.  
13 That comes from the examination of the pilot study data  
14 where we had sufficient cases and therefore sufficient  
15 power to make that distinction. In the pivotal study,  
16 there is a cohort study, and, therefore, there isn't  
17 sufficient power.

18 If we look at -- slide on, please. If we  
19 look at the sensitivity estimates based on a  
20 combination of CA-125 and HE4 in the pilot studies that  
21 we did, we find that there is a significant increase at  
22 a variety of specificity levels, and you can see that  
23 with the addition of HE4, that you get significant,  
24 both clinically and statistically significant increases  
25 in that sensitivity in those combined pilot studies.