

1 My name is Jim Rooney. I'm from Gilead
2 Sciences. I'm here today representing the
3 Intercompany Collaboration for AIDS Drug Development.
4 The ICC, as the group is known, is an organization of
5 pharmaceutical companies open to any pharmaceutical
6 company that is involved in the development of new
7 drugs for the treatment of HIV infection. The current
8 membership is listed on the first slide.

9 The ICC has been in operation since about
10 1993. It is relatively unique. I don't think a
11 similar organization exists in any other therapeutic
12 area. And I think it has fostered a great deal of
13 degree of collaboration on both a medical and
14 scientific level and communication between the member
15 companies involved.

16 Next slide, please. The goal of the ICC
17 is to share information and antiretroviral drugs in an
18 effort to develop improved combination therapies for
19 the treatment of HIV infection.

20 Next slide, please. In discussions with
21 Dr. Struble in preparation for this meeting, she asked
22 us to comment on three points. The first is the use
23 of multiple experimental agents in registration
24 studies for salvage therapy. The second was the use
25 of placebos in salvage trials, particularly the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sharing of those between companies. The third is to
2 comment generally on issues in the design and
3 suggestions for the design of clinical trials in
4 salvage therapy.

5 Next slide, please. With respect to
6 combining agents, experimental agents, in salvage
7 therapy, the current approaches, as you are aware, are
8 geared to demonstrating the incremental benefit for
9 each new drug.

10 However, the long-term durability of the
11 response is more likely to reflect the activity of the
12 entire regimen, rather than the activity of a single
13 agent. Therefore, for salvage therapy, we do believe
14 that it is reasonable to consider combining more than
15 one experimental agent or registrational studies.

16 And that is, of course, providing that the
17 regulatory environment is such that it is clear how
18 those agents would be approved and that there would be
19 some incentive for performing studies in this
20 particular patient population, as opposed to other
21 patient populations, where the designs are more
22 clearly established and the benefit more clearly
23 expressed.

24 Next slide, please. The rationale for
25 combining agents is increased potency of the new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regimen and to limit the development of resistance to
2 new agents. And it would be indicated in situations
3 where *in vitro* data demonstrates synergy or
4 additivity.

5 There would be minimal expected drug
6 interactions or one would be able to compensate for
7 those expected interactions. And there would be
8 minimal overlapping toxicities or toxicities that
9 would be manageable.

10 Issues are multiple. They have been
11 elucidated this morning. They include isolating the
12 benefit of a single therapy would not be simple given
13 the limitations on study design, a limited number of
14 new agents are available at any given time and they're
15 in different stages of development and there are
16 different amounts of available drug supply for these
17 types of studies.

18 New data in any trial, new data, safety or
19 efficacy, emerging during the study can affect the
20 conduct, outcome, and acceptability of the study
21 results. And certainly in the case when you're
22 combining more than one experimental agent, this is
23 even more so the case. There are limited data on
24 long-term safety in agents that are not yet approved.

25 The attribution of safety events can be

1 complicated with agents whose safety profile is not
2 completely elucidated. Unexpected drug interactions
3 can and do occur and can complicate the interpretation
4 of the study results. And unexpected safety issues
5 with one drug could affect the other drug or the
6 ability to complete the study. And this has certainly
7 occurred in cases even where there are less than two
8 experimental agents in a single trial.

9 Nonetheless, because of the strong
10 rationale for combining agents, there are a variety of
11 settings where the use of more than one experimental
12 agent has already occurred. These include expanded
13 access registration studies and nonregistration
14 trials.

15 In expanded access, the rationale is to
16 allow construction of more potent regimens and to help
17 avoid functional mono therapy, to make better
18 treatments available to thousands of patients before
19 commercial availability of the new products. And
20 because of this, most of the most recent expanded
21 access programs have indeed allowed the availability
22 of other experimental agents. And several of them are
23 listed here on this slide.

24 Next. What about the use of more than one
25 experimental agent in registration studies? This

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 slide lists some of the trials either currently
2 underway or previously conducted that have allowed the
3 use of other experimental agents as part of the
4 treatment design.

5 In most instances, these have been
6 included as part of the background regimen in some
7 instances as part of a combined part of the single arm
8 in the study.

9 Generally speaking, these are examples of
10 studies to date, but I think there are even different
11 types of designs that we could think of where we could
12 use experimental agents in ways that would be
13 beneficial to patients and perhaps with different
14 regulatory guidelines in a way that could allow for
15 either registration of single or both agents. I'll
16 discuss those, actually, or some possibilities when we
17 discuss clinical trials in the salvage setting.

18 Next. I would like to turn briefly to the
19 issue of the use of placebos. Obviously it is an
20 important component of the design of many trials.
21 This slide outlines the various registration studies
22 that have been conducted where placebos have been
23 obtained from other companies, some of the programs
24 more recent and some more distant.

25 In most instances, the patient population

1 has been either antiretroviral-naive or patients with
2 limited treatment experience. There are, of course,
3 multiple examples where placebos have been provided by
4 companies for nonregistration of government-sponsored
5 trials.

6 Next slide, please. But what about the
7 use of placebos in a salvage setting? In discussions
8 amongst the companies, it was felt that it was
9 unlikely that placebos would be requested frequently
10 in salvage studies, particularly in cases where there
11 would be multiple experimental agents.

12 Obviously if a single company is
13 conducting a trial with their own agent, they have
14 their own placebo. So this is an issue of really more
15 than one experimental agent and the placebos for
16 those.

17 There was a concern that it would add pill
18 burden and may decrease compliance. However, in those
19 settings where it did make sense, I know there has
20 been some discussion of factorial design this morning.
21 And if it could be agreed upon in a study like that,
22 then certainly the participating companies who do
23 supply the investigational agents could supply the
24 corresponding placebo.

25 Turning your attention to issues in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clinical trial design in salvage therapy, the issues
2 are multiple. Most of these have been touched upon
3 this morning. I won't reiterate them.

4 Next. What are some of the current
5 designs that are currently being used for clinical
6 trial designs by companies in treatment-experienced
7 patients? They include superiority designs. Looking
8 at regimen A, B, C, D and in a salvage setting, this
9 is most commonly an optimized regimen to which you add
10 either drug X or placebo.

11 One of the issues with this design in the
12 salvage setting or entirely treatment-experienced
13 patients is that the incremental drug benefit for a
14 fifth or a sixth drug is often small and difficult to
15 demonstrate.

16 Equivalence designs, where you look at
17 fixed regimen A, B, C, D and compare it to, for
18 example, A, B, C, X, where drug X is compared to drug
19 D. In a salvage setting, there really are no fixed
20 standard of care regimens to serve as the control.
21 And the contribution of drug X in the regimen, in
22 addition, the corresponding delta, the statistical
23 parameter used to calculate power, difficult to
24 estimate.

25 Next. Before turning to proposals for new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 study designs, I would like to touch briefly on issues
2 of endpoints. I know they will be discussed this
3 afternoon.

4 As was mentioned this morning, the
5 endpoints commonly used in other registration studies
6 in less experienced patients, such as percent below
7 detectable, is a categorical endpoint.

8 Unfortunately, response rates have been
9 low in most studies in treatment-experienced patients.
10 It may not be a sensitive endpoint for patient
11 populations with high levels of HIV RNA at baseline
12 and may miss clinically significant changes in HIV
13 RNA.

14 Next. Alternative ways of looking at the
15 endpoint of HIV RNA, such as looking at change from
16 baseline or average area under the curve, DAVG, may be
17 a better primary endpoint because it may be more
18 sensitive to changes that could be clinically
19 relevant.

20 This endpoint is currently allowed by both
21 U.S. and European regulatory guidelines and is
22 included in some antiretroviral labels. And, as you
23 know, meta analyses from studies in patients with
24 advanced disease conducted by the ACTG and FDA have
25 shown clinical benefit associated with about a two and

1 a half-fold reduction, about a .4 log change in HIV
2 RNA with a degree of benefit increasing
3 proportionately with the degree of reduction in HIV
4 RNA, 72 percent reduction, clinical progression for
5 one-log reduction in HIV RNA at 24 weeks in the
6 Marshener paper.

7 Next, please. Well, what about some new
8 study designs in this patient population? There are
9 no easy answers, obviously. So what we would like to
10 do is review just a few, some of those that have been
11 discussed in the context of this morning's discussion
12 and a couple of other proposals as well.

13 With respect to factorial designs -- and
14 this is the simple factorial. The optimized would
15 obviously have arm one deleted and just look at two
16 new drugs, A and B, AB and AB.

17 Next slide, please. Advantages would be
18 ideally can answer many questions with one study is
19 one way of including more than one experimental agent
20 in a study design. I think certainly those are
21 favorable aspects. A sample size could be larger or
22 smaller depending upon the numbers of questions asked.

23 Next. However, there are, as was
24 discussed this morning, some limitations of this
25 design. Included amongst those, if you do believe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that adding single agents to optimized therapy is not
2 indeed an optimal regimen, there would be in one type
3 of factorial design, at least, a single agent added to
4 background therapy.

5 If three agents were available, then
6 obviously that wouldn't necessarily be the case. But
7 it's possible that patients may be exposed to less
8 than optimal therapy, a significant number of
9 patients. The point of combining experimental agents
10 is because single agents have not been optimally
11 suppressive.

12 More importantly, however, from a
13 regulatory perspective is that interactions between
14 treatments can undermine study results.
15 Unfortunately, interactions are not uncommon,
16 treatment interactions. They can be based on PK,
17 virologic, or metabolic reasons.

18 The main effect of the single drugs A or
19 B in this kind of study design could be kind of
20 difficult to estimate in the presence of a significant
21 interaction between A and B.

22 It was mentioned this morning that if the
23 interaction is positive, it wouldn't negatively affect
24 the regulatory approval of either agents A or B.
25 However, if they're not positive, then it could affect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the likelihood of regulatory approval of those agents.

2 Unfortunately, there is actually
3 regulatory precedent for this where a recent example
4 of a registration study was invalidated, reviewed by
5 this Committee because of a concern regarding a drug
6 interaction in that trial. And this was Gilead Study
7 417.

8 For all of these reasons and in
9 discussions amongst the companies, I think most
10 companies feel that factorial designs are not an
11 optimum way to develop for regulatory approval drugs
12 in a salvage setting. However, they could be
13 considered for nonregistration exploratory trials.

14 Next. What are some other approaches? As
15 was discussed this morning, allowing use of other
16 experimental agents as part of an optimized background
17 regimen is certainly a possibility, a good design
18 option. It is currently being used in several trials.
19 And it is possible to include agents, not only in
20 expanded access but also earlier in development.

21 In this case, however, although two
22 experimental agents are involved in the regimen,
23 regulatory consideration is only being given for one
24 of those agents.

25 An alternative approach, which could be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 used for single drugs or for combinations, would be
2 very similar to the proposal, the two-part proposal
3 given by the FDA this morning or the European proposal
4 just presented by Dr. Vittecoq, where short-term
5 activity was assessed during an early period of mono
6 therapy or add-on therapy and longer-term safety was
7 evaluated in combination therapy.

8 It makes sense for the reasons that have
9 previously been elucidated, demonstrating antiviral
10 activity in the target patient population, limiting
11 time on mono therapy, development of resistance. And
12 on a regulatory basis, this method could provide a
13 more efficient way of identifying and making
14 commercially available new agents that could be used
15 for patients most in need.

16 Next slide, please. Very similar to the
17 design just mentioned, here drug X and placebo or no
18 therapy are evaluated over an early period of time.
19 The duration of mono therapy would be individualized
20 for each drug intended to limit the development of
21 resistance.

22 For those agents where development of
23 resistance was especially a concern, it would be
24 possible certainly to add drug X to the failing
25 regimen, instead of being evaluated as mono therapy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then all patients would receive drug X plus the
2 optimized background, which could include other
3 experimental agents.

4 Period of combination therapy, as
5 alliterated by Dr. Vittecoq, would be customized to
6 maximize benefit for each patient based upon
7 resistance testing, past treatment history,
8 tolerability, potential drug interactions, et cetera.

9 Next. A period of mono therapy would
10 provide evidence of antiviral activity in this patient
11 population and short-term safety and tolerability.
12 And it would be assumed that the short-term antiviral
13 activity should provide evidence of potential
14 long-term benefit in a fully suppressive regimen.

15 There certainly are many examples of other
16 drugs when given in naive patient populations that
17 when given as mono therapy would be quickly associated
18 with the development of resistance but when combined
19 in an optimally suppressive regimen can provide a
20 durable antiretroviral response for years. So the
21 same principle would be assumed to be applied here and
22 that the durability would be a function of the potency
23 of the regimen, not just the drug.

24 Period of combination would provide
25 optimal, potential optimal, therapy to all patients,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would examine durability, safety, tolerability. One
2 could also monitor patients for development of
3 resistance to experimental agent. There are various
4 variations on this proposal.

5 Certainly one is to look at placebo
6 control as part of the period of combination. Most of
7 the companies in discussing this I think favored a
8 proposal closer to the FDA proposal this morning,
9 where all patients would receive optimized therapy.

10 Next slide. This is a slightly more
11 radical version of the same proposal and in this case
12 attempts to make use of the benefit of using two
13 experimental agents together. And in this case, drug
14 A and B would really be considered as one experimental
15 therapy and, again, would be compared against either
16 placebo or no therapy and then combined as part of an
17 optimized regimen.

18 Here it would be assumed that the benefit
19 of drug A and B if it were indeed effective, that it
20 would be approved essentially as a combination therapy
21 itself but that the individual activity of these
22 agents and how they contributed to the regimen would
23 be worked out as part of studies ongoing in other
24 patient populations; again, the same issues.

25 There would be some regulatory issues

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 obviously involved with these suggestions. Could in
2 the first case of the single agent Drug X based upon
3 this proposal or in the case of the dual combination
4 all experimental agents be approved based upon these
5 types of studies? Would additional studies in the
6 case of combinations be required to clarify the
7 contribution of each agent to the successful regimen
8 prior to approval?

9 Again, there could be implications also
10 for negative study. These studies are conducted
11 without optimal information about how to use the
12 drugs. So if there was indeed a negative outcome from
13 the study, could it diminish the likelihood of
14 regulatory approval with a second positive study from
15 a different patient population and would it limit the
16 use of the drug in treatment-experienced or heavily
17 treatment-experienced patient populations?

18 So, in conclusion, general
19 recommendations. ICC member companies support the use
20 of multiple experimental agents in salvage therapy.
21 We do not favor a factorial design for registration
22 studies. Studies of short-term mono therapy combined
23 with longer-term combination for regimen consideration
24 is the basis for regulatory approval.

25 We do suggest that a positive study of two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 experimental drugs could support registration or
2 should support registration of both drugs for an
3 indication limited to that combination in salvage
4 therapy with data from further trials to be used to
5 extend the indication.

6 Paramount, given the discussion this
7 morning and the complexity issues, obviously
8 flexibility in approach to all of these issues, study
9 design, use of comparators and choice of endpoints, is
10 very important. And, really, each registration
11 package should be customized to the drug in a patient
12 population being explored.

13 Thank you.

14 ACTING CHAIRMAN GULICK: Thanks, Dr.
15 Rooney.

16 That concludes the people who signed up to
17 speak at the open portion of the meeting. Is there
18 anyone else who would like to make a public statement
19 who did not sign up?

20 (No response.)

21 ACTING CHAIRMAN GULICK: Okay. So we will
22 close the open portion of the meeting. I return the
23 Committee to our questions.

24 CONTINUE QUESTIONS TO THE COMMITTEE

25 ACTING CHAIRMAN GULICK: We have done

1 Question 1. Question Number 2 is specifically
2 considering some of the study designs. And I would
3 like to start with the three that Dr. Laessig
4 presented in her presentation this morning. Would it
5 be possible to get those slides up from Dr. Laessig?
6 Oh, great. That's a summary.

7 DR. MURRAY: That's the correct slide.

8 ACTING CHAIRMAN GULICK: Great. Let's go
9 with that. All right. I think I would like to
10 consider them in the order that you presented them
11 this morning. Let's talk about add-on, the add-on,
12 design first, which is number two on this slide.

13 So that's optimized background plus drug
14 A versus optimized background plus either a matching
15 placebo to drug A or no treatment. The charge to the
16 Committee is to identify the strengths and weaknesses
17 of this particular design.

18 DR. JOLSON: I just have one thing to
19 remember. When you look at optimized background,
20 that's with the assumption that access to drugs
21 available and expanded access is equally accessible to
22 both groups.

23 ACTING CHAIRMAN GULICK: And resistance
24 testing by the same regard.

25 Dr. Eron?

1 DR. ERON: One thing we have not talked
2 about with optimized background is actually to have a
3 requirement for people to be sensitive to two drugs,
4 let's say, in addition to the drug being studied. So
5 in a phenotypic assay or in a genotypic algorithm,
6 you're only eligible if, indeed, you are susceptible
7 to two drugs that are available to you.

8 I think that might be somewhat more
9 acceptable than kind of adding study drug A to anyone
10 who qualifies, whether their regimen can be truly
11 optimized or not. I don't know what other people
12 think of that approach to this design, but --

13 ACTING CHAIRMAN GULICK: Dr. Mellors?

14 DR. MELLORS: Yes. I think Joe has hit
15 the nail on the head. It depends on how optimized
16 optimized really is. If your phenotypic or genotypic
17 sensitivity score is one and you're looking at an
18 add-on therapy with a fragile drug, that's an
19 unethical trial. Okay?

20 If the average phenotypic sensitivity
21 score approaches three for the optimized background,
22 then adding another agent is a nice way cleanly to
23 demonstrate efficacy.

24 I don't want to go back to the morning.
25 That was the point I was trying to make. It's simple.

1 All of the comments are well-taken that if you have
2 lousy optimized background, it's a lousy design. And
3 this is one way to individualize designs for given
4 patient populations.

5 DR. ERON: And having a score of two or
6 three could be an entry criteria.

7 DR. MELLORS: That's correct.

8 ACTING CHAIRMAN GULICK: Dr. Cunningham?

9 DR. CUNNINGHAM: As I have said, the
10 advantage of that is it is clean and easy and it
11 certainly could only be done in people where the
12 optimized background is a reasonable background.

13 However, we know that even when the
14 optimized background is a reasonable background, the
15 failure rate is very high. So there would have to be
16 an early escape mechanism in that kind of trial.

17 ACTING CHAIRMAN GULICK: Dr. DeMasi?

18 DR. DeMASI: Yes. One of the issues that
19 I would like to bring up for discussion is in this
20 type of design, making the distinction between the
21 activity of the individual drug, the study during the
22 first two weeks, versus the efficacy of the regimen
23 within the trial that is being studied in the trial
24 and beyond that two-week phases what is needed in
25 order to demonstrate safety and efficacy of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regimen, including this new agent.

2 ACTING CHAIRMAN GULICK: And I think you
3 are reaching towards the next design, actually, which
4 is the two-part hybrid that -- let's stick for a
5 minute --

6 DR. DeMASI: Just to clarify, in the
7 two-part hybrid, you see that the treatment groups
8 actually come together. But what I am suggesting is
9 the necessity for an additional randomized phase of
10 the study beyond this two-week period to further
11 demonstrate or confirm the activity that you would see
12 during the first two weeks of the study.

13 ACTING CHAIRMAN GULICK: Ms. Dee?

14 MS. DEE: I don't know how you would do
15 that. And that would be interesting to see that
16 because, really, when you talk about switching -- I
17 think this is right. Maybe Victor or Dr. Hammerstrom
18 can comment on this.

19 Once you're over that eight weeks and then
20 you have an early escape switch point, which I think
21 that appears to be more ethical anyway, don't you
22 really have an eight-week trial? I mean, isn't that
23 the result of that? So the data is eight-week data.
24 Is that right or wrong?

25 DR. HAMMERSTROM: I don't think that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exactly right because you would say that at eight
2 weeks, those who have failed on the placebo are
3 allowed to add: A) open label. They essentially go
4 into an expanded access program.

5 But it need not be the case that everybody
6 will have failed on background plus placebo at eight
7 weeks. Perhaps 20 percent, perhaps 40 percent of them
8 have not failed and the rest of them would still be
9 going on. So you would still be collecting data for
10 whatever fraction of them haven't failed out to
11 whenever you make your accelerated approval decision.

12 In fact, if people continue out to 36 or
13 40 weeks on background plus placebo and still haven't
14 failed, you would still be collecting data on them on
15 the comparator arm, even relevant to the traditional
16 approval at 48-week data.

17 So what we would consider an eight-week
18 trial would be one where everybody stops on the
19 placebo arm at eight weeks and switches to accelerated
20 approval or switches to expanded access.

21 As long as you are proceeding on the
22 background plus placebo, you stay on that, at least
23 out to the scheduled end of the trial, which is 48
24 weeks, then we wouldn't consider it an 8-week trial.

25 And you really are getting a comparator

1 that goes all the way out there. It shows that on
2 optimum background plus placebo, you get a failure
3 rate that goes down like this and maybe 10 percent of
4 them are left at 48 weeks; whereas, on optimum
5 background plus A, you've got a failure rate that goes
6 down hopefully much less rapidly and 40 percent of
7 them are still succeeding out at 48 weeks.

8 ACTING CHAIRMAN GULICK: Dr. Pettinelli?

9 DR. PETTINELLI: I was just going to say
10 I agree with the statement that is being made. In all
11 the rest, even if you have like a one or two-year
12 duration, we have early escape for a single patient,
13 not for a trial.

14 I would also like to comment that the
15 add-on, as was stated by others of my colleagues
16 before, is indeed possible when the patients are
17 defined as being sensitive to drug at least. So,
18 again, we have to look very carefully to the patient
19 population.

20 I don't know how many of those patients
21 would be in that category. Probably the additional
22 two experimental drugs would be the most common
23 occurrence just because that will increase the
24 sensitivity.

25 ACTING CHAIRMAN GULICK: Dr. Saag?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SAAG: Yes. That's what I was going
2 to say. Actually, it's probably healthy to have the
3 morning discussion because it seems like we've got a
4 little bit more clarity this afternoon. And that is
5 that looking at the top one compared to the second,
6 the modified versus add-on, I think that's exactly
7 right.

8 If it's early, which is what our charge is
9 now, to look at people who at an early point failed
10 two HAART regimens, all classes involved, that the
11 add-on may be the wise choice if, again, you have
12 three drugs that are available and you're simply
13 looking for the fourth and, like John said and others,
14 it's clean. That's very nice for registration.

15 For the little bit more advanced, like,
16 say, you could almost have it in the same study where
17 you do an add-on if there is a score of three and if
18 the score is two or less than you go to the modified
19 factorial. And you could actually either have a
20 separate study that runs concurrently, but at least
21 you can cover the waterfront.

22 But for those patients who have -- I was
23 worried about the top one if you had three drugs
24 available for optimum background because then you're
25 comparing three versus five-drug regimens. An that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 probably too convoluted for somebody who is earlier in
2 the course of failure. So I think this may be a
3 consensus emerging that's kind of nice to hear.

4 ACTING CHAIRMAN GULICK: Dr. Mathews?

5 DR. MATHEWS: You know, the devil is in
6 the details, particularly I think up front, where we
7 are trying to define inclusion criteria and what
8 exactly is meant by optimized background regimen.

9 The notion of a sensitivity score based on
10 the resistance collaborative group I think is very
11 attractive, but obviously you have to assume that the
12 patients that are being screened for the trial are on
13 some therapy that makes the resistance tests at the
14 time they are screened interpretable.

15 You can imagine a number of situations
16 where people might have motivations to go off therapy
17 so that they would qualify for a trial.

18 So I don't know exactly how that is dealt
19 with. But, in addition to having a cutoff score of
20 two or whatever, one could further stratify the
21 randomization based on some measure of sensitivity
22 where there is clear uncertainty on what the potential
23 to respond is and the basis of background resistance.

24 That should include, actually, whatever is
25 known about the experimental agents because many of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these drugs are coming into trial where the resistance
2 profile is not fully characterized, particularly
3 thresholds for sensitivity.

4 ACTING CHAIRMAN GULICK: Mr. Levin?

5 MR. LEVIN: I want to modify what I said
6 this morning. So I'm glad we had all of the arguments
7 and everything because I have had a chance to think
8 about it and talk to some people. I do have some
9 concerns, and I want to express them.

10 I am concerned about drug interactions,
11 and I am concerned about making sure that every
12 regimen in a salvage study is somewhat equally
13 effective in being able to suppress virus, no matter
14 which arm it is.

15 Having said that, -- and there may be some
16 other concerns -- I don't want to rule out modified
17 factorials. So I want to change my opinion on that
18 and go on the record for that.

19 It does appear as though next year there
20 will be at least four or five or maybe more new drugs.
21 And so if you can find combinations that are somewhat
22 equally effective, I think that would be important.

23 I don't think I have a problem with 16
24 weeks. I think that that's okay as long as there is
25 an adequate antiviral activity, as said before, of at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 least a half a log.

2 One thing I want to add here, though, is
3 that we have not really spent a lot of time, adequate
4 attention to identifying toxicities and side effects,
5 including hepatotoxicity.

6 I would like to suggest that all of the
7 companies and the FDA get together and find some way
8 to maybe create a database for all salvage studies
9 where we could collect toxicities, hepatotoxicities,
10 and side effects and maybe come up with some
11 information; in particular, lipodystrophy but also, in
12 particular, what gets very little attention at this
13 point is hepatotoxicity. We really need some data on
14 people with hepatitis and HIV medications and what is
15 really going on.

16 ACTING CHAIRMAN GULICK: Thanks for your
17 comments. I would like to refocus us on the study
18 design. Let's people quickly move from the add-on to
19 the two-part hybrid study. Yes, Dr. Eron?

20 DR. ERON: The issue of bias in the use of
21 a placebo in this particular study and just to get, in
22 particular, the add-on study, to get people's comments
23 because if there is an early pop-off and the patient
24 knows that they're sensitive to two drugs, -- let's
25 say that is the cutoff -- there may be somewhat of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 disincentive if it's a no treatment control, as
2 opposed to a placebo control. I wonder if, Victor,
3 other people have thoughts about that.

4 ACTING CHAIRMAN GULICK: So you're
5 advocating for blinding, it sounds like, to deal with
6 --

7 DR. ERON: Yes. I mean, it would make
8 sense to me.

9 ACTING CHAIRMAN GULICK: -- the bias in
10 that situation.

11 DR. HAMMERSTROM: There is a way or
12 something we do in place of blinding. It's not as
13 good as blinding, but there are instances where, like
14 an injectable drug, it's basically unethical to inject
15 somebody with saline solution as a placebo. Well,
16 it's infeasible anyway for something like that.

17 What we want to see or at least what has
18 been proposed now is we want very rigorous criteria
19 that say this is what you should look like at week
20 eight to be classified as a non-responder and to get
21 into the expanded access.

22 I was given an example. It doesn't have
23 to be the right one. If you have dropped half a log
24 from baseline, if you've done that, you're a
25 responder. If you haven't done that, you're a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 non-responder and you're allowed to go to expanded
2 access.

3 If you have dropped half a log or a little
4 bit more than half a log but you want to go to the
5 expanded access anyway, then that kind of thing raises
6 a need for sensitivity analyses.

7 That's a sort of a biased differential
8 dropout. We would not want to see that too much. We
9 would basically like at the end those kinds of
10 switches shouldn't be occurring frequently enough to
11 be affecting the conclusion. So, at least up to now,
12 that is the way we compensate for absence of blinding.

13 You have a very rigorously defined exit
14 criterion. And the people who exit, even though they
15 haven't met that criterion, have to be subject to some
16 kind of sensitivity analysis to make sure that their
17 switching isn't the reason you are finally ducting
18 drug A is effective.

19 DR. ERON: Sure. And the concern that I
20 have there, though, is that there might be
21 differential adherence. So the people who are on the
22 no treatment arm would maybe not be as adherent to
23 their therapies such that they legitimately make that
24 cutoff.

25 I suppose one way around that would be

1 whether potentially at drug levels --

2 DR. HAMMERSTROM: Yes. That actually came
3 up on an IND we did. Did we come up with a solution
4 for that? The incentive for someone to cheat when
5 they're --

6 DR. JOLSON: It's a concern. It's part of
7 the concern of doing an open label study.

8 ACTING CHAIRMAN GULICK: Okay. Any last
9 thoughts on add-on before we move? Ms. Dee?

10 MS. DEE: You know, this would be maybe
11 the most attractive to industry, but it's probably the
12 least attractive to the patient population. So
13 hopefully somewhere we can both give a little because
14 if you're talking about the patient that the agency
15 described this morning, maybe they won't get in your
16 studies because they do have some other options and
17 they do have some time before they really are
18 "desperate," in quotes. So maybe they won't get on
19 your study and you won't be able to accrue it.

20 ACTING CHAIRMAN GULICK: Just to be
21 specific --

22 MS. DEE: The add-on, in other words, with
23 the placebo, the add-on plus A versus placebo.

24 ACTING CHAIRMAN GULICK: Would not be
25 encouraging to the patient --

1 MS. DEE: Right.

2 ACTING CHAIRMAN GULICK: -- because they
3 may randomize to a placebo?

4 MS. DEE: Right, right.

5 ACTING CHAIRMAN GULICK: Dr. Cunningham?

6 DR. CUNNINGHAM: Just briefly. I had made
7 a couple of comments about the add-ons. And then when
8 Dr. Saag commented, he said he felt that there was a
9 consensus about these being appropriate.

10 I guess I didn't want to imply that I
11 thought that that's -- I was trying to point out some
12 of the pros of the add-ons. I think the down side of
13 the add-ons is that you get less information about
14 drug interactions and you overall get less information
15 than the other types of trial designs.

16 So I am not sure that I would say that I
17 agree that there is a consensus that that is what we
18 should do. I think that in certain circumstances,
19 that is the appropriate trial design but not always.

20 ACTING CHAIRMAN GULICK: Okay. Yes, Dr.
21 Blackwelder?

22 DR. BLACKWELDER: Another comment on the
23 add-on. It sounded like there was a suggestion a few
24 minutes ago that you could continue to follow patients
25 beyond, say, eight weeks if eight weeks was the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 primary time of evaluating and just keep the placebo
2 group, whoever was left.

3 That is kind of problematic because you
4 don't have the randomized study anymore. You just
5 have a subset of them.

6 ACTING CHAIRMAN GULICK: All right. Dr.
7 DeGruttola?

8 DR. DeGRUTTOLA: I don't quite understand
9 that because I would think if you define a failure
10 endpoint and you say that as soon as people reach that
11 failure they can get access to the new drug or have
12 some other strategy, then you still have a full
13 randomized comparison between the two groups.

14 What you are doing is following patients
15 until they reach failure. Then they are contributing
16 their endpoint to the study. They are contributing
17 their endpoint to the randomized comparison. Then
18 after they reach failure, they can go on to another
19 treatment. So I believe that this approach can be a
20 full randomized comparison and not require subset
21 analysis.

22 I do want to agree with Dr. Cunningham's
23 point that while this may be the best design in some
24 settings, in other settings where you are interested
25 in looking at two new agents, the ability to study

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 them together and allow you to look at interactions,
2 both in terms of toxicity and efficacy I think is
3 important to consider.

4 Dr. Rooney mentioned that interactions can
5 sometimes complicate interpretation, but I think if
6 you are concerned about interactions, that is all the
7 more reason to do a study up front, like a factorial
8 or the so-called modified factorial, that allows you
9 to evaluate those interactions in a structured way if
10 you are talking about two drugs are ultimately going
11 to be used together.

12 ACTING CHAIRMAN GULICK: Dr. DeMasi, the
13 last word here.

14 DR. DeMASI: Yes. I just wanted to
15 clarify the point about the eight-week potential
16 switch and then looking at the eight-week and then
17 subsequent 16-week, statistical analysis, to compare
18 treatment groups.

19 I think that, echoing Dr. DeGruttola's
20 comments that we're looking at an endpoint of
21 cumulative virologic failures up through week 16. And
22 a virologic failure that would occur prior to that
23 which would allow patients to go on to a new drug
24 would contribute to that week 16 analysis.

25 And for a more conventional or a change

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from baseline type of a metric, if a patient switched
2 at week eight, you could carry that observation
3 forward in terms of the RNA result at week eight to
4 week 16 when you did the week 16 interim analysis.

5 ACTING CHAIRMAN GULICK: Thanks.

6 DR. BLACKWELDER: You might want to do a
7 comparison just of that subgroup with --

8 ACTING CHAIRMAN GULICK: Okay. We're
9 moving on to the two-part hybrid, which many moved on
10 to right away. Dr. Schapiro?

11 DR. SCHAPIRO: So regarding the two-part
12 hybrid, by the way, Trip, I think regarding
13 terminology, if "salvage" is based, I think "two-part
14 hybrid" is a tough deal. I heard you snickering and
15 saying something about me being a two-part hybrid when
16 I got up. I think we should find a better name for
17 that.

18 To summarize my comments on it, I think we
19 did hear from some of the speakers. I would say we
20 are able to get a lot of information from this type of
21 study.

22 I think we do have two phases. Not to go
23 over endlessly, there are differences. We are looking
24 not only at the ability to get patients undetectable.
25 We are looking at the ability to get them down. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think Mike Saag and Steve Deeks made these points at
2 sometimes. 0.7 log can be great. So we want to
3 differentiate between the two of them.

4 I do think that initial number of weeks
5 that we look at the drug itself does give us the
6 opportunity to see: Is it potent, to what degree it's
7 potent? And I think to some degree, we get some
8 toxicity adherence also in that short phase. If a
9 drug really is hard to take, we can sometimes tease
10 that out in that short period of time.

11 And then the additional phase, which I'm
12 just calling month or maybe a year here, we get the
13 additional information about going undetectable. And
14 we get some of the more CD4 adherence.

15 I think this is different for different
16 drugs. I don't think you can give it as a number of
17 weeks necessarily. I think it depends which drugs
18 you're studying.

19 I think the key factor which was brought
20 up earlier is that you don't want resistance to be
21 generated in that time. I think for an NNRTI, this
22 might not be appropriate. We have data that one or
23 two doses can be enough.

24 So we can't make sweeping suggestions
25 regarding this. I think the two-part hybrid, for lack

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of another word for it, would not be appropriate for
2 an NNRTI. The way we see them today, it probably
3 would be appropriate for PIs and NRTIs. And we maybe
4 could slide it between two or three weeks depending on
5 what is being looked at.

6 A concept I think that we heard from
7 Professor Vittecoq earlier which is being looked at is
8 the degree of the slope. We don't have a lot of
9 information on this, and we have to remember this will
10 not predict necessarily long-term failure.

11 I think that John Mellors presented some
12 data that long-term success is not necessarily
13 dependent on just how potent you want. We know that
14 there are other factors. If we want to see how potent
15 the drug will be, the slope may be beneficial.

16 There is a study being done now at
17 Stanford and in Holland with Anders DeLoupa being the
18 PI on this where you take frequent measurements over
19 a short period of time and possibly will be able to
20 look at the slope. It may be that this would suggest
21 a more potent drug than this than this.

22 I don't know if ultimately this will work
23 out, but I think if we are going to be doing these
24 type, I would encourage people to try to see if this
25 works or not.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It may be that there is too much noise.
2 It may be that it doesn't work out. But based on the
3 pathophysiology of the disease and the impact that we
4 have seen from some of the dynamic studies, there may
5 be something here. And that might be something which
6 we can use as another measure. Again, how potent is
7 the drug?

8 Now, I think something we should also use
9 that initial phase for is probably here. In this
10 phase, we would want to try to delineate again with a
11 specific resistance profile how much kick you get from
12 that drug.

13 And I think that by taking a resistance
14 profile at baseline, a genotypic and/or a phenotypic
15 study, and then at the end of this initial stage
16 looking at the drug level and also looking at the
17 virologic impact, be it the reduction, the change, the
18 slope, whatever we have, we can then try to tie in
19 this correlation.

20 We will want a drug level which will tell
21 us the exposure. And then we will want to put
22 together these three parameters and come up with a
23 statement which will tell us that if you have this
24 genotype or phenotype and you obtain this drug level,
25 then this will be your viral load response. We can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 then take that and use it in other patients.

2 I think, as we discussed earlier, this is
3 something that we can take home and we can use in
4 different patient populations. When we see that
5 phenotype or that genotype, we will -- and it doesn't
6 have to be the exact same patient population. Anyone
7 who has that, we now have data that with this dosage,
8 you should get that response.

9 We don't necessarily have to repeat this
10 study to all of the different populations because we
11 have correlated a specific baseline resistance with a
12 virological response.

13 True, it's short-term, but maybe if we
14 look at it in a number of ways, it will be quite
15 robust. And, again, if we add in here the drug level,
16 we will be able to determine for that dose we can try
17 to quantify how much effect we could get.

18 And I think Dr. Jolson made a good point
19 earlier that we have to look at patients that are a
20 little bit less advanced.

21 I think it's an excellent point. Thinking
22 about it, I think the real difference this morning in
23 the discussion, the difference between the deep
24 salvage and I think the very appropriate group
25 determined by the FDA is class resistance versus drug

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 resistance.

2 I think deep salvage means patients who
3 have class resistance to all three; whereas, the
4 patients you guys are defining I think in a very nice
5 way are patients who may have resistance to drugs in
6 the three classes but not necessarily class
7 resistance.

8 Therefore, they may be appropriate to
9 different degrees to look at this. We may be able to
10 take this data, then, and look at it, even in the deep
11 salvage.

12 ACTING CHAIRMAN GULICK: Thanks.

13 DR. SCHAPIRO: Thank you.

14 ACTING CHAIRMAN GULICK: Dr. DeMasi, you
15 have another design that's also the two-phased, can we
16 call it? How is that? Bridged phase?

17 DR. DeMASI: Thank you.

18 I just wanted to take this opportunity to
19 present this additional design here, which I think
20 summarizes or encompasses many of the points that have
21 been addressed today and discussed this morning and
22 this afternoon.

23 Essentially what I have done is I have
24 focused this design in terms of a very specific phase
25 of drug development, namely a Phase II study. It's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 randomized control trial looking at two doses of your
2 drug compared to a placebo or no treatment background.

3 Essentially what I have here, I have
4 called it the bridged phased I/III randomized control
5 trial because I do think it contains characteristics
6 of both Phase I, obviously the Phase II, and a Phase
7 III study.

8 Essentially the basic study design is that
9 patients are randomized to one of the two doses of the
10 drug plus the optimized background regimen with either
11 a placebo or no treatment. So everyone is able to get
12 the optimized background regimen with additional
13 investigational agents.

14 I think the importance of this study is
15 because in today's discussion, I think there may be
16 some under-emphasis of the importance of finding a
17 correct dose for your drug to maybe take into a
18 factorial design or a strategy trial or a pivotal
19 Phase III study. And in doing this type of a design,
20 where you can actually determine the dose, you can
21 bridge some of the information you learn about your
22 drug in Phase I to Phase III.

23 So more specifically, I have proposed
24 here, similar to what has been proposed as a two-part
25 study with a randomized comparison of viral load

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 response in the first one or two weeks. And the study
2 is actually powered to detect differences in viral
3 load. That estimate of the number of patients
4 actually comes from your broad-based, dose-ranging
5 study in Phase I.

6 The second phase is -- and I have
7 specifically noted activity here in terms of the
8 activity phase. The second phase is more of a
9 comparative/efficacy phase of the study in which you
10 maintain the randomized feature of the trial through
11 some period; for example, 12, 16, 20 weeks.

12 And here in this example, I have noted 16
13 weeks. At that point, you could actually based on an
14 interim analysis of the data roll patients into the
15 optimum dose regimen, either A or B, and follow these
16 patients to generate long-term safety data. In terms
17 of building your safety database, that would
18 contribute to an NDA submission.

19 I think a unique feature of this design
20 because you have patients who are on the new drug plus
21 additional investigational agents is that you can
22 build into the fourth phase a randomized, potentially
23 randomized, withdrawal study in which you randomize
24 patients to either continue or withdraw from the
25 investigational agent and you can look at the delta in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 terms of an RNA rebound over one or two weeks from the
2 RNA value at the time that he discontinued the
3 investigational agent.

4 Just to complete the discussion, I want to
5 summarize some of the points here. Obviously, also
6 mentioned earlier, in terms of the features for the
7 Phase I, for example, exploratory interim analyses of
8 the two-week data could be conducted to look at
9 correlation of genotype and phenotype by baseline with
10 initial virologic response and also the PK/PD
11 modeling, as was just mentioned.

12 I think in terms of some of the other
13 features, the switch option could be built into the
14 study here, for example. It's at eight weeks. So
15 this would allow patients to switch either to the
16 investigational agent or potentially an optimum or
17 good dose of the investigational agent.

18 Additionally, I just want to conclude by
19 saying based on this, I think, study, which could be
20 done in a pretty fairly broad population, that
21 additional exploratory and subset analyses could be
22 used to refine the population that could be studied in
23 a more definitive and larger Phase III study, although
24 I believe that if you can demonstrate differences in
25 terms of safety and activity during this phase of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 study, the randomized phase, through an intermediate
2 time point, that this could be suitable for submission
3 for a supportive study or a pivotal study.

4 ACTING CHAIRMAN GULICK: Comments? Dr.
5 Fletcher?

6 DR. FLETCHER: I just want to say that I
7 would be a strong proponent of this design. I think
8 there are numerous attractive features to it. In
9 particular, the ability to study early on, the
10 pharmacokinetic characteristics in your Phase I move
11 into two doses so that you can really, then,
12 understand what is going on.

13 Certainly a variant, instead of two doses,
14 could also be two concentrations. So the idea of a
15 concentration-controlled study has come up. So,
16 instead of randomizing to two doses, you could
17 randomize to two levels of exposure as well and then
18 from that work into your Phase III, where now that you
19 have an understanding of your concentration-response
20 relationship, you could then do a refined dose and
21 probably simplify it into Phase III.

22 I think in my mind, a particular
23 attractive feature of this is that if we continue a
24 current approach in treatment-experienced patients of
25 trying to determine virologic characteristics, whether

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they be genotype or phenotype, but still continue to
2 apply the same dosing strategies that we use in naive
3 patients, we are doomed to failure.

4 If potency of a drug is some function of
5 concentration to susceptibility, that ratio in a
6 treatment-experienced patient is not going to be the
7 same as in a treatment-naive patient. And so there
8 has to be a method by which you can use that ratio or
9 some other approximation of potency and learn about
10 what it is in naive and begin to apply that into
11 treatment-experienced patients.

12 One difficulty I have to mention in all of
13 these, which has been brought up numerous times under
14 the heading of drug-drug interactions, is we can't
15 underestimate what a serious challenge that is going
16 to be to have knowledge of drug-drug interactions
17 before you launch into these types of studies.

18 If you think about, say, an optimized
19 background, maybe it is not even the same among all
20 patients. So maybe we allow six drugs to be used in
21 an optimized background and then you wanted to add on
22 two more drugs.

23 You now have eight drugs that can be taken
24 five at a time, I think, if my math is right. And the
25 amount of drug-drug interaction knowledge that you are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to have to have becomes enormous.

2 Now, this design, the bridge one, does
3 help in that because in that if you want that two-week
4 lead-in phase, if you're committed to it, you could do
5 an awful lot of learning about what drug-drug
6 interactions are there and use that in a very fast
7 approach to modify anything that is surprising or way
8 out of the realm of what you would want.

9 ACTING CHAIRMAN GULICK: Dr. Mellors?

10 DR. MELLORS: Yes. Just a couple of
11 points. I like the two-week lead-in phase because
12 it's, again, clean and you can do PK/PD modeling, but
13 there is risk. Rather than say a given class should
14 or should not be applied to this design, like NNRTI
15 might not be good or fusion might or might not or PI,
16 it really depends on the characteristic of the drug *in*
17 *vitro* and what the genetic and pharmacologic benefit
18 is to resistance.

19 So you can make some estimations that a
20 drug would or would not be a good candidate for this.
21 So that is one caution. The other caution is -- and,
22 Ralph, it is very ambitious, and it is really kind of
23 pushing the envelope, but that is asking an awful lot
24 of a trial to accomplish all three phases.

25 I would be happy if I got two phases

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 completed. The caution I have is that you want to
2 make absolutely sure after the two-week mono therapy
3 lead-in that you haven't done any damage to the
4 response to the drug, namely you want to make sure
5 that you haven't selected resistance and diminished
6 activity.

7 So I am in favor of the design, not
8 necessarily for registrational purposes because it is
9 asking an awful lot of a single trial to go from Phase
10 I dose-ranging mono therapy through Phase III
11 registration because it may be successful if
12 everything goes right, but chances are you will learn
13 some information in the first and second phase that
14 modifies the Phase III design.

15 ACTING CHAIRMAN GULICK: Dr. DeMasi, a
16 response?

17 DR. DeMASI: Just to address a couple of
18 the points, I think in terms of the suitability of the
19 study design, I agree that it does depend on the
20 particular drug that could be used in this type of
21 study.

22 In the two-week lead-in phase compare an
23 activity was presented as an example. And that could
24 vary because of the resistance profiles of a
25 particular drug to seven to ten days perhaps. And in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 terms of the other coin, I agree that in terms of the
2 logistics of the study, it would be a difficult study
3 to conduct, but I do think it is feasible because if
4 you look at the study design, the additional piece
5 that you're carrying over that's there that's not in
6 the two-part hybrid is the additional randomized phase
7 beyond the two-week study period.

8 So I do think it is feasible to continue
9 the study beyond two weeks and collect and look at the
10 comparative contribution of the study drug in two
11 different doses versus a background and no placebo.

12 ACTING CHAIRMAN GULICK: I'll take two
13 more comments. Dr. Eron and then Dr. Falloon.

14 DR. ERON: In the FDA design that was put
15 up, there is no control arm. Is that correct? Is
16 that --

17 DR. HAMMERSTROM: Can we put up the slide
18 that had our three trials up on it again?

19 DR. ERON: The problem with that is I
20 don't understand how you have any certainty that the
21 prolonged effect is not just due to the optimized
22 background. In fact, Trimeris has already done this
23 study.

24 DR. HAMMERSTROM: This is exactly. One of
25 the questions that I have with this design is that if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the statistical analysis is straightforward, you've
2 got three comparative arms. It's easy enough to do
3 statistically valid comparisons.

4 What is going to happen between day 10 and
5 week 24 is that at the end of week 24, you're going to
6 have one sample. And you're going to look at that.
7 You're going to look at change from baseline or change
8 from day ten level and test: Is that change from
9 baseline less than zero?

10 So what this amounts to, if you're going
11 to conclude from that that this is evidence of
12 durability, the effect, it amounts to a historic
13 control data in which the historic control is, in
14 fact, everybody's subjective impression of their case
15 series that had they stayed, we know, everybody knows,
16 without looking at any more data that if you stayed on
17 a failing regimen, what would happen to you after 24
18 weeks would be that you would go up. If you get a
19 statistically significant decrease, then that's
20 evidence of effect.

21 I would like to know: Is that convincing
22 to other people? What else would you need to this
23 trial for that test to be convincing because if anyone
24 does this at the final review, that's the question I'm
25 going to have to or we're going to have to answer?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. JOLSON: The study that I'm thinking
2 about is the T-20 study, where they gave exactly --
3 you know, it was 28 days of T-20. And there was a
4 hiatus, but then people got optimized therapy and T-20
5 with no control.

6 Certainly every time I have presented that
7 study people have said, "You can't make any
8 conclusions about the impact of T-20 on that outcome"
9 because I don't think there's any way to know whether
10 the optimized background is what's driving the
11 antiviral response. And it ends up being, "Well, I
12 know that these patients in my clinic would have never
13 done this well."

14 DR. MURRAY: First of all, I wouldn't
15 envision this for a new class of agent. I would
16 envision it where because the second part, the hybrid
17 part of it, as you're doing a prospective observation
18 or cohort or are you actually using the heterogeneity
19 of the population in terms of their viral isolate
20 sensitivity to make a conclusion about what was
21 happening at the end, kind of similar to the 957
22 Kaletra study?

23 So it's randomized at the beginning, and
24 you know that at the beginning if the new drug was
25 contributing because if it wasn't contributing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 anything, you wouldn't see any response at all
2 probably during the first ten days to two weeks.

3 You know, the difference between that and
4 maybe the T-20 study you're talking about is, too,
5 that you're also seeing the initial contribution of
6 optimized background. So it would be unlikely that a
7 drug which was contributing, let's say, a log in the
8 first two weeks was having absolutely no effect at the
9 end. Of course, that's a bit of assumption.

10 But then I guess I would envision them
11 tightening up the study at the end of 24 weeks with
12 either to do a dose-response or you could do some --
13 at that point it becomes not uncontrolled but not
14 randomized, an observational cohort, where you're
15 using your baseline sensitivity.

16 I would agree this is a controversial
17 design. We have not used it for registration in the
18 past. We are trying to think of if you couldn't have
19 corroboration, if you didn't feel comfortable about an
20 add-on -- and I might add on an add-on, you do have to
21 worry about using up your optimized backgrounds.

22 I mean, how many chances do you get with
23 optimized backgrounds? So if you failed it, at the
24 time you are ready to have your escape option, then
25 what's your optimized background?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Anyway, so we're coming with maybe what
2 would be a possible third option? And then we've
3 gotten these. I think this is a bit similar, maybe to
4 the EMEA proposal, and then we've seen some other
5 proposals.

6 Then we got some I think pretty good
7 information for lopinavir with a design somewhat
8 similar to this, although they didn't have the initial
9 mono therapy period in that trial, but they did in
10 other trials.

11 So I realize it is controversial, but if
12 it isn't, if that last part, the second phase of it,
13 does not appear to be strong enough, we would like to
14 hear that.

15 ACTING CHAIRMAN GULICK: Dr. Falloon?

16 DR. FALLOON: In going back to the
17 question of what to do in the early part, there's a
18 piece that all of these trials should define, that is,
19 in essence, a mono therapy piece. An important piece
20 of information for treating people with the package
21 insert would be: What can predict who would respond?
22 That's very hard to do when you have multiple
23 complications. That makes some lead-in period
24 attractive.

25 When we thought about how to look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 slopes and how to look at responses over a short-term
2 period, the problem is complicated by where people
3 start because they don't start -- when they start at
4 drug-naive, they start at some sort of baseline set
5 point.

6 When they're starting on therapy, they're
7 starting generally not at some baseline because we're
8 not here. You're not talking about people who have no
9 drug options. So they're partially suppressed.

10 So what you do with their old regimen and
11 what they have been on at the time you do your
12 resistance testing has a major impact on what happens.
13 And it's extremely confusing because you get shift,
14 and I don't know how fast shift reverts.

15 So if you want to talk about over two
16 weeks, you take somebody that you're going to look at
17 a new NNRTI -- I don't even want to get into whether
18 two weeks is too long for that, but they have had
19 shift. They no longer have the 103.

20 Is that the question that you want to ask
21 in that population? We have some plans for these
22 trials, and those are some of the questions that we
23 look at.

24 To my mind, they are not answered. And so
25 a very short lead-in, while it's extremely appealing,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is very difficult to interpret.

2 ACTING CHAIRMAN GULICK: Ms. Delph, the
3 last word. Then we're going to move on.

4 DR. DELPH: I just wanted to comment on
5 the proposal, what I understand to be Dr. DeMasi's
6 proposal. I couldn't see it very well from here. So
7 I may have misinterpreted it. But I have a lot of
8 concerns about the bridged Phase I/III trial of moving
9 from a situation where you are still trying to do dose
10 finding and come up with an appropriate dose into
11 treating a salvage population.

12 I think before we embark on salvage
13 trials, we need to have a good idea of what dose of
14 drug is likely to be effective. I think we also need
15 to have adequate interaction studies done, drug-drug
16 interactions and not just two-way interactions but
17 three-way and four-way if necessary drug interactions,
18 so that we have a reasonable idea beforehand of what
19 drug level, what drug dosage, is likely to be
20 effective in these patients. They have a lot to lose,
21 and they have a narrow therapeutic window. They are
22 very susceptible to toxicity, and they have highly
23 resistant virus.

24 Having said that, a lot of the PK studies
25 and dose-finding studies are done in very small

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 populations and populations that I think that are
2 unlikely to be typical of salvage patients, who are
3 particularly susceptible to toxicities and who have a
4 number of comorbidities as well often.

5 So I do think that these studies should
6 also include some form of drug monitoring. Now, I
7 don't want to open Pandora's box of whether we're
8 doing C_{\max} or C_{\min} or AUC or whatever. I think we may
9 be able to discuss that sometime later, but I think we
10 need to do some sort of monitoring of drug levels in
11 these patients because we really at the end of the day
12 cannot predict what sorts of drug levels we're going
13 to get with the kind of regimens that we are going to
14 be giving these patients.

15 I certainly share Dr. DeMasi's desire to
16 get drugs to patients quickly, but we do want to do
17 that in as safe a way as possible. I think that the
18 patients who often most need these drugs, who are in
19 deep salvage, I agree totally with Dr. Mathews that we
20 should not be requiring these patients to get into
21 trials to have access to them.

22 I think that we need to ensure that
23 expanded access programs are opened up to patients who
24 have no other options available at about a time that
25 we're going into these larger Phase II/III studies.

1 And that I think is what we need to ensure.

2 ACTING CHAIRMAN GULICK: Thanks.

3 Let's go on to consider the modified --

4 DR. WONG: Could I just make a comment?

5 I haven't said anything.

6 ACTING CHAIRMAN GULICK: Okay. Dr. Wong,
7 who hasn't said anything?

8 DR. WONG: I haven't had a chance to
9 answer the question at all. So I think that one of
10 the things that comes across to me from the whole
11 day's discussion is that in different groups of
12 patients with highly experienced patients with HIV,
13 the question is different.

14 And I think that each of these study
15 designs and others that have been proposed really are
16 capable of addressing different kinds of questions.
17 In those populations, the right design to pick is the
18 one that addresses the clinically relevant question.

19 I would just urge that the agency -- and
20 I think they do this now, but I think I would like to
21 hear them kind of reassert that they would consider
22 approving a drug for a narrow indication if the
23 utility of that drug for that narrow indication was
24 established and not necessarily demand that the
25 studies address all or even multiple possible

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 utilities so that whether or not -- I mean, clearly
2 all of these designs have advantages and
3 disadvantages, but I think each of them is clearly
4 capable of addressing a clinically relevant question
5 in some population. And I would suggest that that be
6 the key consideration.

7 ACTING CHAIRMAN GULICK: Okay. Let's move
8 to the modified factorial design, which is the first
9 one up there. So we're talking about new therapies A,
10 B, and C with optimized background A plus B versus A
11 plus C versus B plus C versus all three together.
12 Victor, can you start us off?

13 DR. DeGRUTTOLA: Well, I think that one of
14 the issues here is that: If you have multiple drugs
15 that you're interested in studying, can you look at
16 combinations of those drugs right from the start?

17 The advantage of being able to do that is
18 to be able to investigate interactions between drugs
19 and also perhaps to increase your efficiency in
20 answering multiple questions.

21 This so-called modified factorial is
22 actually not in a factorial layout, but as I
23 understand it, what it allows you to do is use half of
24 your patients who are enrolled to answer each
25 comparison of A versus B, A versus C, or B versus C.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And that provides some of the advantages of a full
2 factorial but not all of the advantages in that you
3 can't study all of the interactions and also you don't
4 have the full efficiency.

5 I would say that the kind of design that
6 you would want to use in this context would depend on
7 what treatment options were acceptable to patients.

8 Let's say you had two new drugs, just A
9 and B. If the layout of a randomization of A to
10 placebo and B to placebo led to treatments that were
11 acceptable, clinically acceptable, to patients, that
12 would have an obvious advantage, full power to look at
13 each one of the comparisons, and reasonable power to
14 look at interactions, or at least the best power you
15 could get to look at interactions. But obviously
16 that's only appropriate in settings where it leads to
17 treatments that are acceptable to patients and
18 physicians.

19 In cases where it would not lead to
20 acceptable treatments because giving patients only one
21 new drug would be inappropriate for reasons that John
22 Mellors mentioned, then I think that this design does
23 offer some advantages, ability to look at some
24 interactions in the start and some improvement in
25 efficiency in terms of doing the comparisons of A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 versus B, A versus C, and so on, even though not the
2 full efficiency of a factorial design.

3 So I think it would be very specific to
4 the setting and the particular treatments whether they
5 could lead to acceptable regimens for patients and
6 physicians.

7 ACTING CHAIRMAN GULICK: Dr. Saag?

8 DR. SAAG: This is what I was saying
9 earlier. I think in keeping with what Victor just
10 said, that it really depends on the population.
11 Keeping with the definition that the group wanted to
12 use, two HAART regimen is an all three class exposure
13 at a minimum. Then you can go to a resistance test.

14 This doesn't all have to be one study, but
15 it could be where you take patients who meet that
16 criteria, you do resistance analysis. It could be
17 with or without PK, et cetera. You can make it fancy
18 if you want. But if there are three reasonable
19 options or more, then you do the A, B, C versus
20 placebo.

21 This is the clean study we have been
22 talking about. This is where drug companies can
23 collaborate and you can have three different companies
24 with each of their agents, A, B, and C, and get
25 something done that has a lot of meaning.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In that same population, if you have fewer
2 than three options, then the optimized background
3 therapy is not satisfactory. Just add a single agent.
4 That's what we have been talking about. And that's
5 where you go to the two drugs or maybe even three
6 together.

7 And so the point is that, no matter what,
8 the patients are getting three or four drugs that
9 should work based on at least reasonable activity.
10 And this is something you could use, I would think, as
11 a registrational study.

12 I mean, the big picture, throwing it all
13 together in one trial, feasible maybe. Maybe you want
14 to split. That's with the blue line. Maybe you have
15 one study like this and one like that, but the
16 attractive thing from a clinical perspective is that
17 when I'm recruiting for studies, more times than not
18 it's really getting frustrating as an investigator
19 because we have a lot of studies on the board.

20 If somebody comes in and with the new
21 requirements, if there are no exemptions for anything,
22 a lot of patients we sell the study concept to. And
23 we find there is one part that kicks them out.

24 So the notion of having a large study that
25 can attract a lot of patients, you don't have to worry

1 about that. You let the entry criteria define them
2 into their arms, rather than out of the study. That
3 would be attractive as an investigator.

4 The other part because we're talking about
5 industry collaboration, -- and this is maybe where the
6 ICC comes into play -- another limitation we have as
7 in aside that will help speed this study to completion
8 is that some of our folks, believe it or not, still
9 can't get access to optimized background therapy, at
10 least easily.

11 And so while it is difficult to have a
12 whole pharmacy's worth of drugs for the study to
13 complete, if we could find a way to provide the entire
14 regimen, rather than just the investigational part,
15 that would speed accrual and get the answers faster in
16 certain populations of patients.

17 ACTING CHAIRMAN GULICK: Other points on
18 factorial? Mr. Hogan?

19 MR. HOGAN: With regards to what Victor
20 was saying about it not being a true factorial, that's
21 true. What we are calling it in the Coalition for
22 Salvage Therapy is a minimum number of factors
23 factorial because we basically have just taken out all
24 of the smaller cells.

25 It strikes me listening to this whole

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 discussion that the biggest challenge all of us face
2 in the room, collectively and individually, is there
3 are gray areas in two specific places. One is the
4 tension between public health and drug approval. And
5 the other tension is between drug access and research.

6 Unfortunately, I keep hearing the
7 conversation flip back and forth where people are
8 talking about what is the best care for their patient,
9 as opposed to what is the best research.

10 I am certainly not advocating Dr.
11 Mengele's solutions where we do the best research at
12 the cost of care to patients. I think it is very
13 important to separate out those issues.

14 In particular, one place where I think
15 there was a confusion with the other respect is when
16 people mention if there is interaction, a factorial
17 study is not viable.

18 I believe it was either Victor or Courtney
19 who pointed out: Well, yes, that's true if you want
20 a scientifically rigorous examination of the
21 components, but if you want to know which drug
22 strategy is worthwhile, then it doesn't really matter.
23 Whichever is the winner is the winner. So there is
24 that public health/regulatory tension.

25 So I guess, really, what I am saying is I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think it is very important that we pay attention as we
2 have discussion to discriminating on both of those
3 fronts because I do think that all of these issues are
4 getting mixed together.

5 I know when I discuss these issues, I mix
6 them together all the time: patient care, research.
7 Public health/regulatory is a very hard discussion to
8 have.

9 DR. SCHAPIRO: But it is mixed together,
10 but that is exactly the issue. I think what Mike is
11 saying is we are investigators and we are doctors and
12 there is a true tension there. If there wasn't, I
13 mean that that's a real issue. It's not separated
14 because it is not separable.

15 MR. HOGAN: But the discussion needs to
16 acknowledge that.

17 DR. SCHAPIRO: I think we all accept that
18 that if we could -- leaving Mengele out of this, I
19 think we all realize that there is a tension in each
20 one of us to do research for the benefit of all but
21 not do harm to our patient. And that is why this
22 continually gets mixed up because it actually is. You
23 have one patient to make a decision on, and each
24 patient is one patient.

25 ACTING CHAIRMAN GULICK: Dr. Mellors, then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. DeGruttola.

2 DR. MELLORS: I don't spend my day
3 contemplating clinical trial design, but we have taken
4 an eight-cell factorial and conveniently removed what
5 appear to be unattractive arms.

6 I am not convinced in looking at this
7 design whether we have the same ability to discern
8 individual drugs' contribution to toxicity when we
9 don't have an arm without drugs in it. Still, I would
10 like some clarification from the people who do spend
11 their day thinking about these issues whether we lose
12 anything by lopping off half of the cells.

13 ACTING CHAIRMAN GULICK: Dr. DeGruttola?

14 DR. DeGRUTTOLA: Yes. You clearly do lose
15 something by lopping off the cells. It is exactly the
16 clarity of being able to examine all of the
17 interactions.

18 The simple basic factorial design of A
19 versus placebo, B versus placebo if it is acceptable
20 to patient is the best way I think to investigate
21 interactions, both in terms of toxicity and efficacy.
22 You can examine all of the effects, the main effects
23 as well as the interaction.

24 In this design, which is four of the eight
25 cells from a full factorial design -- it's not a

1 fractional factorial. There is a way of taking four
2 of the eight cells in something that is called a
3 fractional factorial that would maintain many of the
4 benefits of the full factorial.

5 This is not a fractional factorial, but it
6 still does allow you to investigate some interactions.
7 So it still may be useful as a structure design, even
8 though it is not optimal. It is not optimal in terms
9 of power, and it is not optimal in terms of studying
10 interactions.

11 But, for example, if that design were AB;
12 AC; BC; and A, B, and C, supposing that you saw
13 toxicity whenever A was combined with B, so you saw
14 toxicity in the AB and the A, B, and C but you didn't
15 see toxicity in the AC or the BC arm, then you might
16 be able to make some investigation of the fact that
17 your toxicities don't seem to be associated just with
18 A, just with B because you do have those two arms
19 alone but only in arms that have A and B occurring
20 together.

21 If, on the other hand, you saw the
22 toxicity whenever you had B, whether or not A was
23 there, then that might give you more reason to believe
24 that it was B that was contributing to that effect.
25 But the fact that you don't have the full factorial,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just as John Mellors states, does mean that you don't
2 have the full power to investigate all of the
3 interactions.

4 There is certainly a trade-off. The only
5 reason for doing this design, less desirable
6 statistically, is if it were the only one that came up
7 with acceptable treatment options, you could still
8 learn a lot from it.

9 MR. HOGAN: Just one point of
10 clarification. Every drug is absent in a least one
11 arm.

12 DR. DeGRUTTOLA: Well, that's different
13 from the design. I was referring to this design here,
14 which is the AB; AC; BC; or A, B, C. So I think you
15 may be talking about a different --

16 MR. HOGAN: AB; BC; A, B, C; correct?

17 DR. DeGRUTTOLA: And AC.

18 MR. HOGAN: Right. So every drug is
19 absent from at least one arm.

20 DR. DeGRUTTOLA: What is absent from the
21 A, B, C arm?

22 MR. HOGAN: No, no. I am saying there is
23 at least one arm from which every drug is absent.

24 DR. DeGRUTTOLA: Yes. That's right.
25 That's right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. HOGAN: So that, admittedly, you're
2 not in a great power situation, but you always have a
3 comparator to an arm that does not have that drug
4 present.

5 DR. MELLORS: But if there are
6 disease-related symptoms or signs that have nothing to
7 do with the drug, you won't be able to discriminate
8 that from drug toxicity.

9 ACTING CHAIRMAN GULICK: Okay. I think
10 the points have been made. I think I would like to
11 stop the discussion at this point. With apologies to
12 a couple of other people who wanted to present other
13 designs, I think we need to move on.

14 Just to summarize what we have said, as a
15 group, we struggled once again with the population
16 that we are considering, what constitutes experience,
17 what to do about people with some options versus no
18 options.

19 A very important point about viral and CD4
20 setpoints and how that is influenced by people taking
21 their regimens going into one of our salvage studies.

22 In terms of optimizing background, some
23 important practical points about access to therapies,
24 how resistance tests are done, access to resistance
25 tests, how they're interpreted, and then the important

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 suggestion that possibly stratifying by options that
2 are identified by resistance testing might be an
3 appropriate thing to do at baseline.

4 Also, we heard a plea again that before
5 launching into any of these studies, that drug-drug
6 interactions have to be known, particularly with the
7 optimized background design given that multiple drugs
8 may be used.

9 In terms of the simple add-on design,
10 people were impressed that that's the simple, cleanest
11 way to demonstrate efficacy and perhaps would be most
12 attractive to industry. Also, it was noted that drug
13 interactions would be relatively straightforward to
14 define.

15 In terms of the negatives, essentially
16 this is a design of functional mono therapy, which is
17 of obvious concern, may predispose to early failure.
18 And having a placebo control may be a relative
19 negative for patient participation.

20 The second design we thought about was the
21 two-part, two-phased, bridged, dimorphic, two-bit
22 design.

23 (Laughter.)

24 ACTING CHAIRMAN GULICK: That's the
25 compromised title.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 In terms of the pros, people once again
2 felt that this was a design which could cleanly
3 demonstrate efficacy over the short term, also had the
4 opportunity to do dose ranging, and to identify
5 important pharmacokinetic properties. In addition,
6 the second phase helps us to define longer-term safety
7 in a larger number of patients.

8 In terms of negatives, the logistics was
9 probably the top one that came up; also, the risk of
10 resistance in the first phase of the study. And it
11 was recognized that that would differ depending on the
12 specific agent that was used.

13 In terms of the factorial design or the
14 modified factorial design, the big plus here is using
15 combinations of therapies which would be attractive to
16 patients. That interactions between these drugs could
17 be defined earlier on was another big plus.

18 In terms of the negatives, teasing out the
19 specific activity of individual drugs and the specific
20 safety issues of individual drugs, at least from a
21 combination design, might be challenging; finally, the
22 very practical point about the number of drugs
23 available for this kind of design.

24 And then some other interesting
25 suggestions that came up in the discussion, we had a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussion of blinding, whether that's appropriate or
2 not. The nice option of an early escape or an early
3 switch for predefined failure would be an attractive
4 strategy to use. Concentration-controlled was another
5 novel approach that came up; and, finally, a second
6 randomization in a two-phased design, also a novel way
7 of doing it.

8 I think I would like us to take a
9 ten-minute break. And we will reconvene for the
10 afternoon. Thanks.

11 (Whereupon, the foregoing matter went off
12 the record at 3:28 p.m. and went back on
13 the record at 3:38 p.m.)

14 ACTING CHAIRMAN GULICK: In conferring
15 with the agency, they have assured me that they know
16 the answers to Questions 3 and 4. And so we don't
17 have to dwell on those. So we will move into the
18 second part of the discussion today, which is a
19 discussion on endpoint issues.

20 Our first speaker is someone whose career
21 I have watched for a very long time. It is actually
22 me.

23 Thank you, Mr. Chairman.

24 (Laughter.)

25 II. ENDPOINT ISSUES

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

RESPONSE RATES IN HEAVILY PRETREATEDHIV INFECTED PATIENTS

1
2
3 ACTING CHAIRMAN GULICK: I would like to
4 speak about the response rates in heavily pretreated
5 patients. This slide summarizes results from five
6 clinical cohort studies, all of which were published
7 in 1999. They're from throughout the U.S. and western
8 Europe, cohorts from Amsterdam, Cleveland, Johns
9 Hopkins in Baltimore, the Swiss cohort study, and
10 UCSF.

11 What these cohorts have in common is that
12 they are all taken from clinics, rather than clinical
13 trials. In most cases, these were
14 nucleoside-experienced patients who began their first
15 so-called HAART regimen, usually defined as adding a
16 protease inhibitor.

17 You can see that the numbers of patients
18 involved are quite high. I have simply summarized
19 what the percent of patients who started this
20 so-called HAART regimen was above the limits of
21 detection, which varied from cohort to cohort and the
22 times in follow-up.

23 Just to make a long story short, what you
24 see are clinical failure rates between 38 percent and
25 as high as 63 percent in clinical cohorts over a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 period of one to 2 years on our best first line
2 therapies.

3 In looking at these five studies as
4 examples of clinical cohort studies, there are
5 predictors of virologic failure that jump out from
6 study to study. And many have identified the same
7 predictors of virologic failure.

8 Prior antiretroviral treatment, a small
9 percentage, approximately 20 percent in each cohort,
10 were antiretroviral-naive. That was an important
11 predictor of virologic success.

12 The viral load level looking at either a
13 higher baseline or a higher peak level was often
14 predictive. Looking at the CD4 cell count, either a
15 lower baseline or a lower nadir was predictive of
16 virologic failure.

17 From study to study, the specific
18 antiretroviral regimen used was important. In one
19 study using a new nucleoside was a factor associated
20 with a better response; in another, using a
21 non-nucleoside in a naive population. And in a third
22 study, the use of the protease inhibitor saquinavir
23 was associated with a higher virologic failure rate.

24 Finally, in two of the five studies, more
25 missed clinic appointments as a surrogate for

1 adherence was a predictor of virologic failure.

2 Moving to the two prospective studies of
3 genotypic resistance testing, we can add several more
4 factors that predict virologic response. From the
5 GART study recently published in *AIDS in 2000*, we see
6 that the number of active drugs picked in a salvage
7 regimen as you move from four drugs is associated with
8 a higher virologic response rate in terms of measuring
9 change in HIV RNA over the course of the study.

10 Secondly, what is noted is that more
11 patients who had genotypic testing were able to come
12 with a regimen with three or four new drugs, as
13 opposed to patients who did not have the benefit of
14 genotypic testing.

15 In a similar study, the VIRADAPT study,
16 not only did they look at the use of genotypic
17 resistance testing, but in a subset of patients, they
18 also began to define drug concentration as an
19 important predictor for virologic response.

20 They took three specimens at random clinic
21 visits and simply measured the concentration of
22 protease inhibitor in those three visits. They
23 classified patients according to a dichotomous
24 variable. Either they had SOC suboptimal
25 concentration of their protease inhibitor or they had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 an optimal concentration and then using a factorial
2 design, really, patients, of course, who had been
3 randomized either to genotypic testing or what was
4 standard care at the time, which was no genotypic
5 testing.

6 Breaking the patients down into four
7 groups and relating these two factors to the change in
8 viral load, you can see that the group that does the
9 best in terms of the biggest decrease in viral load
10 level is the group with optimal concentrations of
11 their protease inhibitor and genotypic testing.

12 The group that does the second best is the
13 group that simply had optimal concentrations of their
14 protease inhibitor. And then the two groups with
15 suboptimal concentrations, either with or without
16 genotypic testing, had relatively less virologic
17 suppression.

18 Now, there are limitations to looking at
19 clinical cohort studies, particularly the ones that I
20 have just considered. One thing is an issue that we
21 have been struggling with all morning. That is
22 heterogeneous patient populations. Some patients are
23 naive. Some patients have taken nucleosides. Other
24 cohort studies combine many different types of
25 patients. And that makes it difficult to tease out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the most important factors in predicting virologic
2 response.

3 The studies I have just shown you really
4 reflect antiretroviral use in the years 1996 to 1998.
5 So there is really a time bias already because in the
6 last two years, things have changed, even since the
7 mid to late '90s.

8 For instance, in that time, there were
9 fewer antiretrovirals available. More complex
10 regimens were routinely used, perhaps involving 12 to
11 15 pills a day and using Q-8 drugs routinely. And
12 then sequential mono therapy was quite a common way to
13 use the drugs as they were approved one at a time.

14 Another issue that I think came out with
15 these initial studies was the fact that people were
16 saying there are high virologic rates in the clinic.
17 And that often led people to say that treatment
18 failure rates are quite high in the clinic.

19 I think that simplifies what's really a
20 relatively complicated concept. That is, is virologic
21 failure, which is what is measured in those cohort
22 studies, really the same or related to immunologic
23 failure or ultimately clinical failure? And what do
24 you really mean when you're saying "treatment
25 failure," which one of the three of these? And,

1 really, that is what we are considering in this
2 section of the panel discussion, the endpoint choice.

3 Well, of course, over the last several
4 years, we have come to conclude that virologic failure
5 does not necessarily mean either immunologic or
6 clinical failure. This is work from Steve Deeks
7 published in *Journal of Infectious Disease*. Looking
8 at a cohort of 380 patients in San Francisco, they
9 were classified according to their virologic response
10 rates into one of four groups on therapy.

11 A responder group had consistent viral
12 load levels less than 500. A partial responder group
13 had levels above 500 but greater than a one-log
14 decrease from baseline.

15 A transient transponder had at least a
16 one-log decrease in viral load but then a rebound in
17 viral load levels. And, finally, a fourth group,
18 non-responders, essentially didn't meet any of those
19 criteria, had no measurable change or no significant
20 change in viral load levels.

21 What Dr. Deeks did is to relate in each of
22 the four groups the virologic response to the change
23 in CD4 cell count over the course of two years and
24 follow-up.

25 What you can see is that three of the

1 groups, both the full responders, the partial
2 responders, and even the transient responders, have
3 significant increases in CD4 cell counts from 100 to
4 200 cells over baseline through the end of 2 years.
5 The only group that didn't really have a significant
6 rise was the complete non-responders.

7 So, even in the presence of transient or
8 partial virologic response, there was an immunologic
9 response, at least in terms of CD4 cell count change,
10 from baseline demonstrated out to two years and
11 follow-up.

12 The French cohort looked at a very much
13 larger group of patients, over 2,000 patients, and
14 began to relate both virologic and immunologic
15 responses to clinical responses. So looking at all
16 three endpoints, they classified the patients into one
17 of four groups based on their response to their
18 antiretroviral regimens at six months of time.

19 I should say that, once again, as in Dr.
20 Deeks' cohort, about 80 percent of these patients were
21 nucleoside-experienced upon starting their new
22 so-called HAART regimen.

23 So they classified patients either with a
24 virologic response, which in the case of the French
25 study was either a viral load level less than 1,000 or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at least a one-log drop from baseline. That's
2 considered a virologic response and then an
3 immunologic response, which was considered to be a CD4
4 cell count, at least 50 over what their baseline
5 levels are.

6 They divided people into four quadrants,
7 basically either an immunologic response or a
8 virologic response, positive or negative. And those
9 are the four groups here.

10 In terms of patient numbers, of these
11 roughly 2,000 patients, half were both immunologic and
12 virologically responsive. And the other half were
13 divided between the other three categories roughly
14 more or less equally, so about a sixth of the
15 population falling into each. That's at six months.
16 What we are relating that to here is the percent alive
17 and AIDS-free, so a composite definition in terms of
18 clinical progression or death.

19 What you can see once again here is that
20 the three groups who have either both an immunologic
21 and a virologic response or one or the other have a
22 better clinical response *in toto* than the patients who
23 have neither an immunologic or a virologic response.

24 One of the largest cohorts to date to look
25 at this issue and really tease out virologic response

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and other immunologic and clinical endpoints is the
2 EuroSIDA cohort. This was presented at the third
3 Salvage Workshop earlier in the year 2000.

4 The EuroSIDA cohort studies well over
5 8,000 patients and now has described what their
6 responses are. In terms of the cohorts, one group
7 began their first HAART regimen, their second, and a
8 third.

9 And what you need to know about this is
10 how they define that. Moving from first HAART to
11 second HAART does not necessarily mean failure of the
12 regimen, but it means a change in therapy after at
13 least one month on the first regimen. So that could
14 be from failure of the regimen. It could also be from
15 either adherence or toxicity, but it's a real world
16 look at how successive HAART regimens do in terms of
17 response.

18 In terms of virologic failure, which they
19 define as a viral load documented at over 500 copies
20 per mill, in terms of the first HAART regimen, 40
21 percent experienced virologic failure; the second
22 HAART, 50 percent. And on the third HAART regimen, 67
23 percent experienced virologic failure on their
24 regimen. And I should say this is at the two-year
25 time point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Looking at a combined endpoint using both
2 an immune criteria, which was a return in CD4 cell to
3 baseline levels, or a clinical failure, which in this
4 case was an AIDS-defining illness or death, you can
5 see the percentages, fewer than virologic failure but
6 still increasing with successive regimens, going from
7 20 percent to 30 to 40 percent in the third HAART
8 group.

9 And, finally, teasing out the specific
10 clinical events, which are in some cases, of course,
11 what we are most concerned about, what you see is only
12 5 percent over 2 years experienced a clinical event
13 with their first HAART regimen, but this jumps up to
14 about 25 percent in the second and third regimens.

15 So this is illustrative of the fact that
16 many patients are experiencing failure, whether it is
17 virologic, immunologic, or clinical, but the timing
18 for the failure differs between the three cohorts as
19 you progress in the number of HAART regimens that you
20 have taken.

21 I think it is useful in thinking about
22 so-called salvage treatment -- I, too, don't like the
23 term, but we use it as shorthand -- to look at some
24 examples of salvage studies that have been done and in
25 some cases even published over the last couple of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 years. This is a short list. What these trials have
2 in common is they are not for the heavily
3 treatment-experienced group but for first failures.

4 Next. Arguably, the first salvage study
5 ever done was ACTG 333. This looks specifically at a
6 saquinavir-experienced population. Patients that had
7 taken at least 48 weeks of saquinavir hard gel, no
8 other protease inhibitor. And, importantly, where we
9 are in antiretroviral therapy for the design of the
10 study, they were not allowed to change their
11 background therapy two months prior to coming onto the
12 study. Obviously we wouldn't design it that way
13 today.

14 Seventy-two patients were enrolled and
15 randomized either to continue the saquinavir hard gel,
16 switch to the saquinavir soft gel, or switch to
17 indinavir.

18 This particular study underwent interim
19 analysis at eight weeks and looked at three different
20 endpoints: change in viral load, the percent of
21 patients less than 200, and the CD4 change.

22 Basically the two saquinavir arms showed
23 no significant difference. With the exception of a
24 37-cell CD4 rise, the indinavir arm showed a .6-log
25 drop in viral load change and a 37 percent drop in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 less than 200 copies, which was the limit of detection
2 on this study, but that was felt to be a blunted
3 response.

4 In subsequent analyses, they related the
5 pre-existence of resistance mutations to saquinavir
6 and indinavir as being highly predictive of virologic
7 outcome.

8 ACTG 372B is a good example of a salvage
9 study in that it was designed when the parent study,
10 which was ACTG 320, was still in progress. This study
11 is notable because of the fact that people realize
12 that salvage therapy options for patients failing
13 first line regimens were going to be important to
14 identify.

15 So patients were on ACTG 320. They
16 received either AZT or d4T in combination with 3TC and
17 indinavir and had virologic failure, defined here as
18 a viral load level greater than 500 copies. You can
19 see 84 patients participated.

20 In this salvage study, patients were given
21 what at the time were all investigational agents,
22 efavirenz, adefovir, and then they were randomized
23 using a factorial design to receive either abacavir or
24 a new nucleoside and then plus/minus nelfinavir, so
25 several different questions trying to be answered

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 simultaneously in this salvage study.

2 The bottom line -- and I should say this
3 study focused on the percent below detection, which
4 again was state-of-the-art for therapy in naive
5 patients and, thus, at least in the beginning, for
6 salvage therapy trials, too. Overall a disappointing
7 only 35 percent had HIV RNA levels less than 500 at
8 the week 16, relatively short-term, time point.

9 Using the factorial analysis, this study
10 showed no difference between adding abacavir or one to
11 two nucleosides but did show a benefit of using
12 nelfinavir in the salvage regimen over a matching
13 placebo, 45 percent versus 24 percent, which was
14 statistically significant.

15 Recently published by our group is ACTG
16 359, which was probably the first large salvage study,
17 which sought to look at a particular patient
18 population, the indinavir-experienced group. Patients
19 were required to have taken 6 months of indinavir, had
20 a limited viral load level between 2 and 200,000 were
21 naive to other protease inhibitors and, importantly,
22 non-nucleosides, nearly 300 patients were randomized
23 into the study.

24 They were randomized to receive saquinavir
25 soft gel in combination with either ritonavir or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 nelfinavir. So this will be the first salvage study
2 to really look at double PI combinations and then
3 again in a factorial analysis, either the non-nuc to
4 delavirdine, the nucleotide to adefovir, or both drugs
5 together.

6 Bottom line and once again focusing in
7 this trial on the percent below detection as being the
8 primary endpoint, a disappointing 30 percent of
9 patients reached less than 500 copies per mill at the
10 primary study endpoint time, which was week 16.

11 In factorial analysis, there was no
12 significant difference between the use of
13 saquinavir-ritonavir or saquinavir-nelfinavir.
14 However, patients who received delavirdine or
15 delavirdine and adefovir had better virologic response
16 rates than those who received adefovir by themselves.

17 In terms of longer follow-up, I can tell
18 you that we will be presenting a poster at the
19 upcoming antiretroviral meeting looking at the
20 long-term changes in both viral load and CD4 cell
21 counts on this salvage study.

22 Lastly in this group of studies is the
23 Abbott 765 study, one of the first to really look at
24 not just a specific protease inhibitor but any
25 protease inhibitor experience. This is also an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 example of a study that takes a new agent and seeks to
2 look at its activity in a salvage-type population.
3 They did this by being very exclusive about the entry
4 criteria.

5 Patients could only fail one protease
6 inhibitor, needed to be non-nucleoside-naive, and have
7 viral load levels between 1,000 and 100,000. So this
8 was really a pilot Phase II-type design.

9 Patients swapped lopinavir-ritonavir at
10 one of two doses for the current protease inhibitor
11 they were taking while keeping their background
12 antiretrovirals constant for two weeks and then at the
13 two-week time point all added novirapine and they were
14 all NNRTI-naive and optimized their nucleosides; that
15 is, they got to choose one or two new nucleosides.
16 And they were followed for up to 96 weeks.

17 Next slide. So this led to a chance to
18 look in the first two weeks at what the switch from
19 the protease inhibitor that they had failed according
20 to criteria to the new protease inhibitor,
21 lopinavir-ritonavir. And what we were seeing over the
22 first two weeks was over a log drop simply with that
23 change.

24 So, in keeping with what we were
25 discussing earlier, here is a design which looked at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the activity of switching one agent in the regimen for
2 two weeks and showed significant antiretroviral
3 activity. Then when the other changes were made,
4 novirapine added, nucleosides changed, patients had a
5 persistent suppression of viral load levels.

6 And, next slide, that translated to a
7 significant proportion of patients dropping their
8 viral load levels less than 400 in an intent-to-treat
9 missing-equals-failure analysis. This is both doses,
10 but they have very similar activity with roughly 60 to
11 65 percent reducing viral loads below the level of
12 detection for up to 96 weeks of follow-up.

13 The next step from the first failure
14 studies was to look at patients who had failed more
15 than one protease inhibitor. And just because it has
16 a very similar design to the study I just showed you,
17 the Abbott 957 is similar in many ways except that it
18 allowed at least two or more than two protease
19 inhibitor experience with virologic failure as
20 evidenced by a viral load level greater than 1,000.

21 Importantly in this study, once again,
22 patients were required to be non-nucleoside-naive.
23 Once again, they added lopinavir-ritonavir. And here
24 is a good example of a drug-drug interaction, which
25 was described while the study was going on and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 dose adjustments were made, a significant interaction
2 between efavirenz lowering the concentrations of
3 lopinavir in the presence of ritonavir and a boosting
4 of the dose of lopinavir-ritonavir while the study was
5 going on.

6 Once again, with all of those innovations,
7 a significant number of patients dropping viral load
8 levels, again, important to remember that they added
9 efavirenz and were all non-nucleoside-naive but at the
10 higher dose, in particular, shown in pink, 70 percent
11 of patients reducing their viral load levels below 400
12 for up to 48 weeks.

13 Okay. But all of these patients don't
14 really meet the definition that we have been
15 considering. These are the first iterations of
16 trials, but what we have been considering most of the
17 day are heavily pretreated patients.

18 The definition which we have talked about
19 earlier today is having a loss or lack of virologic
20 response on at least two HAART regimens and being
21 three-class-experienced. So what is the data for this
22 particular group?

23 While we go back to the EuroSIDA cohort,
24 the first thing to know is that in 1996 in this
25 cohort, there were no patients who had three-class

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 experience. In the year 2000, 35 percent of the
2 EuroSIDA cohort are patients who have had three-class
3 experience with the current drugs.

4 This is looking at a subset of those, 266
5 patients who had 3-class experience and began another
6 new salvage regimen. So this is addressing the fact
7 in a clinical cohort: How do these three-class
8 experience patients do?

9 Forty percent decreased their viral loads
10 less than 1,000. And 30 percent maintained that
11 decrease for as long as 6 months. Fifty-five percent
12 had at least a one-log decrease and most of them, 45
13 percent, maintained this decrease at 6 months.
14 Looking at it another way, 55 to 70 percent of these
15 3-class-experienced patients had virologic failure by
16 the end of 6 months.

17 What about other endpoints? Over half,
18 55, decreased their CD4 below baseline. So if you use
19 that as an immunologic criteria, you would say that
20 they had immunologic failure and that was at the
21 ten-month time point. But only five percent had a new
22 AIDS event or death; that is, experienced a new
23 clinical endpoint over the ten months of the study.
24 That gives us some idea of how this patient group does
25 in terms of those three endpoints: virologic,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 immunologic, and clinical.

2 What were the predictors in the EuroSIDA
3 cohort? In terms of virologic response, any prior
4 viral load less than 500 was predictive of virologic
5 response, less prior treatment, a higher latest CD4
6 cell count, and, for reasons that aren't clear to me,
7 being a resident of Central Europe was predictive of
8 a virologic response. Perhaps our European colleagues
9 could comment on that.

10 In terms of predictors of immunologic and
11 clinical response, having female gender was a
12 predictor. A lower latest viral load, and fewer prior
13 antiretrovirals were all predictive in this
14 three-class experience group.

15 What about clinical trials in this group?
16 There are two notable studies that have looked at
17 highly treatment-experienced patients. The first was
18 CNNA 2007. This has been presented nationally both by
19 Drs. Eron and Falloon. It looked at patients who had
20 at least 20 weeks of combination therapy with a
21 protease inhibitor and a viral load level of at least
22 500. And there were 99 patients.

23 This is one of the most
24 treatment-experienced populations ever enrolled into
25 a clinical trial. Three-quarters had experience with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 4 to 5 nucs, 44 percent with a non-nuc, and 60 percent
2 had taken 3 to 4 protease inhibitors prior to going on
3 to the study.

4 They received what at the time again were
5 three investigational meds, now all approved, both in
6 label, abacavir, efavirenz, and amprenavir. The
7 primary endpoint for this study was antiviral effect
8 at week 16.

9 Overall, once again, a disappointing
10 result with only 26 percent, dropping viral load
11 levels less than 400 at week 16. Also, a significant
12 drug interaction was identified between efavirenz and
13 amprenavir on the study, which may have contributed to
14 that somewhat disappointing result.

15 And then in a subgroup analysis looking at
16 NNRTI experience and viral load levels, not
17 surprisingly, it was found that the subgroup that did
18 the best were those who were NNRTI-naive. Recall that
19 they got efavirenz and those with the lowest baseline
20 viral load levels.

21 Probably the largest salvage study done to
22 date is ACTG 398 presented by both Dr. Hammerstrom and
23 Dr. Mellors at various meetings. This looked at
24 people who had taken at least four months of up to
25 three prior PIs, viral loads over 1,000.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 They could have taken NNRTIs, although it
2 wasn't mandated that they take them and nearly 500
3 patients enrolled in the ACTG. Once again, the
4 approach here was to give all new drugs. Every one
5 received open label amprenavir, abacavir, efavirenz,
6 and adefovir together with in three out of four groups
7 a second protease inhibitor, either saquinavir soft
8 gel, indinavir-nelfinavir, or a matching placebo. And
9 the primary endpoint looked at was week 24.

10 Overall, once again, a very similar and
11 disappointing 31 percent dropped their viral load
12 levels below 200 at week 24. And then using
13 subgroups, NNRTI-naive subjects did better.

14 Patients who took two protease inhibitors
15 did better than those who took amprenavir alone. And,
16 surprisingly, patients who had experience with just
17 one or more than two protease inhibitors did about the
18 same.

19 Importantly also for ACTG 398 -- and I
20 don't have the slide to illustrate it -- is that they
21 focus not just on the percent below detectable but the
22 change in viral load levels.

23 At the end of week 24, all groups had
24 approximately at least a one-log decrease in viral
25 load levels from baseline. That's important.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Particularly if you focus on this number, overly focus
2 on that number, you might miss that important point.

3 Well, there are examples of just what we
4 have been considering today. How do you test a new
5 drug in a treatment-experienced population? I'm going
6 to show you three examples.

7 The first is using the investigational
8 nucleoside analog DAPD. These are results, once
9 again, that Dr. Eron has presented. It looked at a
10 study population who failed either ZDV or d4T plus
11 3TC, had specific viral load levels and a CD4 count
12 above 50.

13 This is really on the pilot study level.
14 It's a sample size of 24; however, once again, a very
15 treatment-experienced group. Average number of
16 antiviral is six, length of treatment four years. A
17 hundred percent had taken nuc, 60 percent or more
18 non-nucs, and over 80 percent had taken protease
19 inhibitors.

20 They were randomized to receive DAPD at
21 one of three doses. Three groups actually washed out
22 of their antiretroviral seven days prior to going on.

23 One group added DAPD onto the regimen that
24 they were already taking, so short-term virologic
25 effect being demonstrated in a group of highly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 treatment-experienced patients. And that is what is
2 shown for you here.

3 So three doses in the patients that washed
4 out. You could see at a higher dose, about a one-log
5 drop. Surprising to investigators was the fact that
6 the group that added on, as opposed to experiencing a
7 seven-day washout, had a much more profound drop in
8 viral load, about two logs below baseline, by the end
9 of two weeks.

10 The next example is the investigational
11 nucleotide analog tenofovir. This is the Gilead 902
12 study. This is an example of the add-on design that
13 we discussed earlier, patients on stable antivirals
14 with a viral load of at least 5,000.

15 Nearly 200 patients enrolled; once again,
16 a very treatment-experienced group. Baseline
17 mutations in nearly all for nucleosides, a third for
18 non-nucs, and about 60 percent for protease
19 inhibitors. They were randomized to add one of three
20 doses of tenofovir or a matching placebo.

21 Here is a nice dose-response curve.
22 Placebo is in blue with very little change through 24
23 weeks, the greatest change is at the highest dose of
24 tenofovir. This is sustained at about .7 logs by the
25 end of 24 weeks. At this point, the placebo group is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 also offered in a crossover design the highest dose of
2 tenofovir demonstrated.

3 The other endpoint looked at was CD4 cell
4 count, for reasons that aren't completely clear,
5 relatively low CD4 response by the end of 48 weeks.

6 The last example of using an
7 investigational agent in a highly
8 treatment-experienced group is the investigational
9 fusion inhibitor T-20. This is the T-20 205 study.

10 This is actually a very novel design. The
11 studied population was those who had T-20 experience
12 in one of the earlier studies that the drug company
13 had sponsored. So they were offered this study as a
14 rollover study.

15 Seventy-one patients entered. Again,
16 incredibly treatment-experienced, 80 percent were this
17 specific patient group we have been considering 3-drug
18 class-experienced.

19 They underwent baseline genotyping and
20 were allowed to add T-20, 50 milligrams twice daily
21 subQ plus the other antiretrovirals, which were chosen
22 by their practitioners on the basis of history and the
23 genotyping or what you might call optimized baseline
24 regimen and then followed for 48 weeks.

25 In terms of results, 20 percent had less

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 than a .5-log reduction, so were essentially virologic
2 non-responders. However, a third had at least a
3 one-log reduction or reduced their viral load levels
4 to less than 400 using this strategy.

5 This is actually an on-treatment analysis
6 of change in viral load, rather than an
7 intent-to-treat. It goes out to week 32 presented at
8 the Durban AIDS conference. You can see significant
9 virologic suppression sustained in this highly
10 treatment-experienced group with this novel design.

11 In summary, in terms of salvage therapy,
12 what we have been saying all day, virologic failure
13 occurs commonly. Immunologic and clinical failure
14 also occur at probably different rates and different
15 times. And all need to be evaluated potentially as
16 endpoints.

17 Predictors of response: not surprisingly,
18 adherence, levels of viral load and CD4, resistance
19 profile, number of active drugs and drug levels all
20 may come into play.

21 Importantly, newer drugs with novel
22 resistance patterns or mechanisms can and do
23 demonstrate an activity, even in the heavily
24 treatment-experienced patient population. And novel
25 study design may demonstrate this activity and at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 same time provide benefit for the subjects, the
2 conflict we have been talking about all day. And
3 further clinical research is necessary. I'll stop
4 there.

5 Thank you, Dr. Gulick, although you did
6 run on a bit long.

7 (Laughter.)

8 ACTING CHAIRMAN GULICK: I would like to
9 introduce Dr. DeGruttola from the Harvard School of
10 Public Health to talk about statistical
11 considerations.

12 STATISTICAL CONSIDERATIONS FOR ENDPOINTS IN
13 HEAVILY PRETREATED PATIENTS

14 DR. DeGRUTTOLA: Well, given this
15 morning's discussion and in the spirit of full
16 disclosure, I want to let everyone know that I am
17 myself a two-part hybrid because, in addition to
18 working in AIDS research, I also teach statistical
19 design for graduate students in my department.

20 I would be happy to report to them that
21 the phrase "factorial design" has had almost as much
22 impact here as "pregnant Chad" has in Florida. If
23 anyone says this is just a narrow technical area, I
24 think I will have a good rejoinder.

25 I'm going to talk a little bit about

1 choice of endpoints for salvage studies. And, just to
2 review quickly the endpoints that have been used, as
3 everyone is aware, there are clinical endpoints which
4 we used earlier on in the epidemic, may make a
5 comeback, AIDS-defining events, survival, quality of
6 life; marker-based endpoints, like HIV RNA and CD4.

7 Endpoints, of course, for toxicity can be
8 time to treatment discontinuation or targeted adverse
9 events. Finally, there are composite endpoints that
10 combine information across different endpoint
11 categories; for example, time to treatment
12 discontinuation, whether for virologic failure or
13 intolerance.

14 We look first at the HIV RNA endpoints.
15 Even within that group, there is quite a range. There
16 are quantitative endpoints, change from baseline to
17 week X; time to virologic failure variously defined;
18 or we have binary endpoints. We could have one that
19 is just cross-sectional, like either above or below a
20 threshold at week X, or a more cumulative one: Have
21 you failed by week X?

22 So if we look first at the cross-sectional
23 endpoint, it is a snapshot. It is not affected by
24 to-be-transient changes in HIV levels and frequent
25 monitoring is not required. So those are advantages,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but a problem is that missing data at the time point
2 where you're doing the measurement is especially
3 problematic because you can't make use of the other
4 information unless you carry values forward. And I
5 will say a little bit more about that, as Dr. DeMasi
6 mentioned.

7 Failure endpoints, of course, require
8 assessments over time. They may be affected by
9 transient changes in HIV RNA levels, and frequent
10 monitoring is required.

11 Although you need to define your missing
12 data strategies, you can more easily make use of
13 partial information if you use a failure endpoint and
14 also if after patients fail they can go on to some
15 other treatment, that doesn't complicate an analysis
16 in a time to failure because you have already got your
17 endpoint; whereas, if you had a snapshot change by a
18 certain period of time, then that would be a
19 complicating failure.

20 Now, within the failure group, you can
21 either do a time to failure or a cumulative proportion
22 analysis. The time to failure, some of the concerns
23 are the pattern of failure depends on the failure
24 time.

25 In other words, we have definitions of

1 failure for people who fail within the first four or
2 eight weeks of not having declined enough or later on
3 perhaps of having a rise above nadir or rise above
4 detection and so on.

5 What we mean by "failure" is different at
6 different times. There are some inherent assumptions
7 there, but the advantage of time to failure is it
8 accommodates differential follow-up. And it is very
9 useful if you are doing an interim analysis to be able
10 to make use of the partial follow-up as well as, of
11 course, at the end of the study.

12 The cumulative proportion has the
13 advantage that you don't have to worry so much about
14 the definitions of failure at each individual time
15 point because you can sort of make use of the whole
16 trajectory in making that consideration.

17 So, although you need failure definition
18 whether you do cumulative proportion or time to
19 failure, the cumulative proportion is not quite as
20 sensitive to the exact failure definition as the time
21 to failure might be.

22 The problem is that evaluation within an
23 interim analysis is complicated. There are also some
24 power advantages of time to event. Especially if the
25 pooled failure rate between the arms is greater than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 50 percent, time to event has appreciable advantages.

2 Just focus on the bottom line. If you had
3 a study with a one-year accrual, six months of
4 additional follow-up and a two-arm trial, then look at
5 the bottom line with a 70 percent pooled failure rate.
6 You get a 25 percent savings in sample size, which is
7 considerable. So there are real power advantages of
8 time to event if the events are quite frequent.

9 Some analysis issues. If you have
10 moderate study withdrawal, time-to-event endpoint
11 advantages increase further. These sample size
12 advantages are even greater at interim analyses.
13 You're much more likely to stop in an interim if you
14 use time to event because you make better use of your
15 information. There are also advantages for
16 co-variate, evaluating co-variate, effects or
17 flexibility in ending the study.

18 So the only real down side is that you are
19 a little more sensitive compared to cumulative
20 proportion on the precise definition of your failure.

21 And if we compare a purely virologic
22 versus a composite endpoint, a purely virologic
23 focuses only on the virologic response and allows
24 tolerability and safety to be assessed separately.
25 But, of course, you have to follow up for viral load

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 after treatment continuation.

2 A composite, which hasn't really been
3 discussed a lot here -- so I am going to go through
4 this quickly. A composite endpoint might combine
5 virologic efficacy, tolerability, and safety. And, of
6 course, it could differ substantially from the purely
7 virologic if the toxicity rate is high and if you use
8 that endpoint, you would at least want to do the
9 virologic, pure virologic, as a secondary endpoint.

10 Some definitions, the issues in defining
11 virologic failure, obviously you have to define an
12 early failure, whether you're talking about
13 insufficient decline, rise above nadir, the amount of
14 time allowed before patients go below threshold, the
15 choice of the threshold for suppression/loss of
16 suppression.

17 Of course, what do you do about
18 fluctuations due to treatment holes into current
19 illness and so on? Patient stops drug, virus comes
20 back, restarts, and they're doing well. Was that a
21 failure or not, all those kinds of issues?

22 If you use a regimen completion endpoint,
23 then you have all of the same issues, plus you have to
24 worry about how many drugs need to be changed or added
25 before you declare it was a failure? And are you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 going to call all discontinuations failures or just
2 those related to toxicity?

3 One of the factors that are going to
4 affect the choice of endpoint is: What are the
5 underlying clinical beliefs? In purely virologic
6 endpoint, you believe that the effective therapies on
7 RNA capture the essential information to define the
8 role of the therapy. For the regimen completion, you
9 believe the necessity to change regimens more closely
10 measures tangible benefit. And the choices are
11 obviously going to depend on the clinical beliefs.

12 The next slide just shows some of the
13 types of endpoints that have been used in studies
14 within the AIDS Clinical Trials Group. There have
15 been, as you can see, a variety of choices, including
16 the time to failure, regimen completion, the
17 cumulative proportion failed by week X, and whether
18 patients have gone below a threshold by a certain time
19 point, and so on. There have been a range of
20 different endpoints.

21 Now, a little bit more about the composite
22 endpoints. They are going to be more numerous than a
23 purely virologic endpoint, but they can dilute the
24 effect of a treatment and especially concern if
25 treatment discontinuation might be unrelated to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com