

1 in our previous analyses, and so therefore, we focused
2 our attention on those patients who were counted in
3 the analyses as having no evidence of CMV progression.

4 And in order to understand this chart,
5 it's important to remember that patients were being
6 treated by the ophthalmologist and did not have
7 knowledge of where their patient was fitting in the
8 four-week endpoint category.

9 So we asked the question: are
10 disproportionate dropouts failures of induction
11 therapy?

12 And we still found that even after
13 accounting for the week four progressors and the week
14 four dropouts, there was still a disproportionate
15 dropout rate with two in the intravenous ganciclovir
16 arm and seven in the valganciclovir arm.

17 We also sought to evaluate the retinal
18 photography that was taken between weeks four and 12
19 to see if any of these patients were having evidence
20 of CMV progression during this time period because
21 patients were still contributing retinal photographs
22 in the study.

23 We also looked for reasons why people were
24 discontinuing from the study, and again, to emphasize
25 that the ophthalmologist was making on-study treatment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 decisions, and that the photographic scoring was not
2 provided in real time. So we sought to look at the
3 ophthalmologist's clinical diagnosis.

4 We were somewhat reassured to find that --
5 and again, we had asked Dr. Boyd to review the retinal
6 photographs that were submitted, and we were somewhat
7 reassured to find that only one patient -- and it was
8 a patient in the valganciclovir arm -- had evidence of
9 CMV progression between weeks four and 12.

10 The reasons for discontinuation included
11 four deaths. There were three deaths in the
12 valganciclovir arm during this time period, one death
13 in the intravenous ganciclovir arm. There were three
14 voluntary withdrawals. All three were in the
15 valganciclovir arm, and finally, three requested
16 ganciclovir ocular implant, one in each arm.

17 Furthermore, we found that the treating
18 ophthalmologists were more likely to classify patients
19 in the valganciclovir arm as CMV progressors
20 regardless of the photographic determination, and
21 therefore, we feel that the disproportional dropout
22 rate was driven by the open label study design, and
23 that the differential dropout rate does not represent
24 a failure of induction.

25 We were also interested to see how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients performed in the study who had Zone 1
2 retinitis, and about a quarter of patients in each arm
3 had evidence of Zone 1 retinitis. And the reason we
4 looked at this is that previous registrational trials
5 have excluded patients with Zone 1 retinitis, and we
6 found that the outcomes were very similar to the
7 overall patient population in this study.

8 And Dr. Pomerantz raised this question
9 this morning. We also raised this question during our
10 review of what was the impact of protease inhibitors
11 on the primary endpoint in this study.

12 And as Dr. Stempien had mentioned the
13 protocol required that patients not change their heart
14 regimen during the first four weeks, but because
15 patients were receiving a new diagnosis of CMV
16 retinitis, we thought that a change in heart therapy
17 might occur commonly in this study, and so we sought
18 to do a review to find patients who had changed their
19 heart therapy during the induction phase, and we found
20 that nine patients changed heart therapy, four
21 patients in the valganciclovir arm and five patients
22 in the intravenous ganciclovir.

23 So we're somewhat reassured that the
24 impact of protease inhibitors on the week four
25 endpoint was minimal in this study. At week four, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 found that a majority did change their heart therapy,
2 and that likely this change had a significant impact
3 on the time to progression. In the study, this time
4 to progression was much longer in comparison to
5 historical studies.

6 So in summary, we found that the
7 proportion of patients having evidence of CMV
8 retinitis progression is similar between the treatment
9 groups. The maximum lower bound of the 95 percent
10 confidence interval in our sensitivity analyses is
11 minus 13 percent.

12 The results of our primary endpoint was
13 confirmed by an FDA masked review of the retinal
14 photographs, and as the applicant had presented this
15 morning, the visual acuity scores were similar between
16 the treatment groups.

17 And now I'll move on to the safety
18 database in the study. Again, the three studies
19 provided safety information. The induction study,
20 which enrolled 160 patients, but two patients did not
21 receive study drug just after enrollment; so 158
22 patients contributed to the safety database.

23 The safety study enrolled 212 patients,
24 and as you recall, this is a single arm, open label
25 study of valganciclovir for the maintenance therapy in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 patients with a previous diagnosis of CMV retinitis.

2 And finally, the PV-16000 study, which is
3 a study of oral ganciclovir versus valganciclovir for
4 the prevention of CMV disease in solid organ
5 transplant recipients that's an ongoing study and
6 which has enrolled 121 patients thus far, and because
7 I still have a captive audience of ophthalmologists,
8 I'll refer to this as a masked study, and the data are
9 still masked.

10 This slide represents the number of
11 patients contributing to the safety database, and you
12 can see that 293 patients have completed at least six
13 months of therapy with valganciclovir. Two hundred
14 and thirty-eight patients have completed at least 12
15 months of therapy with valganciclovir in the two, the
16 induction study and the safety study.

17 And the induction study provides a
18 comparison between the treatment arms, and
19 gastrointestinal adverse events were the predominant
20 class of adverse events seen in the study.

21 There was a somewhat higher proportion of
22 patients with diarrhea who were randomized to the
23 valganciclovir arm, and a somewhat higher proportion
24 of patients had nausea who were in the intravenous
25 ganciclovir arm, but overall the gastrointestinal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 adverse event rate appears comparable between the
2 treatment groups.

3 As well, during the first four weeks the
4 hematologic adverse events, and these are all great
5 adverse events, were comparable between the treatment
6 groups. We did note the difference in this Grade 4
7 anemia that was seen further out in the study.

8 We also sought to find an explanation as
9 to why this was occurring, and it's important to
10 remember that all patients were receiving open label
11 valganciclovir at this point in the study.

12 We found that a somewhat higher proportion
13 of patients were taking concurrent zidovudine who had
14 evidence of anemia. There were seven patients with
15 anemia in the valganciclovir arm and three patients
16 with anemia in the intravenous valganciclovir arm who
17 were taking concurrent zidovudine at the time of
18 anemia, and I showed you earlier the data on
19 disseminated mycobacterium avium complex infection,
20 and we're not sure if those two factors were involved
21 in the difference in the rate of anemia, but we feel
22 that that may be a contributing factor as to why
23 further out in the study a difference in the rate of
24 severe anemia was seen.

25 And the only clinically meaningful

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 difference in other adverse events that were reported
2 in the study during the first four weeks is catheter
3 associated infection, which occurred at a much higher
4 proportion in patients in the intravenous ganciclovir
5 arm.

6 We looked at deaths that occurred in the
7 study, and in the first four weeks there were three
8 deaths, two in patients who were randomized to
9 intravenous ganciclovir, one in patients who were
10 randomized to valganciclovir.

11 At the week 12 time point, there were ten
12 deaths, five in each arm, and these deaths are all
13 primarily due to underlying AIDS.

14 At one year there were 28 deaths, 18 in
15 the intravenous ganciclovir arm, ten in the
16 valganciclovir arm, but again, all patients were on
17 open label valganciclovir. So it's difficult to draw
18 any firm conclusions. And, again, the 28 deaths were
19 primarily due to underlying AIDS.

20 We also pooled the adverse event rate for
21 both the induction and the safety study, and we found
22 that gastrointestinal and hematologic adverse events
23 were the predominant classes of adverse events, and we
24 also found that the adverse event rate was very
25 comparable to that of the formulations of the approved

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ganciclovir.

2 And finally, in the PV-16000 study, CMV
3 prevention in solid organ transplant recipients,
4 again, the total number of patients as of August 2000
5 that have enrolled is 121. It's a 100-day course of
6 therapy, and 39 have completed the 100-day course of
7 therapy.

8 And, again, the data are still masked.
9 Forty-one patients have reported 60 serious adverse
10 events. In the four-month safety update in this NDA,
11 only the serious adverse events were included, and we
12 see that hematologic and gastrointestinal adverse
13 events were reported. Six percent reported
14 postoperative infectious complications. Three percent
15 reported increased creatinine, and four percent with
16 graft rejection.

17 So other than graft rejection, we find
18 that these are an expected type of adverse events to
19 be seen in ganciclovir.

20 So, in conclusion, the safety database of
21 patients completing at least six months of therapy is
22 just under 300 patients. Hematologic and
23 gastrointestinal adverse events were the predominant
24 classes of adverse events, and we found the adverse
25 event profile to be similar to that of ganciclovir.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I'd like to acknowledge the
2 valganciclovir review team. The medical officer team
3 leader, Dr. Cvetkovich. Dr. Breazna and Dr. Soon
4 provided the statistical support for my talk. I'd
5 like to thank Dr. Boyd, whose tremendous amount of
6 work I had summarized in just one or two sentences.
7 And Dr. Reynolds of the biopharmaceutics team.

8 And now I'd like to introduce Dr. Robert
9 Kumi, and Dr. Kumi will be presenting the
10 pharmacokinetic data that will provide support for the
11 maintenance therapy in the treatment of CMV retinitis.

12 DR. KUMI: Good morning.

13 The primary focus of my talk will be on
14 the pharmacokinetic information submitted to support
15 valganciclovir use in maintenance therapy for CMV
16 retinitis.

17 Next slide, please.

18 The outline of my talk will be as follows.
19 I'll give a background on the delivery systems
20 available for systemic delivery of ganciclovir. This
21 will be followed by studies and analysis conducted to
22 support valganciclovir use during maintenance therapy,
23 a summary of these study results, and then I'll offer
24 conclusions.

25 There are two formulations of ganciclovir

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that deliver ganciclovir systemically. These are the
2 IV formulation and the oral formulation. The oral
3 capsule has a poor bioavailability with a value of
4 less than ten percent in the presence of food.

5 And presently for this NDA, we're
6 considering valganciclovir hydrochloride, which is a
7 pro drug of ganciclovir, and it is an alternative
8 formulation to the IV formulation.

9 Next slide.

10 Valganciclovir is a pro drug of
11 ganciclovir, which is rapidly and extensively
12 converted to ganciclovir and valine upon oral
13 administration. Following its administration, the
14 ganciclovir bioavailability in the presence of food is
15 approximately 60 percent, and this represents a
16 substantial increase in the bioavailability relative
17 to the oral ganciclovir formulation.

18 Furthermore, the pro drug has very low
19 systemic exposure with a value of less than five
20 percent of ganciclovir exposure.

21 Two studies and analyses were conducted to
22 support valganciclovir use during maintenance therapy.
23 These were the exposure response or PK/PD analysis and
24 the pharmacokinetic comparisons of the ganciclovir
25 delivery systems.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The applicant concluded that in study
2 GANS-2226 that AUC average of ganciclovir was the best
3 predictor of time to first photographic progression.
4 I'll present more details on these two studies in the
5 next series of slides.

6 The primary objective of the PK/PD
7 analysis was to determine if there were any
8 ganciclovir PK parameters that would predict response
9 during maintenance therapy, and this response was
10 measured as the time to first photographic
11 progression.

12 The methodology comprised of administering
13 three oral ganciclovir dose regimens and one IV
14 ganciclovir dose regimen to patients with CMV
15 retinitis.

16 In the PK analysis, PK parameter estimates
17 are obtained using the population approach, and then
18 in the subsequent PK/PD analysis, the population
19 pharmacokinetic parameter estimates were used to
20 evaluate the PK/PD relationship.

21 However, there were limitations in the
22 PK/PD analysis, the primary one being that there were
23 errors anticipated in the pharmacokinetic parameter
24 estimates due to the insufficient dosing time records.

25 The second limitation was in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 uncertainty of the accuracy of individual parameter
2 estimates, and this was because of the sampling scheme
3 used.

4 So we concluded that the population
5 pharmacokinetic analysis results cannot be used for
6 further PK/PD analysis.

7 Due to the limitations in the PK/PD
8 analysis, we sought an alternative to determine how
9 useful valganciclovir would be during maintenance
10 therapy. This involved plasma concentration time
11 profile comparisons. We looked at the three
12 ganciclovir delivery systems, at the recommended and
13 proposed doses, and we looked particularly at during
14 maintenance, and these formulations were intravenous
15 ganciclovir, valgan. and oral ganciclovir.

16 The next series of slides I'll show the
17 pharmacokinetic profiles obtained following
18 administration of these delivery systems.

19 Here we have the ganciclovir plasma
20 concentration time profiles in HIV positive, CMV
21 positive patients. On the Y axis is the ganciclovir
22 concentration in microgram per mL versus the time in
23 hours, and here is plotted on a linear scale.

24 This first profile, IV ganciclovir was
25 given as a one hour long infusion once daily during

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the efficacy trial, and we obtain a typical pattern
2 for an IV infusion.

3 The next slide I've included the
4 ganciclovir profile resulting from administration of
5 valganciclovir at its proposed dose of 900 milligrams.
6 Here we see there are three main points I would like
7 us to look at for this plot.

8 Basically the C-max for ganciclovir
9 resulting from valganciclovir administration is lower
10 than that of IV. However, the AUC, which is the
11 measure of the total systemic exposure is comparable
12 for the two profiles.

13 And finally, approximately three hours
14 after dosing the ganciclovir levels due to valgan
15 administration are actually greater than that of IV
16 ganciclovir.

17 The final slide on the pharmacokinetic
18 comparisons has included the maintenance dose for oral
19 ganciclovir, which is administered as 1,000 milligrams
20 three times daily, and the two main points from here
21 are that we do not have as much concern with the lower
22 C-max for valganciclovir because this C-max is
23 actually greater than that obtained with oral
24 ganciclovir.

25 And, secondly, IV ganciclovir and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 valganciclovir have higher levels relatively of
2 ganciclovir for a similar proportion of time relative
3 to the oral formulation.

4 So now the conclusions of these two
5 studies. We conclude that the ganciclovir plasma
6 concentration time profile comparisons of
7 valganciclovir to the two approved ganciclovir
8 regimens, which are IV and oral ganciclovir, support
9 the use of valganciclovir for CMV retinitis
10 maintenance therapy.

11 And secondly, the PK/PD model, though it's
12 useful, is not needed to support valganciclovir use
13 during maintenance therapy.

14 I'd like to acknowledge the valganciclovir
15 review team and Dr. Sue-Chi Lee, who performed the
16 pharmacometrics consult.

17 This concludes the FDA presentation and
18 we'll be willing to entertain any questions you have.

19 Thank you.

20 CHAIRMAN POMERANTZ: Thanks to Dr. Toerner
21 and Dr. Kumi.

22 And we do now open the questions to the
23 Committee. Dr. Bertino.

24 DR. BERTINO: For Dr. Kumi, before you
25 leave the podium -- I try to get you before you ran

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 off.

2 DR. KUMI: Okay.

3 DR. BERTINO: Did you guys repeat the
4 GANS-2226 PK/PD analysis?

5 DR. KUMI: I guess, no, essentially we did
6 not. We looked -- basically we looked at the
7 applicant's procedure and saw these I would say
8 limitations in terms of the dosing scheme. I mean the
9 sampling scheme and the recording of dose records, and
10 we concluded that they would not be appropriate.

11 DR. BERTINO: Yeah. I mean, I guess I got
12 from the FDA briefing material that the FDA's
13 conclusion was that the one point population
14 pharmacokinetic estimates were not accurate.

15 DR. KUMI: Right.

16 DR. BERTINO: And therefore, that data
17 couldn't be used, which then goes back to raising the
18 question about the relationship of the area under the
19 curve to efficacy.

20 How many patients had total
21 pharmacokinetic profiles, more than one point? Do you
22 know?

23 DR. KUMI: During the GANS-2226, I think
24 basically it was just the IV data, which had complete
25 profiles, and that was from actually a different

1 study, but during the actual PK/PD part, it was just
2 like one or two samples per patient on two different
3 occasions at week two or week six, and if there was an
4 event like progression and retinitis or an adverse
5 event, I think for those they might have taken the
6 complete profile. I'm not sure.

7 DR. BERTINO: Okay, and Dr. Pomerantz may
8 want to defer this until this afternoon with the
9 sponsor to ask more about this PK/PD analysis that was
10 done since --

11 CHAIRMAN POMERANTZ: Why don't you do it
12 now?

13 DR. BERTINO: Okay. Okay. I guess the
14 question --

15 CHAIRMAN POMERANTZ: This afternoon we
16 would like to do mainly in voting.

17 DR. BERTINO: Okay. Could the sponsor
18 kind of walk us through their PK/PD analysis and how
19 they came up with this relationship of AUC to
20 efficacy?

21 Because I thought what I heard from Dr.
22 Kumi -- and correct me if I'm wrong -- is that the FDA
23 did not believe that the data that was obtained was
24 useful data for doing population pharmacokinetics.
25 Did I misquote you? I think that's what you said.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. KUMI: I don't know if the applicant
2 has maybe the table with the dosing time scheme and
3 the how the assumptions were made.

4 DR. STEMPIEN: I'm sure that we could
5 speak to this and take you through some of the data if
6 that is considered important for your deliberations.
7 My sense though is that the agreement is that this
8 analysis, although it may be useful, it has
9 limitations, and that we can just set it aside and
10 make -- well --

11 DR. BERTINO: I mean, I think that's fine.
12 My concern actually goes back to what Dr. Wong raised
13 this morning about toxicity because I see at the dose
14 that's being recommended for maintenance, you're
15 actually giving about almost a little more than 50
16 percent more maintenance dose per day orally versus
17 IV. Even when you correct for bioavailability, you're
18 looking at five mgs. per kg. IV versus I came up with
19 7.8 mgs. per kg. in a 70 kilo person, which was your
20 average weight in the study and an average
21 bioavailability of 60 percent. That's one question.

22 The other question has to do with did you
23 look at exposure in induction versus maintenance where
24 they handle differently.

25 DR. STEMPIEN: Yes, yes. Actually in our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 376 study we have pharmacokinetic profiling during
2 maintenance therapy, and that might be the best data
3 to show you.

4 If I could go back to the primary
5 presentation. Hang on. The 376 PK data, P-78,
6 please. Yes, slide up.

7 Here are the data that we obtained in our
8 376 study. At the end of week one, which reflects
9 induction level dosing, patients were receiving twice
10 daily dosing, and at the completion of week four,
11 which reflects maintenance dosing, 900 milligrams once
12 daily and five mgs. per kilogram once daily.

13 And for comparative purposes the AUCs as
14 represented as dosing interval AUCs, and you can see
15 at both under induction level dosing conditions and
16 during maintenance dosing conditions that the AUCs are
17 comparable.

18 So the maintenance dose that we are
19 recommending of valganciclovir is providing a systemic
20 ganciclovir exposure that is comparable to the
21 ganciclovir exposure that patients are seeing now when
22 they receive the approved IV ganciclovir maintenance
23 dose.

24 DR. WONG: But how much data --

25 CHAIRMAN POMERANTZ: Dr. Wong wants to.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. WONG: -- goes into these numbers? I
2 mean, do we have multiple points on multiple patients?

3 DR. STEMPIEN: These are full profiles.
4 These are full PK profiles on 18 to 25 patients. This
5 is not a modeling exercise. This is full PK profiling
6 conducted during our pivotal efficacy and safety
7 study.

8 CHAIRMAN POMERANTZ: Are you guys all
9 right with this thing?

10 DR. BERTINO: I think Dr. Rodvold --

11 CHAIRMAN POMERANTZ: We'll get to Dr.
12 Mindel in a minute. All right. One of you.

13 DR. REYNOLDS: My question is that when
14 you look at this data in the week one, you're using
15 area under the curve for 12 hours. So during the day
16 you double it, and when do that, you double the
17 differences between areas, which is about 4.2 there,
18 which means right now there's a 4.2 different in
19 micrograms per hour per mL for 12 hours, which means
20 there's an eight micrograms per mL difference between
21 -- there's a pretty big difference when you look at 24
22 hours there.

23 And then when you go multiple days because
24 you're going to daily dose this for three weeks,
25 that's a bigger exposure. So my question comes back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to is that exposure giving you -- driving this dose a
2 little bit higher. It comes back to this question on
3 the table. Is this the right dose, necessarily truly
4 equivalent?

5 And I like your outcome data, and so I'm
6 not worried about that, but I think you've got to be
7 a little cautious here of saying this is equivalent on
8 AUCs. I think that you are a reasonably amount higher
9 over there.

10 DR. STEMPIEN: Please take into account
11 the coefficient of variations on these.

12 DR. BERTINO: But they're equal. So the
13 coefficient of variation is fairly equal between those
14 two groups. So you can work around the means unless
15 your median data is different.

16 In fact, those are almost identical. So
17 the standard deviation around those numbers is fairly
18 safe, and so that's why I'm using that as a difference
19 there.

20 CHAIRMAN POMERANTZ: Dr. Mindel.

21 DR. MINDEL: There were some patients at
22 entry that didn't have CMV retinitis. Were those
23 patients diagnosed on ophthalmologic grounds as having
24 CMV retinitis in Zone 3? By what criteria were you
25 determined not to have CMV retinitis?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. STEMPIEN: I'm going to ask Dr. Martin
2 to speak to that in just a moment, but when we say
3 that the photos could not confirm CMV retinitis, that
4 is precisely what we mean, and there are about five
5 different reasons, some of them related to the
6 technical aspects of the photo that I'll ask Dr.
7 Martin to speak to, that can explain that.

8 We are aware of two patients in this trial
9 that the ophthalmologist felt during the course of the
10 study that a lesion that they felt represented CMV
11 retinitis at study entry over time; they altered their
12 judgment in that regard, but those are the only two
13 that I'm aware of.

14 So the others that are simply a matter of
15 the photo being unable to confirm retinitis, and there
16 are reasons for that, and I'll ask.

17 Oh, Dr. Martin doesn't feel he needs to
18 add.

19 DR. BRESSLER: Could I clarify it then?
20 You said that -- you both said that there were six
21 cases in one group and five in the other that had no
22 photos or no CMV retinitis. So I think Joel was
23 asking how many of those actually had photos, but
24 there was no CMV retinitis noted on them?

25 Because those obviously could progress.

1 They could go from zero to something.

2 DR. MARTIN: The answer is none of them
3 had CMV by photographically, and when we started this
4 trial, the full effect of HAART was just becoming
5 known, and what we were seeing for the first time,
6 sometimes patients coming in with scars in the
7 peripheral that were inactive that sort of looked like
8 old CMV. People weren't sure what it was, and there
9 were a couple of cases like that that in retrospect
10 probably weren't CMV.

11 There were a couple of -- I continue
12 periodically to see a patient who is thought to have
13 CMV retinitis because of a color change in the RPE out
14 in the mid-periphery when you add a little
15 microangiopathy, a little dot hemorrhage. That can be
16 mistaken for CMV.

17 We believe that happened a couple of times
18 in this study, and then the other reasons why CMV
19 wasn't seen on the photograph had probably to do or
20 may have had something to do with the execution of the
21 protocol, the photographic protocol.

22 DR. MINDEL: Well, sort of a related
23 question also is at the end of the study there were
24 some diagnoses of CMV retinitis that you felt caused
25 people to drop out of the study that were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ophthalmologically driven, I think was the phrase that
2 was used. Were those patients also in Zone 3 that
3 caused this relief of progression?

4 DR. STEMPIEN: No. Everyone came into the
5 study with CMV retinitis diagnosed by an
6 ophthalmologist. During that period of time where we
7 had differential withdrawal rates, there were a number
8 of patients where the ophthalmologist diagnosed a
9 progression, and that that prompted the patient to
10 withdraw from the study.

11 But I do not believe that they were Zone
12 3 lesions involved in those patients.

13 CHAIRMAN POMERANTZ: Dr. Yogev.

14 DR. YOGEV: You said in the conclusion
15 that patients completed at least six months have
16 similar RS profile to ganciclovir. Looking at the
17 data for the four weeks, for example, neutrophil less
18 than 750 went up from 11, 12 percent to 30 percent;
19 anemia from nine percent to 16 percent. Is that
20 statement refer to historical data on ganciclovir more
21 than six months? Because in this data none of them
22 got the IV ganciclovir, So where that comparison of
23 those who got at least six months came from?

24 DR. TOERNER: We, as well, pooled the
25 safety data from the two studies, the safety study and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the induction study because all patients were
2 receiving open label valganciclovir after week four,
3 and so the only comparative data we have in this NDA
4 package is the first four weeks of the induction
5 study.

6 DR. YOGEV: If you compare, it's much
7 higher. It's triple -- sorry -- double in the
8 valganciclovir group in the maintenance, and you're
9 suggesting they are similar. That's why I'm asking.

10 DR. TOERNER: You mean there's about a
11 quarter of patients having evidence of
12 immunoneutropenia.

13 DR. YOGEV: Correct.

14 DR. TOERNER: And that's about what you
15 would see intravenous and oral ganciclovir.

16 DR. YOGEV: In other studies, not that
17 were represented today.

18 DR. TOERNER: In other studies that are
19 included in the ganciclovir labeling.

20 DR. YOGEV: Okay. So we can put the other
21 one. The other question I have is you mentioned out
22 of the possibility of the anemia was patient on AZT.
23 I just share with you my personal experience. You
24 mentioned that most of the patient changed therapy at
25 four weeks. Usually on the second and third salvage

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will have less patient on AZT because they already got
2 it.

3 Is it still true that in the maintenance
4 anemia might have been because of AZT?

5 DR. TOERNER: It's possible. Actually I
6 have a back-up slide that describes this difference in
7 anemia that I found as well, and it's back-up slide
8 number four.

9 And, again, it's hard to draw any firm
10 conclusions that there was a true difference between
11 the treatment groups because, again, all were
12 receiving open label valganciclovir, but we found that
13 severe anemia occurred in ten percent overall during
14 the study in those who were originally randomized to
15 intravenous ganciclovir, and 18 percent in those who
16 were randomized in the original first four weeks to
17 valganciclovir,

18 And again, all anemia was a little bit
19 more proportional between the treatment group, and the
20 proportion with concurrent zidovudine use at the time
21 of anemia, there were three patients, intravenous
22 ganciclovir, and seven patients in valganciclovir, and
23 I also mentioned MAC infection at baseline. I
24 sought to look at those patients who did have MAC and
25 to see if they were contributing more data to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 severe anemia in the valganciclovir arm, but
2 unfortunately my hypothesis didn't pan out to be true.
3 I did not find that all patients who were -- I did not
4 find that the MAC infection at baseline drove the
5 difference in the rates of severe anemia.

6 CHAIRMAN POMERANTZ: Yes.

7 DR. HANNUSH: I'd like to switch gears a
8 little bit here, but I think it's appropriate for the
9 morning session. With Dr. Pomerantz's permission, I'd
10 like to make a comment and then ask a question
11 probably to the sponsor, to the applicant.

12 I've been involved in this panel or a
13 similar panel for the past several years, and when we
14 reviewed the applications for intravitreal ganciclovir
15 implant, as well as for intravitreal formaversin
16 injections, the infectious disease experts on the
17 panel, and if my memory serves me correctly, Dr. Kumar
18 may have been there, constantly warned us that we are
19 concentrating on an end organ, and perhaps by doing
20 so, we would be ignoring the other manifestations of
21 an otherwise systemic disease.

22 With that comment in mind, I'd like to
23 know from maybe Dr. Stempien: was there any
24 evaluation of collateral benefit from the use of the
25 drug as opposed to this exhaustive discussion of side

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 effects? Was there any evaluation of other
2 manifestations, any beneficial manifestations of the
3 drug which may be behind your great interest in
4 getting this drug approved?

5 DR. STEMPIEN: With respect to the
6 uninvolved eye at baseline for patients who had
7 unilateral retinitis coming into the study, we found
8 that the occurrence of bilateral retinitis was
9 comparable in the two treatment groups. So that's
10 another measure of comparable efficacy.

11 In addition, we did follow all patients
12 for the development of extraocular CMV disease, and
13 within our 376 study, we had only one patient who
14 developed extraocular CMV, and that was a patient who
15 developed gastrointestinal CMV.

16 Now, you have to put that into context.

17 CHAIRMAN POMERANTZ: And just to follow
18 that up, nobody came into it with extra intestinal
19 CMV?

20 DR. STEMPIEN: We had one patient who came
21 in at baseline, one patient who came in at baseline
22 who had both CMV retinitis and also had CNS CMV
23 disease, had polyridiculopathy, and that patient did
24 not do well at all. That patient really was not
25 appropriate to be enrolled.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The patient only received two doses of
2 study drug and withdrew because they needed combined
3 agents for their CMV CNS disease, and that's the only
4 other patient that came into the study that we know
5 of.

6 CHAIRMAN POMERANTZ: Thank you.

7 DR. HANNUSH: So I can understand this
8 correctly, are you saying that of all the 160 patients
9 that were enrolled in the study, the only
10 manifestation of CMV disease was their ocular
11 manifestation with the exception of these two? There
12 were no other manifestations of disease that may have
13 been controlled or arrested?

14 DR. STEMPIEN: Yes, that's correct.
15 That's what I'm saying.

16 CHAIRMAN POMERANTZ: That's actually
17 fairly common, Dr. Hannush.

18 DR. KUMAR: Can I ask a question?

19 CHAIRMAN POMERANTZ: Yes, Dr. Kumar.

20 DR. KUMAR: Dr. Stempien, can I ask in
21 reference to this question that was raised how did you
22 collect the extraocular manifestations? Was it
23 systematically looked for for each presentation or was
24 it collected ad hoc?

25 DR. STEMPIEN: No, it was not a rigorous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 surveillance as we employed in our ganciclovir
2 prevention study where we had specific criteria for
3 every diagnosis of CMV. That was not the main concern
4 of this study.

5 This was a retinitis treatment study, but
6 we did collect all diagnoses of extraocular CMV. So
7 this is per the investigator's report to us, and we
8 did not collect biopsy information, culture data to
9 verify that.

10 DR. KUMAR: May I just follow-up on that
11 question?

12 Were most of your investigators
13 ophthalmologists or were they infectious disease
14 attendings?

15 DR. STEMPIEN: We had a mixture.

16 DR. KUMAR: Could you give us a --

17 DR. STEMPIEN: Usually --

18 DR. KUMAR: -- a proportion of who was
19 what? I'm just interested in that.

20 DR. STEMPIEN: I would have to look that
21 up, Dr. Kumar, but most of the principal investigators
22 were infectious disease working closely with
23 ophthalmologists.

24 CHAIRMAN POMERANTZ: Are there any other
25 burning questions?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You're burning over there, Dr. Fletcher.
2 Yeah, I know you're going to.

3 DR. FLETCHER: Just back to that about no
4 other manifestations of disease. Just to clarify, you
5 mean of end organ CMV disease; is that correct?
6 Because certainly some proportion -- I think it was
7 over half -- had CMV in their urine.

8 DR. STEMPIEN: Yes.

9 DR. FLETCHER: So when you say no other
10 manifestations --

11 DR. STEMPIEN: No, no, no.

12 DR. FLETCHER: -- you mean end organ.

13 DR. STEMPIEN: Yeah, I'm not talking about
14 viremia or shedding. I'm talking about end organ,
15 yes.

16 CHAIRMAN POMERANTZ: All right. For the
17 sake of time, let me just very quickly review what
18 we've heard this morning because it's a very
19 interesting application.

20 We've heard for one of the first times the
21 use of an anti-opportunistic agent to affect in this
22 case CMV in the setting of HAART. HAART has changed
23 everything. We've talked about this. This is an
24 important paradigm not only for CMV, but for the
25 future.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But we have a couple of questions that
2 have come up. Certainly the issues of whether this is
3 useful for induction has been well characterized, and
4 we will discuss it more this afternoon, but
5 maintenance is a separate issue with this, and whether
6 we are dealing with efficacy or whether we have to
7 deal with only PK data and the changes in chart
8 regimen that really confound the analysis of
9 maintenance at least for efficacy.

10 There's been a lot of discussion from my
11 pharmacological colleagues about whether AUC is a
12 proper parameter, and that will certainly be part of
13 the discussion this afternoon, and safety as well, in
14 particular, anemia and some discordance in the two
15 groups will come up, I'm sure, this afternoon.

16 So I see four or five major issues that
17 will be tightened into these four questions that we're
18 going to ask and answer this afternoon. Just so you
19 know what we're going to do, we're going to ask each
20 question separately. I will ask for discussion from
21 the Committee. Everyone will not have to give a blurb
22 though. So you're not going to be forced, but
23 everyone is going to have to vote of the voting
24 members on each issue, except for the last.

25 I thank you. I'm going to take Chairman's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 prerogative and take five minutes off of our lunch
2 hour, and ask you to come back at five after one.

3 Thank you.

4 (Whereupon, at 12:10 p.m., the meeting was
5 recessed for lunch, to reconvene at 1:05 p.m., the
6 same day.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:07 p.m.)

3 CHAIRMAN POMERANTZ: All right. So we
4 have an afternoon of digesting a few things.

5 We're going to start before we get into
6 the digestion of our classic open public hearing.
7 What I'm going to do, there's one person signed up.
8 We will then ask if there are others since it is an
9 open public hearing, and the first person for this
10 open public hearing is Mike Marco of the Treatment
11 Action Group.

12 Michael.

13 DR. MARCO: Thank you, Dr. Pomerantz.

14 I just wanted to say that I'm Michael
15 Marco from the Treatment Action Group. I'm the
16 Director of Infections and Oncology, and I am pleased
17 to finally be able to be in front of all of you to
18 discuss valganciclovir.

19 I'm glad this day has come. TAG does
20 support the approval of valganciclovir for induction
21 and maintenance for CMV retinitis in people with AIDS,
22 and, Dr. Pomerantz, I appreciate your comment that
23 said that while HAART had delayed getting
24 valganciclovir to this point and it's been problematic
25 for the sponsor, it has been good for the patients.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I want my comments to be brief because I
2 know we all want to get out of here soon. So I'd like
3 for all of you to remember me for my brevity and not
4 my astute comments.

5 (Laughter.)

6 DR. MARCO: There is a position paper from
7 the Treatment Action Group that's out on the table.
8 It's been passed out to all of you on the Committee,
9 and I want to draw your attention to it. I promise
10 that I will not go over it word by word. It's pretty
11 self-explanatory.

12 I do want to point out one typo that I
13 find sort of interesting. It's under valganciclovir's
14 pivotal CMV study section. It's the second sentence.
15 I say, "In 1997, the FDA would approve valganciclovir
16 solely on pharmacokinetic data." It should say "would
17 not approve valganciclovir solely on pharmacokinetic
18 data."

19 In retrospect, I actually think that the
20 FDA probably should have. I think that we have IV
21 ganciclovir and we have oral ganciclovir, and I think
22 the comparable PK data could have warranted the
23 approval for this drug.

24 Just quickly I'm going to steal some of
25 the thunder of the Committee, and I want to go through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the questions.

2 As far as the first question, do the data
3 submitted for the NDA support safety and efficacy, and
4 I say yes. I want you all to remember that this is
5 basically more data than we've ever had for other CMV
6 drugs. CMV drugs after valganciclovir were basically
7 approved using immediate versus deferred design. That
8 was basically placebo controlled.

9 And so it's possibly the Agency to not do
10 their job years ago when they should have had certain
11 drugs like foscarnet or cidofovir compared against
12 cyclovir.

13 So I do take my hat off to the sponsors
14 for taking their oral drug and comparing it to IV,
15 which is the gold standard. That has not been done
16 before in a registrational study.

17 And you should also pay attention to the
18 FDA's analysis. They did an excellent job, and I
19 truly believe that they showed that they were both
20 comparable as far as safety and efficacy, at least in
21 the induction.

22 As far as the second question, I do
23 believe that this has enough information for
24 maintenance therapy. Oral ganciclovir is approved for
25 maintenance therapy. Hoffman LaRoche will know that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I'm probably the harshest critic when it comes to oral
2 ganciclovir. I don't like the drug.

3 I don't like the drug because I'm not sure
4 how effective it is at least for prophylaxis. For
5 maintenance therapy you need at least 12 tablets a
6 day. There are investigators who think you should
7 almost take 16 to 20.

8 I think all of you know that HAART
9 regimens have a myriad of pills that patients have to
10 take. So if somebody has CMV retinitis, adding 12 to
11 16 pills extra is just too much. Resistance is easy
12 to this drug, and so if we can just bring it down to
13 two pills a day hopefully that will help out with
14 resistance.

15 I fear that the Committee has gotten a
16 little too stuck on the anemia question and the
17 problems with anemia. For those of us who have been
18 doing this work for a great deal of time, and I know
19 many of you have who see patients, Dr. Kumar, Dr.
20 Owens, we all know that IV ganciclovir does cause
21 anemia, and I know that most every clinician is aware
22 of it and knows how to treat it.

23 So putting somebody on valganciclovir, I
24 think clinicians will be monitoring anemia and all of
25 the cytopenias that come along with it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I, lastly, just wanted to say that I'd
2 like to see Roche work hard and even harder on getting
3 this drug approved for prophylaxis. Oral ganciclovir
4 I do not find effective at all for prophylaxis of CMV,
5 but I do think that valganciclovir will be an
6 excellent drug and should do well in studies, and they
7 should help support the ACTG team if they need to find
8 additional site.

9 And if valganciclovir is approved and also
10 approved later on for prophylaxis, they can take oral
11 ganciclovir off the shelves.

12 Thank you.

13 CHAIRMAN POMERANTZ: Thank you, Michael.

14 This is an open microphone right now. So
15 is there anyone else or any other group that would
16 like to make comments on the drug before us today?
17 Speak now or forever hold your peace.

18 (No response.)

19 CHAIRMAN POMERANTZ: Okay. We will close
20 the open public hearing and move right to the
21 Committee's discussion and votes.

22 As I said in the morning, what I'd like to
23 do is deal with each question obviously separately for
24 a discussion from the Committee, and then at the end
25 of the discussion, we'll have a person-by-person vote

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 which will be tallied by Tara.

2 All right. So can we have the slides with
3 the questions up there now?

4 Okay. So we're in revised questions to
5 the Committee, not the first one in your handout.

6 Do the data submitted in this NDA support
7 the efficacy of valganciclovir for induction therapy
8 of CMV retinitis? If the answer to this question is
9 yes, in your discussion please consider the limited
10 sample size in a study with an equivalence design and
11 the clinical significance of the lower bound of the 95
12 percent confidence interval of minus 13 percent.

13 If the answer to the question is no, in
14 addition to the above considerations, please comment
15 on what further clinical data should be required.

16 This question is open for discussion.
17 Someone has to say something.

18 DR. BRESSLER: I'll start.

19 CHAIRMAN POMERANTZ: Neil.

20 DR. BRESSLER: I'll start. I would say
21 the answer answer for me would be yes. The long
22 answer is that although the data is limited because of
23 the size, that's nothing that you can ever overcome,
24 although the data is limited because of some people
25 lost to follow-up. That's data you can't overcome.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The important thing to do me is that the
2 effect on progression was so close between the two
3 sides, despite the limited numbers and that even the
4 details shown for border activity was so close despite
5 the small numbers that were done that it seems logical
6 to present this data to physicians, let them use that
7 if they believe it's the best way to induce the
8 patient, and they are not confined to only using this
9 drug if they see some progression.

10 And although once there's progression,
11 there's permanent loss of visual acuity in that
12 peripheral field. It's usually not going to be so
13 fast that the physician couldn't necessarily switch to
14 some other regimen, and so because the effects see so
15 similar, because the totality of the evidence seems to
16 suggest that it's okay, for me it overcomes any of the
17 design limitations, which there are and which
18 physicians should recognize when they decide, okay,
19 I'm going to try this. It has a few limitations, but
20 I'm comfortable.

21 CHAIRMAN POMERANTZ: Yes, Dr. Mindel.

22 DR. MINDEL: I'd say no. I think there's
23 basic flaws in the way the study was formulated. Dr.
24 Martin's first patients showed progress at two weeks,
25 and he said this is not uncommon, and it's true. It's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not uncommon that you can get progression at two
2 weeks.

3 So I think a four-week study is too short.

4 And also the criterion then of 750 microns
5 necrosis is a fair amount of necrosis in a short
6 amount of time. So just on that basis, I don't think
7 the data are convincing.

8 CHAIRMAN POMERANTZ: Yes.

9 DR. PULIDO: Point of clarification.
10 Maybe I didn't hear Dr. Martin properly, but I didn't
11 hear anything about progression of the case that he
12 showed.

13 PARTICIPANT: That is correct. He showed
14 that it failed to completely resolve.

15 CHAIRMAN POMERANTZ: Hold it, hold on.

16 Dr. Martin, could you clarify that,
17 please?

18 DR. MARTIN: That is absolutely correct.
19 There was no progression at two weeks or at four weeks
20 or eight weeks.

21 CHAIRMAN POMERANTZ: Neil, Dr. Bressler?

22 DR. BRESSLER: I was just going to say it
23 just didn't completely resolve at two weeks. So there
24 was still evidence of that whitish retinitis. It was
25 less than before. It hadn't progressed beyond its

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 original area.

2 DR. MARTIN: Typically when one starts
3 induction therapy, it takes several weeks before the
4 border of pacification to clear. Very common at two
5 weeks to still have some border of pacification. The
6 important thing is that there was no expansion of the
7 lesion during that period of time.

8 CHAIRMAN POMERANTZ: Dr. Mindel, you had
9 some comments.

10 DR. MINDEL: No, other than it's my
11 impression though that it isn't unusual for there to
12 be continued progression in the initial few weeks of
13 therapy. Is that incorrect?

14 DR. MARTIN: There can be continued
15 movement beyond 750 microns during that time point.
16 That is correct, but it did not happen in that
17 patient.

18 CHAIRMAN POMERANTZ: Neil.

19 DR. BRESSLER: Perhaps it would be useful,
20 and, Joel, this might be helpful to address your
21 concerns as well. The 750 microns can be important if
22 it wipes out your foveal center. The sponsors and the
23 FDA said that the visual acuity outcomes were not
24 different between the two groups or they were similar,
25 and they didn't show any large deteriorations in that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 first two to four weeks for most of the patients, and
2 the deteriorations were similar.

3 It might be helpful to have more detailed
4 visual acuity analysis to confirm since we have such
5 little data. You know, we're working with very small
6 numbers, to have the actual visual acuities because
7 the ranges that were used were, you know, your 20-40
8 or better or your 20-50 to 21-20 or your 2200 or
9 worse, and those are quite broad in a way.

10 But it implied at least to me that
11 whatever progression did occur didn't necessarily
12 cause more vision harm in one than the other, and this
13 was important since this study did look at the Zone 1
14 cases, which are the ones that would impact visual
15 acuity, which we wouldn't see with other cases where
16 there isn't a lot of Zone 1 disease being looked at,
17 where peripherally it has to come a long way to impact
18 visual acuity.

19 CHAIRMAN POMERANTZ: Dr. Ram Yogev.

20 DR. YOGEV: I'm struggling between
21 academia and practicality, and I have to say yes at
22 the end because if you look at what we approved in the
23 past, not specifically as a Committee, but the FDA,
24 and the n of patients it was approved on and we accept
25 today that the ganciclovir IV, five milligram is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 drug of treatment, this data that were presented to us
2 to me are sufficient to say that at least for the
3 induction I would support that this drug should be
4 approved.

5 CHAIRMAN POMERANTZ: Other comments?

6 Yeah, Dr. Fong.

7 DR. FONG: I agree with everything that
8 everybody has said this morning. I agree with you
9 with the use of HAART nowadays. It's very difficult
10 to do studies with CMV, and it's particularly
11 difficult to sort of look at the time to progression.
12 So I think given all of these limitations, I'm very
13 convinced that there is equivalence between
14 valganciclovir and IV ganciclovir. So I would vote
15 yes for approval.

16 CHAIRMAN POMERANTZ: For induction
17 approval, yeah.

18 DR. FONG: For induction.

19 CHAIRMAN POMERANTZ: Other comments?

20 (No response.)

21 CHAIRMAN POMERANTZ: We're going to get to
22 a quick vote here.

23 I have one comment, and that is I
24 understand Dr. Mindel's feelings. Four weeks is short
25 and worrisome, and there is a lot of confounding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 variables that can get into this, even with all of the
2 very nice work the group did to alleviate my concerns,
3 and I am more comforted now that HAART did not have a
4 lot of problems in affecting this analysis at least in
5 the first four weeks.

6 But that being said, there is the question
7 of a real world component here, and although I remain
8 somewhat concerned, I, too, would vote yes knowing
9 that this is a bit of a paradigm shift, but so was
10 HAART development and the change in the epidemic.

11 Other -- yeah.

12 DR. FLETCHER: A point where I need some
13 statistical clarification is on the issue of
14 equivalence. From the data we have, can we really
15 conclude that these are equivalent or is it more
16 appropriate that valganciclovir is not inferior?

17 Now, maybe that's splitting a fine hair,
18 but perhaps someone from the FDA would want to comment
19 on what the most correct interpretation of the data
20 are, equivalence or not inferior.

21 DR. YOGEV: What's the difference? I mean
22 there's either equivalence --

23 CHAIRMAN POMERANTZ: If you're going to
24 talk, talk in -- hold on, hold on. We're not
25 recording of that. You've got to talk into the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 microphone.

2 DR. YOGEV: I don't understand. What's --
3 if it's not equivalent, it's either inferior or
4 superior, what other levels are there?

5 DR. FLETCHER: I'm not sure there's a
6 difference either, but the sponsors seemed to take
7 care in their presentation to say that the design was
8 a not inferior design, and in the question that the
9 Committee is being asked it says it's an equivalence
10 design, and I'm looking for some guidance.

11 Are those, indeed, the same?

12 CHAIRMAN POMERANTZ: Are there some
13 comments from the FDA?

14 DR. BIRNKRANT: Dr. (unintelligible) will
15 be answering for the FDA.

16 PARTICIPANT: Yeah, there really are no
17 difference between the inferiority and equivalence,
18 you know, for analysis. Both of them use the lower
19 bound of 95 percent confidence interval. That's the
20 number we should be looking at for the inference to
21 describe similarity of the two drugs.

22 CHAIRMAN POMERANTZ: Final discussion
23 points? Yeah, FDA.

24 DR. CVETKOVICH: If I could just clarify
25 one maybe, I don't know if this will help you or not,

1 but the reason we emphasize our analysis and looked at
2 the lower limit of the confidence interval was, you
3 know, the question is if it's as -- you know, on one
4 hand it could be better, and on the other hand, it
5 could be worse based on the confidence intervals.

6 What we're really concerned about it,
7 would it be worse? If it's better, super. But the
8 clinical relevance of being potentially 11 to 13
9 percent worse than proven therapy, and that's really
10 what we're here to decide, whether that is okay,
11 whether there are limitations to that, what we think
12 about that.

13 I don't know if that helps. I think
14 whether it's a noninferiority or -- the name of it is
15 probably not going to change the way you think about
16 it.

17 CHAIRMAN POMERANTZ: Courtney.

18 DR. FLETCHER: It does because I'm
19 wondering how would you contemplate translating that
20 into the label. How do you communicate then that to
21 the patients or the physicians that prescribe the
22 drug, the patients, you know, that will take this
23 drug?

24 Is there a way then to say it is no worse
25 than -- I'm trying to find the -- you know, it's not

1 more than 13 percent worse.

2 DR. FONG: Drugs aren't compared to each
3 other on the label in general. Isn't that so?

4 DR. CVETKOVICH: Yeah, we knew from the
5 outset that we would have trouble or this would not be
6 exactly the same as other studies because of its size
7 limitations, and we knew it would be under powered to
8 really demonstrate equivalence. And I guess what
9 we'll come down to is adequately qualifying it, and
10 what we had envisioned would be to provide the
11 confidence interval, and that should indicate both by
12 the width and the directions, you know, the amount of
13 uncertainty that's there.

14 If you think it doesn't, I guess we need
15 to hear that, but that's what we have.

16 CHAIRMAN POMERANTZ: So you're going to
17 provide it on the label to let physicians decide what
18 they can make of that?

19 DR. BIRNKRANT: If labeling is developed
20 for this drug, then we will put in a description of
21 the clinical studies, as well as the analyses, which
22 will include the 95 percent confidence intervals.

23 CHAIRMAN POMERANTZ: Thank you.

24 Yeah, Ram.

25 DR. YOGEV: I think that when you discuss

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that you have to pay attention also there are four
2 times more side effects which are life threatening.
3 I'm talking about catheter induced, and if you put
4 that into it, it would be more than minus 13 on the
5 ganciclovir IV as worse, as a whole for the patient --
6 and that's why I say it's academia versus practical.

7 And I think when you put those together,
8 I feel relatively comfortable with minus 13 as the
9 worst scenario. That does not mean that that's what
10 would happen.

11 CHAIRMAN POMERANTZ: Thank you.

12 Yes, Dr. Dr. Hannush.

13 DR. HANNUSH: This may be a little bit of
14 elaboration on what Dr. Yogev just said. Again,
15 having done this for several years, I'd just like to
16 make a couple of comments.

17 First of all, this being the seventh drug
18 to be approved for this indication, I don't think the
19 FDA would be coming to us if the science was clear,
20 meaning this may have been approved internally if the
21 science was clear.

22 Therefore, they're coming to us because
23 the science is not clear, and that's why we're having
24 this discussion, and I feel that if you'll excuse the
25 pun, we have to make an inductive leap here in making

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a decision where we make a decision based on the human
2 factor, based on other factors we're trying to take
3 into consideration as to what is in the best interest
4 of our patients.

5 Do we want another option for our patients
6 considering many factors?

7 With that in mind, I think it's
8 reasonable, and I find myself in a situation where we
9 are like a jury ignoring the judge's recommendations,
10 so the Judge being the science here. The science is
11 clearly -- with a four-week study, the science is
12 clearly not conclusive here.

13 But I think we need to take all of that
14 into account and make a decision in the interest of
15 our patients, and with that in mind, the answer to the
16 first question in my mind is yes.

17 Now, historically also I have been
18 accosted after the meeting, and with all due respect
19 to the statisticians and to the doctors of
20 pharmacology, they would come to us after the meeting,
21 and one person I remember specifically who I had an
22 encounter with after the meeting who after many
23 explicatives says to me, "You M.D.s are always
24 negating the science and making decision based on
25 factors that were not presented at the meeting."

1 Well, we are the ones taking care of the
2 patients, and we have to take the human factor into
3 consideration, and I think that plays a big role.

4 So in my mind if there's not significant
5 harm done, and I think the safety data is equivocal,
6 I think it's reasonable to err in favor of giving the
7 patients another options.

8 CHAIRMAN POMERANTZ: Our one nay vote, Dr.
9 Mindel, so far.

10 DR. MINDEL: Well, does the FDA want us to
11 answer the question or does the FDA want us to answer
12 a question should this drug be approved?

13 CHAIRMAN POMERANTZ: The FDA is asking you
14 to answer this particular question right now.

15 DR. MINDEL: This particular question. So
16 if you look at that question, how are you going to
17 answer it?

18 I mean, it seems to me a lot of this
19 discussion is answering a different question, and I
20 might vote in favor of approving this drug even though
21 I might say that the data doesn't support it. I want
22 that option left open.

23 CHAIRMAN POMERANTZ: We don't approve
24 drugs here. We make recommendations based on the
25 data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MINDEL: No, but I'm saying I might
2 vote for approval, even though I might vote no on
3 every one of these questions.

4 CHAIRMAN POMERANTZ: Well, you're not
5 going to be asked that though.

6 DR. MINDEL: Okay. Well --

7 CHAIRMAN POMERANTZ: I mean, it's like a
8 study section at the NIH. You're not asked whether
9 you're going to fund the grant. You're asking what
10 you think of questions on the science.

11 So if you want to answer this question as
12 no, then your answer is no.

13 Dr. Wong.

14 DR. WONG: I guess I disagree on the issue
15 of the science, and in my mind the sponsor has
16 demonstrated the efficacy of this drug in the
17 induction phase.

18 The four weeks doesn't bother me. It
19 seems to me the results are clear, and I guess as an
20 additional comment, I think that the old study design
21 under which the previous CMV drugs have been analyzed
22 probably is unethical in 2001 to do. So that some
23 other design has to be derived, and I think this one
24 was fine.

25 CHAIRMAN POMERANTZ: Dr. Mindel.

1 DR. MINDEL: I would agree that the
2 results of the study are clear, but the question is
3 whether the premises on which it was based are
4 reasonable. That is a four-week study and 750 microns
5 of necrosis, additional necrosis within that period to
6 show a difference.

7 CHAIRMAN POMERANTZ: Are there any other
8 comments? Dr. Fong.

9 DR. FONG: I'd like to hear how you'd
10 follow up with that. If you don't think the study
11 design is good, what would you recommend?

12 DR. MINDEL: I would recommend -- I
13 wouldn't -- I understand the difficulties that the FDA
14 is facing. You can't change your therapy, your heart
15 therapy. You have to keep your basic therapy the
16 same, and you're adding another drug. If the disease
17 is going to progress, how long are you going to -- but
18 when you don't know the answer, a month is a
19 reasonable study.

20 It's not that I disagree with that. It's
21 just a question of whether you accept the data as
22 answering the question. I don't know how else to say
23 it.

24 CHAIRMAN POMERANTZ: No, that's a very
25 important point. Are there other -- in particular,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'm asking ophthalmologists here who feel that the
2 data does not answer that question to support
3 efficacy.

4 There are other ophthalmologists here.
5 Comments?

6 DR. BRESSLER: You can get progression in
7 these cases within four weeks, and the fact that the
8 progression by careful photograph analysis was so
9 similar between the two and that the reduction in
10 activity was so similar between the two suggests to me
11 that there was a true induction effect by the drug to
12 a level that appears to be safe enough to attempt for
13 the patient at this time.

14 CHAIRMAN POMERANTZ: Other comments?

15 DR. FONG: I think -- I'm sorry.

16 CHAIRMAN POMERANTZ: Yeah, Dr. Fong.

17 DR. FONG: Well, I think given the use of
18 HAART therapy, I just think you would just have to
19 follow these patients for too long a period of time to
20 do the kind of study that would satisfy, you know,
21 what you're looking for.

22 CHAIRMAN POMERANTZ: And importantly, no
23 one is going to not change HAART therapy in the
24 setting of a new opportunistic infection obviously
25 with some caveats.

1 We're going to have a vote on this
2 question. Do the data submitted in this NDA support
3 the efficacy for valganciclovir for induction therapy
4 of CMV retinitis?

5 There are some people who are nonvoting
6 guests: Dr. Chan, Dr. Piscitelli, Dr. Sun, Dr.
7 Crittenden, and obviously everyone at the table from
8 the FDA.

9 But I will go around the room and ask for
10 a yea or nay without comment vote at this time.

11 Dr. Wong.

12 DR. WONG: Yes.

13 DR. YOGEV: Yes.

14 CHAIRMAN POMERANTZ: Turn on your mics,
15 yeah. Okay. Dr. Yogev?

16 DR. YOGEV:

17 CHAIRMAN POMERANTZ: Dr. Pulido?

18 DR. PULIDO: Yes.

19 CHAIRMAN POMERANTZ: Dr. Rodvold?

20 DR. RODVOLD: Yes.

21 CHAIRMAN POMERANTZ: Dr. Mathews.

22 DR. MATHEWS: Yes.

23 CHAIRMAN POMERANTZ: Dr. Mindel.

24 DR. MINDEL: No.

25 CHAIRMAN POMERANTZ: Dr. Bressler.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BRESSLER: Yes.

2 CHAIRMAN POMERANTZ: I vote yes.

3 Dr. Kumar.

4 DR. KUMAR: Yes.

5 CHAIRMAN POMERANTZ: Dr. Fong.

6 DR. FONG: Yes.

7 CHAIRMAN POMERANTZ: Dr. Hannush.

8 DR. HANNUSH: Yes.

9 CHAIRMAN POMERANTZ: Dr. Fletcher.

10 DR. FLETCHER: Yes.

11 CHAIRMAN POMERANTZ: Dr. Bertino.

12 DR. BERTINO: Yes.

13 CHAIRMAN POMERANTZ: Okay. All yeas with
14 one nay.

15 We will now move on to the next
16 discussion. That's actually the way that it happens
17 here a lot. So that's okay.

18 Do the data submitted in this NDA support
19 the efficacy -- that you for putting that slide up --
20 efficacy of valganciclovir for the maintenance therapy
21 of CMV retinitis? Maintenance therapy.

22 If the answer to this question is no,
23 please comment on what further clinical data should be
24 required.

25 This question is now open for discussion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dr. Yogev.

2 DR. YOGEV: Well, I think everybody would
3 agree we don't know because most of the patients were
4 on ganciclovir, all of them. So you get a curve,
5 which looks nice, but what I'm comparing it to? So
6 unless I resort to historical data, which were not
7 exactly presented over here, I have a problem to
8 answer yes or no because the honest answer would be I
9 don't know.

10 CHAIRMAN POMERANTZ: So right now you're
11 going to abstain courteously?

12 DR. YOGEV: Yeah, unless somebody can give
13 us some data. What type of a maintenance without the
14 drug? You see, I'm impressed that ganciclovir oral is
15 approved for maintenance when if we look at the PK,
16 I'd be fascinated if somebody would show me the
17 maintenance --

18 CHAIRMAN POMERANTZ: Yeah, but you can't
19 do a therapy with induction without maintenance. That
20 I think by anyone's idea would be unethical.

21 DR. YOGEV: I'm just trying to raise the
22 point the data presented both by this company and the
23 FDA did not allow us to make a decision because all of
24 the curves you saw were valganciclovir alone doing
25 something.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It would be nice if somebody can show us
2 what ganciclovir oral maintenance did, some
3 comparison. Otherwise I'm not sure that's enough.

4 CHAIRMAN POMERANTZ: That's a reasonable
5 question. If there are historical controls, we'd like
6 to talk about, here that maybe the FDA or the
7 applicant would like to talk about, comparing to
8 historical ganciclovir controls? A reasonable
9 question.

10 DR. FONG: Well, can I make one
11 observation before they speak?

12 CHAIRMAN POMERANTZ: Please, Dr. Fong.

13 DR. FONG: I mean, in the presence of
14 HAART therapy there are people who talk about not
15 using any treatment at all, assuming the CD4 count,
16 the T cell count is up. So I think that comparing to
17 history may or may not be useful.

18 I think that, you know, if we believe that
19 this drug is good enough to do induction, certainly
20 reached high enough levels, and it certainly in terms
21 of the pharmacokinetics looks better than the oral
22 drugs, and the oral drug is approved for maintenance.

23 I would have to say that, yes, I would
24 advocate that valganciclovir be approved for
25 maintenance.

1 CHAIRMAN POMERANTZ: So you've given your
2 discussion and your vote there. Thank you.

3 DR. FONG: Yes.

4 CHAIRMAN POMERANTZ: Ram.

5 DR. YOGEV: I just want to -- you hit the
6 nail on its head. See, I'm very concerned that this
7 I heard from the FDA was not presented by the company.
8 Most of the patients change HAART at four weeks. So
9 I look at it as two different studies, one which was
10 without change of the HAART, which obviously didn't
11 work if you look at the viral load of five or four
12 log, if you look at the CD4 are low, and then most of
13 them are changing therapy, and then you see a curve
14 which comes down.

15 As I mentioned before, it's very
16 reminiscent of population curve of what happened to
17 CMV in the population without valganciclovir and so
18 forth. That's why I'm trying to suggest that this
19 combination of changing HAART, which we know will have
20 an effect within the next six to -- eight to 12, 16
21 weeks, and that's what we've got, versus is it really
22 valganciclovir what we saw, or is it the HAART.

23 And that's why if you agree with that, I
24 have a problem to say that valganciclovir is doing
25 good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CVETKOVICH: Can I just may --

2 CHAIRMAN POMERANTZ: Please, please.

3 DR. CVETKOVICH: -- help to address that
4 issue?

5 I think that it sounds as though at least
6 for you we have not adequately made explicit why we
7 believe that the approach of approval based on
8 pharmacokinetic supported by safety data is a
9 reasonable approach for the maintenance therapy.

10 We never viewed the continuation part,
11 the maintenance part of the induction study or the
12 open label safety study because they were single arm
13 studies. Certainly we looked at them and were there
14 anything alarming we would have taken note, but in
15 truth, without a comparison, as you say, we can't draw
16 any conclusions about the efficacy, clinical efficacy
17 in maintenance.

18 However, we didn't believe that that was
19 necessary because we have a very adequate
20 pharmacokinetic argument, as well as adequate safety
21 data, and I think Robert could maybe clarify for you
22 what our position on the pharmacokinetics is, and
23 then, Dr. Stempien, if there's anything you want to
24 add, we'll do that.

25 Is there anything you want to add at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 moment?

2 DR. STEMPIEN: I'll follow.

3 DR. CVETKOVICH: Okay, great.

4 DR. YOGEV: Just before I get answered, I
5 did want an answer to the Question 3, which you
6 raised, but that's what my major concern is. I don't
7 think that we don't have a different toxicity.

8 CHAIRMAN POMERANTZ: We're not going to
9 talk about safety now.

10 DR. YOGEV: No, just because you
11 mentioned --

12 CHAIRMAN POMERANTZ: I know, but we're not
13 going to do that because we'll get to it, and we can
14 deal with it. Let's deal with what we just asked
15 about.

16 DR. YOGEV: But to me the PK being above
17 doesn't always mean it's okay. If you try to
18 ignore --

19 DR. CVETKOVICH: No, and we wouldn't think
20 that either. You have to understand that it's
21 bracketed. The ganciclovir levels that are achieved
22 with the administration of valganciclovir are
23 bracketed by two approved doses or formulations and
24 doses of ganciclovir, the IV and the oral.

25 And maybe, Robert, you could explain that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a little further.

2 CHAIRMAN POMERANTZ: Robert.

3 DR. KUMI: I don't know if it's possible
4 to have the slide from before. I think it's number
5 11.

6 All right. There's the IV profile which
7 has the highest C-max, as you can see, and that's an
8 improved regimen, and then there's the oral
9 ganciclovir, which is kind of the lowest profile,
10 which is also an approved regimen.

11 And from the plots, the ganciclovir
12 concentrations are basically between those of the two
13 approved regimens. So we have some -- I guess we have
14 less concern about the concentrations being so
15 different between valganciclovir and the oral
16 ganciclovir, it's like kind of closer to IV, if
17 anything, than to oral ganciclovir.

18 DR. CVETKOVICH: Could I --

19 CHAIRMAN POMERANTZ: No, we haven't gotten
20 to our application.

21 Sure, please. You have comments?

22 DR. STEMPIEN: I just wanted to add a few
23 clinical comments to the discussion. You know, the
24 dose of IV ganciclovir has always been limited more by
25 tolerability issues than by a maximum efficacy, if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will, and that's how we settled on the dose of IV
2 ganciclovir in the past. It was we dosed as high as
3 we could, and we ran into tolerability issues, and we
4 ended up with the approved dose that we have.

5 We believe that the PK profile of
6 valganciclovir is about as close as we can get to
7 matching an IV formulation with an oral medication,
8 and even with this similar PK profile, if I could have
9 the slide up, even coming fairly close to matching
10 systemic exposures -- this was from my primary
11 presentation -- you can see that even delivering IV
12 ganciclovir exposures with valganciclovir, this was my
13 -- the curve from the 376 study, which showed that
14 patients were still progressing.

15 Now, keep in mind after four weeks
16 everyone is on valganciclovir getting systemic
17 exposures comparable to IV, and patients are still
18 progressing over time. This is regardless of
19 modifications to their underlying HIV regimens because
20 after four weeks, physicians were able to modify HAART
21 or any other HIV medication that patients were on.

22 And if I could have the next table, slide
23 up. C-25, please.

24 Well, the point that I wanted to make with
25 the next table that I was calling for was the -- here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it is. This is the table from which that Kaplan Meier
2 was generated.

3 I just want to point out that when you
4 follow time to progression out to clinical cutoff in
5 our study, half of the patients are progressing, and
6 this is over about ten to 12 months of study conduct,
7 and the median times to progression, while they are
8 somewhat longer than what we've seen in pre-HAART
9 studies, nonetheless, I don't think we should be
10 satisfied with this. The median time to progression
11 of 160 days.

12 So I would hate to see us dismiss or
13 deemphasize the importance of maintenance dosing in
14 patients who really may need it for a period of time,
15 and I do think that the treating community has such a
16 good experience with ganciclovir, which has been on
17 the market for 12 years, that they will be able to
18 manage the safety profile of valganciclovir.

19 And if I could just have that slide up.

20 Here's the slide of adverse event
21 withdrawals in our 376 study, and this shows you the
22 reasons for a patient to withdraw, safety reasons, all
23 the way out to clinical cutoff.

24 I just want to point out we only had one
25 patient in each group who left our 376 study because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of anemia. So I just don't want to see too much
2 emphasis being put on the anemia issue.

3 Thank you.

4 CHAIRMAN POMERANTZ: I'll tell you my
5 feelings on this for just a second, and I'll get to
6 everybody.

7 I understand what Ram is saying all too
8 well. I personally feel that the maintenance is the
9 most troublesome arm simply because I like to see
10 efficacy data, and I don't believe you can interpret
11 as the applicant has alluded to at times that HAART
12 was allowed to be changed.

13 Once you change HAART, everything is off,
14 I would say, on efficacy. You could have the immune
15 reconstitution syndrome in some patients. You could
16 have people who were not on HAART getting started.
17 That had dramatic changes in their immune function.

18 There are a whole panoply of things that
19 confound that. That being said, this is in my mind
20 going to be the coin of the realm in the era of HAART,
21 meaning you will have to allow this to happen in a
22 variety of anti-opportunistic infections because you
23 have this large anti-retroviral armamentarium, and
24 unfortunately we do have to rely -- I'm not a
25 pharmacologist -- unfortunately you do have to rely

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 only on PK data.

2 I am not putting much to the -- I mean,
3 it's nice that the efficacy looked like it was okay,
4 but there's enough there so that I agree with Ram. I
5 can't scientifically say that there isn't enough black
6 boxes there to confound it.

7 That being said, the PK data is extra
8 ordinary, and I think for what we have so far, it
9 makes sense and will continue to be unfortunately, or
10 fortunately for the patients, what we have to do in
11 the post-HAART era.

12 Yeah, Dr. Mathews.

13 DR. MATHEWS: I agree with what you've
14 just said, and I think for the intellectual integrity
15 of the Committee's functioning we should request that
16 the question be reformulated because I think very
17 clearly we don't have data to answer the question
18 based on demonstrated efficacy. There was no
19 comparative group for that part of the study.

20 On the other hand, if you -- and the
21 historical controls are not particularly relevant here
22 because the historical controls have progression,
23 median time to progression, I think about half of what
24 this observed is.

25 So really the question is: do we think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they're pharmacokinetically equivalent, and the answer
2 is --

3 CHAIRMAN POMERANTZ: I mean that's a very
4 big thing. Let me ask the FDA if they want anything
5 to be changed in the semantics of the question.

6 DR. BIRNKRANT: We would be willing to
7 amend the question to clarify it so that it could
8 read, "Do the pharmacokinetic data submitted in this
9 NDA support the efficacy for maintenance therapy?"
10 given that we do not have any comparative efficacy
11 data.

12 CHAIRMAN POMERANTZ: So it would read as
13 what? So tell me again.

14 DR. BIRNKRANT: Just substitute the word
15 "pharmacokinetic" before "data." Do the
16 pharmacokinetic data?

17 DR. YOGEV: Can you take away the word
18 "efficacy"?

19 (Laughter.)

20 CHAIRMAN POMERANTZ: I think she --

21 DR. YOGEV: Because the PK support
22 maintenance therapy, but we cannot discuss the
23 efficacy.

24 CHAIRMAN POMERANTZ: I mean then you --

25 DR. BIRNKRANT: I'm willing to do that, as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 well.

2 CHAIRMAN POMERANTZ: Hold on. So you
3 would say do the pharmacokinetic data submitted in
4 this NDA support the use? The what?

5 DR. BIRNKRANT: The use of valganciclovir
6 as maintenance therapy.

7 CHAIRMAN POMERANTZ: Does that make Dr.
8 Ram happier?

9 DR. YOGEV: Much happier because I would
10 say yes by the PK. I don't know the efficacy.

11 CHAIRMAN POMERANTZ: What about Dr.
12 Mathews, who brought this up?

13 DR. MATHEWS: Yeah, you know, if this drug
14 had a much more adverse toxicity profile, it would be
15 a more significant question. So if it were much more
16 toxic and long-term use so that someone could make an
17 argument, well, it's a great drug for inducing, but
18 you wouldn't want to use it long term.

19 But that's not the case here. We're not
20 seeing anything new in the longer term exposure.

21 CHAIRMAN POMERANTZ: No, those are good
22 points. Once again, would you be happy with those
23 changes as outlined by the FDA?

24 DR. MATHEWS: Yes.

25 DR. BRESSLER: But I'll just point out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that is a different question because as you had
2 mentioned earlier, you said there was no efficacy
3 data.

4 DR. BIRNKRANT: There's no comparative.

5 DR. BRESSLER: I understand.

6 CHAIRMAN POMERANTZ: There's just no good
7 efficacy data.

8 DR. BRESSLER: Okay.

9 CHAIRMAN POMERANTZ: There's efficacy
10 data.

11 DR. BRESSLER: All right. Because the
12 efficacy data that was given in the briefing by the
13 sponsors is not what you are saying is efficacy data.
14 You know, there was something that they put under the
15 title of efficacy, and then you were stating that,
16 well, that's not efficacy. So asking this question is
17 a different question then.

18 CHAIRMAN POMERANTZ: Let the FDA respond.

19 DR. BIRNKRANT: I think the applicant
20 would agree, and they can speak to this as well, that
21 the data to support the maintenance use of
22 valganciclovir is being driven by the pharmacokinetic
23 data, as well as the safety data that's been provided.

24 CHAIRMAN POMERANTZ: Does the applicant
25 have a comment on that one?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. STEMPIEN: We're satisfied with that.
2 We feel we do provide efficacy data, but the point is
3 well taken. We have no direct comparative efficacy
4 data beyond that four-week period. So that's
5 absolutely fine.

6 CHAIRMAN POMERANTZ: Do you have a
7 question there, Dr. --

8 DR. BERTINO: I do.

9 CHAIRMAN POMERANTZ: Yeah.

10 DR. BERTINO: Oh, sorry. Could we pull up
11 Dr. Kumi's slide number 11 again? I just want to --

12 CHAIRMAN POMERANTZ: That's impressive.
13 You memorized the slides.

14 (Laughter.)

15 DR. BERTINO: He actually passed me a
16 note.

17 I just want to throw this out, and just
18 food for thought, which is if you look at -- we all
19 remember what his slide looks like -- so if you look
20 at this slide here for oral ganciclovir, that gram
21 three times a day dose, the AUC average is 13. So if
22 the -- you know, based on what we heard this morning
23 where an AUC for efficacy is related to an AUC of 26
24 to 30, this data for oral ganciclovir in terms of
25 efficacy was produced back in the early '90s before

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 HAART therapy, and it's approved for maintenance.

2 So I'm going to bring this up again with
3 safety, Dr. Pomerantz, but my concern about the
4 efficacy in maintenance has to do with the dose. Is
5 the dose -- can we go better with side effects?

6 I understand we're saying, well, you know,
7 the side effect profiles for IV and oral weren't
8 different, but I'm asking the question: can we go
9 better with side effects by using a reduced dose?

10 And does the old data for oral ganciclovir
11 at a gram three times a day back before HAART support
12 lower exposures being more effective for maintenance
13 therapy?

14 And, once again, we'll bring that up with
15 safety.

16 CHAIRMAN POMERANTZ: Roche's response?

17 DR. STEMPIEN: Yeah, I'd like to speak to
18 that point.

19 CHAIRMAN POMERANTZ: Please.

20 DR. STEMPIEN: The IV ganciclovir and oral
21 ganciclovir formulations are both approved for
22 efficacy, but we have a big black box warning in our
23 label with the oral formulation warning the treating
24 physician that patients who take oral ganciclovir will
25 progress earlier.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So oral ganciclovir has never been
2 positioned as equivalent to IV. It does not match IV
3 ganciclovir efficacy in maintenance therapy.

4 And now we have an oral agent that can
5 provide systemic exposure comparable to IV. There is
6 no reason to compromise on efficacy in a maintenance
7 setting anymore. We have a formulation that can match
8 IV ganciclovir exposure. This is what the treating
9 ophthalmologists want.

10 DR. BERTINO: I understand that, but I'm
11 still asking the question: do you need that dose for
12 maintenance therapy of valganciclovir? Could you use
13 less?

14 DR. STEMPIEN: No. I feel we should
15 dose --

16 DR. BERTINO: You're shaking your head,
17 but that wasn't done.

18 DR. STEMPIEN: IV ganciclovir, if we could
19 give more IV ganciclovir, we would have. The dose of
20 IV ganciclovir has been limited by tolerability
21 issues, and that is just -- it's primarily neutropenia
22 and anemia. So the efficacy, if we could push for
23 more efficacy, we absolutely would.

24 Half of the patients are progressing.
25 They're still progressing despite HAART. So it's not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a matter of do we have adequate efficacy. We want
2 maximum efficacy within tolerability limits, and we
3 can deal with neutropenia and anemia today much better
4 than we could several years ago.

5 So we feel that our objective is to drive
6 the efficacy here. We feel that the treating
7 community understands the safety of ganciclovir, and
8 they will understand valganciclovir, and they'll be
9 able to manage it. That's our belief. We're
10 confident in that.

11 CHAIRMAN POMERANTZ: There was a question
12 for Dr. Piscitelli and then Dr. Wong.

13 DR. PISCITELLI: So getting back to the PK
14 issues, I think the pharmacology people agree that
15 this AUC analysis wasn't acceptable. So we don't know
16 what's important here. Is AUC? Is C-min? Is C-max?

17 Now, if I understand this correctly from
18 Dr. Kumi, the AUC of this drug, it's higher than the
19 oral, and it's equal to the IV. The C-min is greater
20 than the IV, but less than the oral. The C-max is
21 greater than the oral, but less than the IV.

22 So there's no magic statistics here. It's
23 more of an eyeball approach. It follows in there, and
24 I'm just clarifying. Are you comfortable with that
25 sort of eyeballing of the data?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. KUMI: Yes.

2 CHAIRMAN POMERANTZ: Dr. Wong.

3 DR. WONG: I guess the comment that I
4 would want to make is with your reformulated question,
5 it's very easy to answer it, but I would go back and
6 suggest that that's really not the right question,
7 that what we're being asked to consider here is
8 prolonged use of a drug to prevent a clinical outcome,
9 and to my mind the sponsor has not addressed that
10 question in the studies presented in that there are no
11 clinical outcomes shown beyond four weeks.

12 So that, you know, to me there should be
13 some demonstration of a favorable clinical outcome
14 long term. Otherwise the answer is no.

15 CHAIRMAN POMERANTZ: Comments from the
16 FDA.

17 DR. BIRNKRANT: Well, I don't really think
18 to be able to please everyone we should have two
19 questions. I think that could be confusing as well.

20 CHAIRMAN POMERANTZ: It would confuse me.

21 DR. BIRNKRANT: I think the bottom line is
22 the data are what the data are for maintenance. This
23 is what we have in this particular application.

24 So the question we're asking you is: is
25 this in the end effective for maintenance therapy for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CMV retinitis? Do the pharmacokinetic data support
2 the use and efficacy of valganciclovir for
3 maintenance?

4 CHAIRMAN POMERANTZ: No, there's no
5 "efficacy" word in this question.

6 DR. BIRNKRANT: Okay.

7 DR. BRESSLER: But if you put it back in,
8 then it makes it a much harder question to answer
9 because the suggestion is that we don't have evidence
10 so far that the maintenance therapy is efficacious in
11 progression compared to maintenance therapy that's
12 used right now.

13 But if there is data to that effect, then
14 I think we should have it quickly reviewed because
15 that would affect the efficacy question.

16 DR. BRESSLER: Right, and if I can come
17 back, I mean, I think for maybe not specifically for
18 this drug, but you know, a lot of people are going to
19 consider the decisions that the agency makes for this
20 drug in designing how they approach things in the
21 future, and I think it would be very dangerous, you
22 know, as a long-term statement to let everyone know
23 that we as a Committee or the agency is prepared to
24 draw conclusions about clinical outcomes in the long
25 term based on clinical outcomes in the short term,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 plus pharmacology.

2 I mean, I don't think that that is very
3 wise.

4 CHAIRMAN POMERANTZ: Roche has a comment.

5 DR. STEMPIEN: We don't have comparative
6 data in a maintenance setting between valganciclovir
7 and ganciclovir, but if you would be interested, we
8 could show you data on how time to progression with
9 valganciclovir maintenance compares to time to
10 progression with ganciclovir maintenance, although the
11 ganciclovir would be more historical data.

12 Would you --

13 CHAIRMAN POMERANTZ: That's what we talked
14 about a few minutes ago. Why don't we throw that up
15 there?

16 DR. STEMPIEN: Okay. I'm going to ask --
17 maybe Rebecca can help me -- I'm going to ask the
18 slide up, please.

19 Okay. So here is a time to progression,
20 Kaplan Meier analysis, photo documented progression,
21 and this is 376, both arms combined because recall
22 after four weeks everyone is on valganciclovir, and
23 this compares our data to previous ganciclovir time to
24 progression curves that were gleaned from four prior
25 ganciclovir studies.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so here is the ganciclovir time to
2 progression curve. So this is historical data. Some
3 of it is pre-HAART, but here is the valganciclovir
4 time to progression curve.

5 Don't know if that helps you in any way.

6 DR. YOGEV: Is that all --

7 CHAIRMAN POMERANTZ: Welcome to the post-
8 HAART era. This is what we've been talking about.
9 You can compare before and after, and I don't fault
10 Roche at all. We kept prodding you to show this. So
11 there it is.

12 DR. STEMPIEN: Yeah.

13 CHAIRMAN POMERANTZ: And the data is the
14 data.

15 DR. STEMPIEN: I'd also just like to make
16 the point that the way that we approached this,
17 knowing that we couldn't do a direct comparison and
18 maintenance study, was that we felt that if we could
19 establish efficacy in the induction setting, which is
20 recognized as the highest hurdle for efficacy for a
21 CMV retinitis therapy; that if we could show you that
22 valganciclovir is efficacious in that setting, that
23 given that efficacy data, which is a direct comparison
24 to standard of care, and then coupling that with our
25 PK profile information, that you would feel reasonably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comfortable that there's no reason to expect that
2 valganciclovir would not have efficacy in maintenance.

3 I mean, there's nothing magical about the
4 maintenance setting. It's just a question of dose.
5 So the same disease process is going on. You're
6 treating the same lesion, the same virus. So we felt
7 that that combination might give you some comfort that
8 although we did not have direct comparative data, that
9 you could conclude that valganciclovir should be
10 efficacious in that setting.

11 CHAIRMAN POMERANTZ: One thing that I
12 should say as a virologist is that induction and
13 maintenance therapy have taught us are different, and
14 even though it's the same virus, it's not always the
15 same disease.

16 We know that HIV maintenance therapy,
17 except in newly configured regimens, doesn't work,
18 while the same approach cannot be said for induction.

19 So it is a question. I see your point.
20 I don't disagree with it, but I think we get into this
21 because the data is not as robust as we might like to
22 start talking around the issue, but this is it.

23 Courtney, you have comments.

24 DR. FLETCHER: Yeah. I'm wondering if
25 anyone else other than myself may want to draw an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 analogy to the Cidofovir data, which at least in terms
2 of when the trial was done was at the very early era
3 of HAART and showed a median time to progression of
4 120 days.

5 So while I don't know all of the details
6 of that study and where regimen change is allowed, it
7 nevertheless may be some closer historical data within
8 the era or HAART, probably very early HAART, that
9 would have a very similar time to progression as to
10 the valganciclovir data.

11 CHAIRMAN POMERANTZ: Comments on that?

12 Yeah.

13 DR. MATHEWS: Well, I think that's a more
14 relevant historical comparison than what we were just
15 shown, but you know, I think we ought to put in the
16 context that this was a drug whose development at
17 least for this indication was close to being dead in
18 the water a few years ago, and it's unquestionable
19 that there's a very definite need for it.

20 And so I don't agree that this is setting
21 some kind of precedent that's going to be regretted
22 subsequently. You know, it's impossible to get the
23 kind of data that we would like to hold for the
24 standards that we've used in other contexts.

25 But, on the other hand, I don't think we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 should give the impression that a reasonable person
2 wouldn't conclude that this drug is very efficacious
3 not only for induction, which we've seen good data
4 for, but very likely for maintenance based on the
5 pharmacokinetic data and the historical data with all
6 of the caveats that have to go with that.

7 So I would like to just say --

8 CHAIRMAN POMERANTZ: We're going to go to
9 the F -- sorry.

10 DR. MATHEWS: -- that I think both the
11 agency and the sponsor should be commended for pushing
12 this development program along because it's going to
13 make a big different in patient care to be able to
14 avoid having to put in central catheters even for
15 three to four weeks.

16 CHAIRMAN POMERANTZ: A comment from the
17 FDA?

18 DR. REYNOLDS: I just wanted to point out
19 that using the PK data really isn't setting that much
20 of a precedent. Since the oral and IV are both
21 approved for maintenance therapy, if this formulation
22 were identical to oral for maintenance therapy, we
23 wouldn't be asking our question, and if this were
24 identical to IV, we wouldn't be asking our question,
25 and it's in between, and that's why we're calling for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 -- PK data are used to approve generic drugs all the
2 time.

3 CHAIRMAN POMERANTZ: In the setting of
4 antivirals? In the setting of an anti-retroviral?

5 DR. REYNOLDS: If they were identical, the
6 generic would be approved.

7 CHAIRMAN POMERANTZ: Has it ever happened?

8 DR. REYNOLDS: I don't think they're off
9 patent yet. We have new formulations that have been
10 approved.

11 CHAIRMAN POMERANTZ: See, that's why HIV
12 is always different, and it really is. I mean, we
13 don't usually use that as the paradigm because there
14 are two viruses interacting here. There's enough that
15 make everybody nervous in setting, as Dr. Wong said,
16 a precedent that may come back to bite you.

17 I personally fall on the side, as I've
18 alluded to, of approval for this maintenance
19 indication, but I do understand the worries.

20 DR. REYNOLDS: We have had changes in
21 formulations approved based on PK data for anti-
22 retrovirals.

23 DR. CVETKOVICH: Can I just add to what
24 Dr. Reynolds is saying? What we're trying to tell you
25 is that -- and perhaps it's confusing that we asked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the question, but I think we believe we need to. You
2 know, we're here to explore the data and hear what you
3 think about it, and maybe we should have asked the
4 question in somewhat of a different fashion because we
5 do do this. We approve -- you can approved drugs
6 without clinical data.

7 Say this was absolutely bioequivalent to
8 the IV. We would have no requirements for clinical
9 data. This is bracketed by two approved products so
10 that we don't feel that we're in much of a bind here
11 with this one.

12 You know, there's no way that you can know
13 because we've not dealt with this, as you say, in the
14 anti-retroviral arena very often, and in fact, a lot
15 of these decisions would be made without ever bringing
16 it here. So you may not be as aware of how these
17 things work.

18 But we don't have a big problem with this.

19 CHAIRMAN POMERANTZ: Unfortunately
20 obviously the Committee has.

21 DR. CVETKOVICH: Yeah, what's wrong with
22 you guys?

23 (Laughter.)

24 CHAIRMAN POMERANTZ: Now, I'm going to ask
25 for two final comments, and then we're going to decide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what the question is and then we're going to vote

2 Ram.

3 DR. YOGEV: Let me tell you what's wrong
4 with us guys.

5 (Laughter.)

6 DR. YOGEV: Okay. With me.

7 CHAIRMAN POMERANTZ: You did start it.

8 DR. YOGEV: My problem is the separation
9 between Question 2 and 3 and why the sponsor would
10 allow itself to show it as a response. My problem is
11 exactly what my good friend Dr. Fletcher showed, is
12 the minute we use HAART, everything moved to a longer
13 period of time.

14 How much of local efficacy here is because
15 of the change of HAART and how much we are paying with
16 safety issue? And to me, it's very surprising that 25
17 patients had less than 6.5 hemoglobin, gram percent,
18 and yet only one was removed from the study. That's
19 where the toxicities are.

20 So do we need such a high dose? Do we
21 need a lower dose for the maintenance because we have
22 everything to avoid toxicity, and I don't have an
23 answer for that, but that's where my concerns are.

24 If you look at the IV ganciclovir, we have
25 to take a lot of patient maintenance just because of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 toxicity, and interesting enough, they have the same
2 rate of progression, which suggests to me that the
3 five milligram IV is not enough also.

4 So we're comparing it to something which
5 is not perfect on something which showed to me at
6 least increased toxicity in maintenance. Am I doing
7 the right decision? And that's why the whole argument
8 when you don't have clear-cut efficacy data.

9 CHAIRMAN POMERANTZ: Yes, sir.

10 DR. BERTINO: Looking at it from the point
11 of view just presented, is it at least as efficacious
12 as oral ganciclovir for maintenance therapy???

13 I would have to say yes because oral
14 ganciclovir for maintenance therapy has such poor
15 efficacy that it's hard for me to believe that with
16 this PK data we wouldn't even have better efficacy
17 than oral ganciclovir.

18 CHAIRMAN POMERANTZ: Why don't we cut it
19 there?

20 DR. BIRNKRANT: So then question number
21 two then becomes -- the wording for that is: do the
22 data submitted in this NDA support the use of
23 valganciclovir for the maintenance therapy of CMV
24 retinitis?

25 CHAIRMAN POMERANTZ: So we are now on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 version 3.0.

2 DR. BIRNKRANT: Exactly.

3 CHAIRMAN POMERANTZ: So let me read it
4 again. Do the data submitted in this NDA support the
5 use of valganciclovir for the maintenance therapy of
6 CMV retinitis.

7 DR. BIRNKRANT: Understanding that the
8 data are pharmacokinetic and safety, for the most
9 part.

10 CHAIRMAN POMERANTZ: But that's
11 parenthetical. That's not in the question.

12 All right. So do the data submitted in
13 this -- now we're going to do this. Okay?

14 DR. BIRNKRANT: Right.

15 CHAIRMAN POMERANTZ: So get ready to vote.
16 Listen to me.

17 Do the data submitted in this NDA support
18 the use of valganciclovir for the maintenance therapy
19 of CMV retinitis, question mark, et cetera?

20 And we're going to go clockwise this time
21 and start with Dr. Bertino.

22 DR. BERTINO: I was afraid you were going
23 to do that.

24 CHAIRMAN POMERANTZ: Yes or no.

25 DR. BERTINO: Filling in at Palm Beach

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 County.

2 Yes.

3 CHAIRMAN POMERANTZ: Is the chad dangling?

4 Oh, okay.

5 DR. BRESSLER: Yes.

6 CHAIRMAN POMERANTZ: Dr. Fletcher.

7 DR. FLETCHER: Yes.

8 CHAIRMAN POMERANTZ: Dr. Hannush.

9 DR. HANNUSH: Yes.

10 CHAIRMAN POMERANTZ: Dr. Fong.

11 DR. FONG: Yes.

12 CHAIRMAN POMERANTZ: Dr. Kumar.

13 DR. KUMAR: Yes.

14 CHAIRMAN POMERANTZ: I vote yes.

15 Dr. Bressler.

16 DR. BRESSLER: Yes.

17 CHAIRMAN POMERANTZ: Dr. Mindel.

18 DR. MINDEL: Yes.

19 CHAIRMAN POMERANTZ: Dr. Mathews.

20 DR. MATHEWS: Yes.

21 CHAIRMAN POMERANTZ: Dr. Rodvold.

22 DR. RODVOLD: Yes.

23 CHAIRMAN POMERANTZ: Dr. Pulido.

24 DR. PULIDO: Yes.

25 CHAIRMAN POMERANTZ: Dr. Yogev.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. YOGEV: Yes.

2 CHAIRMAN POMERANTZ: And Dr. Wong.

3 DR. WONG: Yes.

4 CHAIRMAN POMERANTZ: It was much easier
5 when we voted, wasn't it?

6 (Laughter.)

7 DR. MINDEL: Well, it's much easier when
8 you rephrase the question.

9 (Laughter.)

10 CHAIRMAN POMERANTZ: The rephrase for the
11 Committee is we got rid of the word "efficacy." "Use"
12 can be construed in a variety of ways that we'll leave
13 to our FDA colleagues.

14 Okay. Shall we press on?

15 All right. Now we get to Ram's favorite
16 one.

17 DR. FONG: Dr. Pomerantz.

18 CHAIRMAN POMERANTZ: Yes.

19 DR. FONG: For Question 2, there was also
20 if the answer -- well, actually can I comment?

21 CHAIRMAN POMERANTZ: No, that's part of
22 the discussion. This is a yes or no vote. You had
23 that time to discuss it.

24 DR. FONG: Can I just add something?

25 CHAIRMAN POMERANTZ: You want to put

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 something in the record? Sure, go.

2 DR. FONG: Well, if there's always a
3 discussion about whether the dose is too high, why
4 don't we, you know, have the sponsor consider doing a
5 study with a lower dose?

6 CHAIRMAN POMERANTZ: So your suggestion,
7 and we may get to that in further trials.

8 DR. FONG: Yeah, could make some data.

9 CHAIRMAN POMERANTZ: But you might suggest
10 that.

11 Yeah, now do you want a counterpoint then?

12 DR. MARTIN: I want to provide a little
13 perspective then that may be being missed here a
14 little bit. You know, intravenous ganciclovir is a
15 great drug, but most clinicians really aren't even
16 happy with that dose.

17 And that's the whole reason why we moved
18 to the ganciclovir implant and other treatments. And
19 so at least for me, I would never even consider moving
20 to a lower dose provided that there aren't egregious
21 toxicities, which I think we've shown you that there
22 is not.

23 For me if there's a higher exposure,
24 great, bonus. I mean, we're trying to treat CMV
25 retinitis. That was the reason why you started

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 therapy, and the blood-ocular barrier is such that you
2 can't forget that. If you're going to get drugs into
3 the eye, you can't drop the dose. You don't want to.
4 There's no scientific reason to want to do that.

5 So I just want to keep that perspective in
6 mind.

7 CHAIRMAN POMERANTZ: Thank you.

8 Dr. Bertino.

9 DR. BERTINO: Just one comment to the FDA
10 then, since we've answered one and two, which is that
11 when you work on the label, I think it's imperative to
12 put in that the drug should be take with a high fat
13 meal.

14 DR. STEMPIEN: Well, I just want --

15 DR. BERTINO: I was sure there'd be a
16 comment.

17 DR. STEMPIEN: Yeah, I'm sorry. But I
18 just want to make sure you understand that although in
19 our clin-pharm studies we gave them the high fat
20 standard FDA breakfast, in our clinical trial we
21 simply said, "Please dose with food." So the actual
22 clinical data that was generated here is not high fat.
23 It's just dose with some food.

24 CHAIRMAN POMERANTZ: Give only with
25 McDonald's cheeseburgers. Is that what you're trying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 to say?

2 DR. STEMPIEN: It should be comparable to
3 what we have in our ganciclovir labeling, which we
4 conducted it the same way.

5 DR. FLETCHER: But you can't have it both
6 ways. You can't have it an indication for maintenance
7 based upon PK and the PK coming through a well
8 designed, well controlled, pharmacokinetic studies
9 where the patients got a high fat meal, and that is
10 what shows pharmacokinetic equivalence, and then say
11 in the label, "But you can take it for maintenance and
12 you don't have to take it with food."

13 You're trying to have it both ways, and
14 you can't do that.

15 CHAIRMAN POMERANTZ: But there's a
16 difference in my way of thinking between a high fat
17 meal and take it with food. What are you saying?

18 DR. FLETCHER: Well, I'm saying, if I
19 understand what the sponsor said, when we saw the
20 pharmacokinetic data from week one and week four, we
21 asked what was the meal, and they said, "That's the
22 standard FDA meal for those studies."

23 DR. STEMPIEN: No. So there is a
24 misunderstanding. Let me set the record straight.
25 One of our earlier clinical pharmacology studies did

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 dose with a high fat standard breakfast. The full PK
2 profiling that I showed you from the 376 study where
3 we had 20 patients with full PK profiles at week one
4 and week four, we did not dose it with a high -- it
5 was not standardized that way. We simply suggested,
6 "Please take your dose with food or snack."

7 So we did it exactly the same way as we've
8 done our prior oral ganciclovir studies, and so the
9 label should look just like ganciclovir, oral
10 ganciclovir. That's truly what we did.

11 DR. FLETCHER: I don't know what the label
12 looks like for oral ganciclovir. Does it say "with
13 food"?

14 DR. STEMPIEN: Yes, it does.

15 DR. FLETCHER: Okay.

16 CHAIRMAN POMERANTZ: All right. We've
17 gotten rid of the fat, and we're leaving food. Why
18 don't we move on?

19 Do the data submitted in this NDA support
20 the safety of valganciclovir for the treatment of CMV
21 retinitis? This is Question 3.

22 If the answer to this question is no,
23 please comment on additional safety studies that
24 should be required.

25 Question is open for discussion. Sir?

1 DR. PULIDO: I have a question for my
2 colleagues on the panel. I'm still concerned about
3 what happens to the valyl ester in the presence of
4 diarrhea where the intestinal esterases may not be
5 functioning properly or in the presence of hepatic
6 toxicity, which by the way there was, as I had
7 mentioned before, 14 percent incidence of lab
8 abnormalities showing some hepatic toxicity from this
9 drug.

10 It looks to me like there is no data to
11 show what happens in these cases. Should we be
12 worried about toxicity of the valynated form?

13 CHAIRMAN POMERANTZ: Any comments to that
14 question from either side?

15 DR. BERTINO: I think a couple of
16 questions though to think about. One would be I think
17 we heard from the sponsor that about 25 percent of the
18 drug is cleaved in the liver. Is that --

19 PARTICIPANT: No, 15 percent.

20 CHAIRMAN POMERANTZ: Turn on the mic.

21 DR. BERTINO: Fifteen percent in the
22 liver.

23 And so the question is: can the liver do
24 moire?

25 I guess the other question is if the drug

1 is being absorbed in the upper part of the small
2 intestine, what happens to esterases there based on
3 diarrhea? Do we know?

4 One of the things that I always think
5 about when the liver is involved is the liver has got
6 enormous reserve, and the gastroenterologist's
7 definition of liver disease and the pharmacologist's
8 definition of liver disease really are pretty
9 different.

10 CHAIRMAN POMERANTZ: Well, before you say
11 that, that brings up a point that I wanted to bring up
12 in the morning, in that more and more HIV CMV is being
13 complicated by Hepatitis C virus, and the tripartite
14 viral infection is a big problem, and the question is:
15 if you're going to look for liver dysfunction, is
16 there any data on the use of this in someone who has
17 Hepatitis C?

18 DR. STEMPIEN: I don't think we have any
19 clinical data on that.

20 CHAIRMAN POMERANTZ: Because if there's
21 going to be one problem in HIV infected individuals
22 that might give you liver dysfunction, that might get
23 you into trouble with those hypothetical questions,
24 it's more and more becoming Hep. C.

25 DR. STEMPIEN: We don't have clinical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data, but we do have some preclinical data that
2 addresses the intestinal and hepatic esterase, and Dr.
3 Sue Malcolm, preclinical, can take you through that.

4 DR. MALCOLM: We were concerned with the
5 effect as to impairment or competing activity for the
6 esterases in both the intestine and the liver. So we
7 did conduct some in vitro studies to look at this
8 problem.

9 And what we found is that the capacity of
10 these enzymes is so high that you can knock them out
11 by a long way before you see much of an effect. As a
12 result of the in vitro studies that we conducted, we
13 developed a model which actually predicted quite well
14 to the in vivo situation, and from that model we could
15 then say, well, if we knocked out the esterases in the
16 intestine, what would the effect be.

17 And perhaps I could just show you a quick
18 slide of the results of that. If I could have NC-11,
19 please. Slide up, please.

20 What you've got here is a series of bar
21 charts representing ganciclovir in green and
22 valganciclovir in orange, where it's on a log scale
23 because I tried to let you see this little tiny blip
24 here for the bioavailability of valganciclovir.

25 This is what we see measured in vivo.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 From the model this is what we predict, and this has
2 given us some confidence in the model that we've
3 developed.

4 So if you say, "Well, I'm going to knock
5 out the esterases to 80 percent in the intestine,"
6 what's the effect on bioavailability, which is what I
7 think your concerns are?

8 Obviously our major concerns are on
9 valganciclovir, and you can see that the change in
10 bioavailability, the reduction is really quite small
11 because of the high capacity of this system, and if
12 you knock out in the intestine, if you knock out in
13 the liver, and in this worst case scenario where you
14 knock out in both the intestine and the liver, the
15 actual effect on ganciclovir is quite small.

16 Obviously there is a rise in the exposure
17 to valganciclovir because the original bioavailability
18 is quite low.

19 Slide off.

20 CHAIRMAN POMERANTZ: I'm sorry. I missed
21 that. That was in what animal model?

22 DR. MORGAN: The original result, the
23 original data were generated from human in vitro
24 studies, in both the human intestinal S-9 and human
25 liver S-9.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN POMERANTZ: So you've never done
2 anything like this in an animal setting of any type
3 except for the in vitro human cells, right?

4 DR. MORGAN: No. We just looked at the
5 human metabolic capacity.

6 CHAIRMAN POMERANTZ: Courtney?

7 DR. FLETCHER: An area of safety there I
8 have some concern, individuals that have renal
9 insufficiency. So we talked a little this morning,
10 you know, about the data showing the need for dose
11 adjustment and a dose adjustment algorithm that you've
12 proposed.

13 If my understanding from the two studies
14 is right, all of the patients in those trials had
15 creatinine clearances great than 50 mLs per minute.
16 So that with the data we have right now, we really
17 don't have experience in dosing the drug with
18 individuals that have creatinine clearances less than
19 50 where the need for adjustment becomes much more
20 important.

21 So I'm wondering. I don't know. If it's
22 in the briefing package I must have missed it, about
23 the study in organ transplant patients that's going
24 on, and in particular, in renal transplant patients,
25 if you have any information there on how this dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 adjustment algorithm is working. Is it really
2 providing the areas, you know, the exposures that
3 you're looking for or not?

4 DR. STEMPIEN: We don't have any data of
5 that type available to us yet. That study is in an
6 enrollment phase, and, no, I'm sorry. We don't.

7 DR. FLETCHER: I'd just add I think that
8 in a label there will be some need for some caution
9 about dosing and renal insufficiency while the
10 algorithm can be, you know, made based upon creatinine
11 clearance. Whether it's really going to work or not
12 is another thing, and is it going to provide the same
13 type of safety profile?

14 I think that's going to be something that
15 can be very important to make sure that that's
16 communicated.

17 CHAIRMAN POMERANTZ: I think that's a good
18 point. Clearly, sort of the elephant in the room here
19 is how this is going to be used for transplantation,
20 and one that we've stayed away from on purpose at this
21 committee at this point. Maybe we'll hear more about
22 that at a later meeting.

23 Other comments on safety? Yes.

24 DR. PISCITELLI: Just a question getting
25 back to the anemia and neutropenia. Just a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clarification. Three, seventy-six, did that protocol
2 allow for growth factors, like GCSF or EPO? And what
3 kind of use was that in the two groups?

4 DR. STEMPIEN: The protocol did allow for
5 that, and let me just get that slide up for you.

6 Slide up.

7 Now, we've looked at the use of colony
8 stimulating factors after baseline up until week four.
9 So this would reflect induction level dosing, and then
10 from baseline all the way out to the data cut. So
11 that would be the total experience.

12 Regarding support for neutropenia, 13
13 percent of the IV patients and 15 percent of the
14 valgan patients required did received GCSF or GMCSF,
15 and that percentage did increase over time comparable
16 between the two groups.

17 When you look at blood products and EPO
18 use across those two time periods balanced during
19 induction, and then you see blood product use was
20 balanced all the way out, this does go along with the
21 anemia finding that we found based on lab data.

22 So patients had more severe anemia. Now,
23 we don't know how this is related. It may be that the
24 patients who we identified as having more severe
25 anemia required support for that, and so they utilized

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more EPO. So the two bits of data travel along
2 together, and so they do support the presence of an
3 anemia difference in that study.

4 But we don't have -- this does not explain
5 why, of course, and we don't know if it will end up
6 being a real difference or not because all patients
7 were on valganciclovir maintenance at the time that
8 they developed the anemia.

9 CHAIRMAN POMERANTZ: For the sake of
10 discussion, I'll be one second. Is there anyone who's
11 shading towards no on this question? Because there's
12 another part of the discussion for those noes here to
13 at least address before we go on with it.

14 Dr. Yogev.

15 DR. YOGEV: Well, it's not an absolute no,
16 but I think it's very important to realize if you can
17 put this slide back again, the clinical 205. Is that
18 the one?

19 PARTICIPANT: Pretty good.

20 DR. YOGEV: Thank you.

21 CHAIRMAN POMERANTZ: Another one who
22 memorizes slides.

23 (Laughter.)

24 DR. YOGEV: If you look at it, what's so
25 fascinating to me, and this is, by the way, a smaller

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 study because just to point the original point, the 11
2 patients who got it, when out of 370 patients, 57 of
3 them on maintenance had less than eight grams
4 hemoglobin. So it depends when you start
5 erythropoietin. Some of us like to start it even
6 earlier not to get to that point.

7 So I wonder if you go to nine or something
8 like that, you might get even higher, but if you
9 compare four weeks versus whenever that is cut off,
10 which we don't know exactly, is that 12 weeks or
11 longer? There's a continuous increase in --

12 DR. STEMPIEN: Oh, I'm sorry. I didn't
13 mean to interrupt. Go ahead.

14 DR. YOGEV: -- an increase in toxicity
15 that whether it's compared to ganciclovir or not, it's
16 secondary, and I think we need to mention that's where
17 the patient is going to pay on our lack of
18 understanding the efficacy in Question 2 with toxicity
19 that to be left open to the physician to take the
20 balance and make the patient aware of it, and that's
21 where it's not an absolute no, but I need to see
22 something done in that direction.

23 CHAIRMAN POMERANTZ: No, that's why I
24 opened it up that way.

25 Yeah, there is a comment from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 applicant.

2 DR. STEMPIEN: Oh, no, I just wanted to
3 point out you were asking about the length of time
4 from baseline to data cut, and that would represent a
5 median of approximately ten months of drug exposure.

6 CHAIRMAN POMERANTZ: Yes, now Dr. Bertino.

7 DR. BERTINO: Before you take that slide
8 down, because I haven't memorized the number on it,
9 when you say "blood products," I assume that just
10 means packed red cells, not platelets, not fresh
11 frozen plasma, things --

12 DR. STEMPIEN: Oh, exactly. It's red, red
13 cells.

14 DR. BERTINO: And the other question then
15 is patients that got EPO, could they also receive
16 blood products? And do you know what the crossover
17 is? Are these separate patients?

18 DR. STEMPIEN: These are independent
19 measures. So, yes, indeed, it could have been one
20 patient who may have receive both. They would be
21 counted in both categories.

22 DR. BERTINO: Okay.

23 CHAIRMAN POMERANTZ: I think that's
24 important because if you look at blood products in EPO
25 and you just add up to the two right-hand columns, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com