

1 the saquinavir and RTI group, in which 60 mg was superior to
2 the 120 mg. The results of group 3 had a disproportionate
3 affect on the results of the pooled analysis, therefore,
4 giving the appearance that the overall response rate is
5 similar between doses.

6 [Slide]

7 Regarding safety, overall there is insufficient
8 information on long-term administration of adefovir 60 mg.
9 From the results in study 417, it appears that the time to
10 onset for creatinine and phosphate abnormalities are delayed
11 for the 60 mg compared to the 120 mg. However, there were
12 no statistically significant differences for the frequency
13 or resolution of nephrotoxicity between these doses in study
14 417. It is unknown if the incidence and time to resolution
15 will favor the 60 mg dose with longer follow-up and
16 sufficient number of patients.

17 Also shown in the expanded access program the
18 onset of creatinine and phosphate abnormalities appear
19 delayed. Approximately 40 percent of patients on the 60 mg
20 arm compared to 50 percent of patients on the 120 mg arm
21 will develop creatinine and phosphate abnormalities. There
22 is a question if this difference is clinically meaningful to
23 conclude that adefovir 60 mg is less nephrotoxic than the
24 120 mg dose.

25 In addition, the resolution of nephrotoxicity from

1 the 60 mg dose has not been fully characterized because
2 there have been few patients and short duration of follow-up
3 in both the 417 and expanded access program to assess this.

4 [Slide]

5 In our review of the submitted data, there appear
6 to be several unresolved issues with respect to the proposed
7 adefovir 60 mg dose. First, there is insufficient
8 information available regarding the long-term safety of
9 adefovir 60 mg since relatively few patients have received
10 the 60 mg dose for more than 24 weeks.

11 Second, there are limitations in the design of
12 study 417 that cast doubt on a definitive conclusion that
13 the 60 mg is an active dose.

14 Finally, it is difficult to determine at this
15 point which patient population will be appropriate to
16 receive adefovir treatment, given the risks of
17 nephrotoxicity and the limitations of the efficacy data
18 available for the 60 mg dose.

19 Gilead has proposed ongoing approval trials may
20 provide sufficient information to adequately address these
21 unresolved issues. We look forward to your discussions
22 today for these issues, and recommendations on the questions
23 that are posed before you.

24 [Slide]

25 Before I end, I would like to acknowledge and

1 thank the entire adefovir-review team. Thank you.

2 DR. HAMMER: Thank you very much. We are going to
3 enter the committee discussion period now. Questions for
4 both the sponsor and the agency can be addressed during this
5 period. As I mentioned earlier, I think there will be a
6 fair number of questions. I would ask the committee
7 members, in deference to their colleagues, on the first
8 round here to prioritize your questions and ask only the two
9 or three most pressing questions so we can get around the
10 table. After that, we will open it up further. I will
11 begin on my left with Dr. Bertino.

12 **Committee Discussion**

13 DR. BERTINO: Could the sponsor present their
14 pharmacokinetic drug interaction studies with delavirdine
15 and saquinavir, please, if they have that data?

16 DR. HAMMER: Please identify yourself for the
17 transcriptionist.

18 DR. CUNDY: I am Ken Cundy with Gilead Sciences.
19 Can I have slide 436, please?

20 [Slide]

21 I wanted to start off by just discussing a little
22 bit more about study ACTG 359 in which an interaction was
23 reported between adefovir dipivoxil and delavirdine and
24 saquinavir. As you can see from the design of this study,
25 all patients received saquinavir and either ritonavir or

1 nelfinavir. In addition, they received delavirdine,
2 adefovir or a combination of the two drugs. The
3 pharmacokinetics was evaluated in the 6 different cohorts in
4 7 patients per arm.

5 [Slide]

6 This slide illustrates how complex ACTG 359 was in
7 terms of the background drug interactions between
8 delavirdine and the protease inhibitors that were used. As
9 you can see, delavirdine has direct effects on the
10 pharmacokinetics of saquinavir and ritonavir, and it also
11 has effects on nelfinavir. However, you can see that
12 saquinavir itself has reverse effects on nelfinavir, and
13 nelfinavir in turn has reverse effects on delavirdine. So,
14 in terms of this being the background in which adefovir was
15 introduced, it makes things fairly difficult to interpret.

16 [Slide]

17 Our own studies in vitro have shown that adefovir
18 dipivoxil was not a substrate for cytochrome P450, and, in
19 fact, we looked at the ability of adefovir or adefovir
20 dipivoxil to inhibit the metabolism of the known substrates
21 of the major isoforms of cytochrome p450, including 1A2,
22 3A4, and 2D7, and we showed that neither compound was an
23 inhibitor. In addition, looking at the metabolism of
24 adefovir dipivoxil in microsomes from rats that had been
25 induced with various compounds inducing 1A2, 2B3A and 4A,

1 there were no changes in the pharmacokinetics of adefovir.

2 We also looked at the potential for induction of
3 P450 in rats using adefovir dipivoxil dosed orally, and we
4 saw no induction of the major isoforms. So, on the basis of
5 this data, no pharmacokinetic interaction involving
6 cytochrome p450 would have been expected.

7 [Slide]

8 This was the design of our own formal drug
9 interaction study, looking at healthy volunteers in a single
10 dose format. We looked at 6 different drugs, including
11 zalcitabine and saquinavir, and they were studied in a
12 random sequence and in a crossover design with 8 patients
13 per arm.

14 [Slide]

15 This shows the effects of adefovir on the levels
16 of saquinavir in our study. This is within patients so it
17 is a crossover design and is using the 60 mg dose of
18 adefovir dipivoxil as opposed to 120 which was used in the
19 ACTG study. There was no significant change in the
20 saquinavir levels.

21 [Slide]

22 This slide shows the change in AUC of zalcitabine
23 on addition of adefovir in our study. Once again, there was
24 no significant change in zalcitabine levels.

25 [Slide]

1 One criticism that has been raised is that this is
2 a single-dose study as opposed to multiple dosing. However,
3 this study shows that the pharmacokinetics of adefovir
4 dipivoxil were not changed on repeated dosing for 14 days in
5 HIV-infected patients, demonstrating that there was no
6 induction of a metabolic clearance pathway for adefovir.

7 DR. BERTINO: I guess one concern I have is that
8 you did this in normal volunteers, not in HIV-infected
9 patients -- your previous slide. Is that correct?

10 DR. CUNDY: Yes, that is absolutely correct. This
11 study was conducted in healthy volunteers. Our own studies
12 in more than 70 patients, HIV-infected, and more than 80
13 healthy normal volunteers haven't demonstrated a difference
14 in the pharmacokinetics of adefovir dipivoxil.

15 DR. BERTINO: I guess my point is that there is
16 data now from Dave Flockhart's group at Georgetown and
17 Angela Kashuba at UNC that shows that HIV patients may be
18 different in terms of pharmacogenetic drug metabolism than
19 normal volunteers. I would also be concerned about the
20 single-dose studies with saquinavir and delavirdine because
21 they are drugs that have dose-dependent kinetics. So,
22 single dose may not be reflective of what steady state might
23 be.

24 DR. HAMMER: Thank you. Dr. El-Sadr?

25 DR. EL-SADR: I have a question for Dr. Struble

1 regarding the safety **data**. It looks like for the 60 mg
2 dose, from your table on page 9, only 73 patients have
3 received that dose for greater than 48 weeks. Right? out
4 of the 73, how many are still on drug?

5 DR. STRUBLE: Maybe you should address that
6 question to Gilead because I am not quite sure.

7 DR. EL-SADR: Because if you look at the
8 discontinuation curves below that, it would suggest that --
9 I don't know -- very few even of those are still on drug
10 after 48 weeks.

11 DR. STRUBLE: Correct. There were 73 patients
12 that received it for more than 48 weeks at the time that I
13 got the submission. So, at this time I don't know how many
14 more have received the drug for more than 48 weeks, or how
15 many less have received the drug for 48 weeks.

16 DR. HAMMER: Dr. Jaffe?

17 DR. JAFFE: Thank you. Just one point of
18 clarification on the pharmacokinetics, we hope to have in
19 the not too distant future, additional pharmacokinetic data
20 on multiple dose from study ACTG 398 where HIV-infected
21 patients received saquinavir and adefovir in combination
22 with other antiretrovirals. We do not have that data, and
23 look forward to having it to clarify some of the issues in
24 the future.

25 As far as the 73 patients who were part of the NDA

1 database at 60 mg for greater than or equal to 48 weeks,
2 that is the data we have as of the last data cut-off.
3 However, because of the ramp-up of enrollment on the 60 mg
4 arm on expanded access, we will be having approximately 75-
5 150 additional patients per month to add to the greater than
6 48-week database. So, each month that we cut the data, we
7 will be having more of those patients available for review.

8 DR. HAMMER: Thank you. Dr. Stanley?

9 DR. STANLEY: This is related to that also. I
10 guess I am confused about 417. You show a chart on time to
11 study drug discontinuation for both the 60 mg and 120 mg
12 doses, but then at some point somebody said that at 20 weeks
13 everybody transferred over to the 60 mg dose.

14 DR. JAFFE: Yes, during the performance of study
15 417, and well after many patients had been randomized, with
16 the unblinding of study 408 the extent of nephrotoxicity
17 associated with 120 became evident. With that information,
18 our independent DSMB met to review the accumulating database
19 and found that there was similar anti-HIV activity between
20 the two different dose groups. However, there appeared to
21 be more nephrotoxicity in one of the dose groups. So, they
22 felt it was important to have everybody who was presumably
23 on the higher dose, dose reduce at week 16, with the
24 rationale being that the drug appeared to be producing
25 activity, anti-HIV effect, and that more patients would be

1 able to stay on the drug longer and benefit for a longer
2 period of time.

3 DR. STANLEY: But on this chart that you showed,
4 even on the 60 mg dose you got a high rate of
5 discontinuation. I mean, only 30 patients lasted 48 weeks.

6 DR. JAFFE: That is correct.

7 DR. HAMMER: Dr. Feinberg?

8 DR. FEINBERG: Thanks. I was trying to get my
9 thoughts together. Let me mention a couple of questions
10 that I have for the sponsor. Study 408 is the only study
11 that appears to be supportive of their proposed indication
12 in treatment experienced patients, at least by statistical
13 criteria by the p-value. It is not clear to me that the
14 small difference in viral load change is a clinically
15 meaningful change.

16 But I think another issue even in interpreting 408
17 studies, as I recall from our meeting a year ago, patients were
18 also permitted to change -- the protocol asked people to try
19 to remain on stable background therapy but, in fact,
20 patients did, indeed, change their background therapy before
21 the specified clinical endpoint time of 24 weeks. We didn't
22 see any data about what proportion of patients did that, or
23 what was the outcome of those individuals.

24 DR. JAFFE: Sure. Just one point of
25 clarification, although not presented by FDA and we only

1 presented the results of one study, there are 2 additional
2 studies which have demonstrated anti-HIV activity. They are
3 both short-term in duration. That is study 402 which
4 enrolled treatment experienced patients and looked at the
5 dose range of 125-500 mg once a day for 2 weeks, and there
6 were statistically significant differences between the
7 placebo group and the active-treated arms. As previously
8 presented, in study 403, patients were dosed 6 weeks during
9 a blinded study and then had rollover to an additional 6
10 weeks monotherapy versus placebo, and there were clear
11 statistically significant differences between the treatment
12 arms in treatment experienced patients.

13 [Slide]

14 DR. TOOLE: In study 408 patients were discouraged
15 from changing their antiretroviral regimen for the first 24
16 weeks. However, about 20 percent of patients did change and
17 there was no difference between the 2 arms.

18 [Slide]

19 We also conducted an analysis where we excluded
20 patients who added a new antiretroviral agent. As shown
21 here, for the active group during the first 24 weeks, for
22 those that did not add an agent, there was still a decrease
23 of about 0.3 logs after 24 weeks. In addition, for those
24 patients who were on the placebo arm and added adefovir
25 during the open-label phase, we also applied the same

1 analysis and, again, a decrease of about 0.3 logs is
2 observed after 24 weeks.

3 DR. FEINBERG: I am sorry because that went by
4 very quickly. So, you are saying that this is the viral
5 load change outcome?

6 DR. TOOLE: This is excluding those patients --

7 DR. FEINBERG: Excluding those who made a change
8 in the first 24 weeks.

9 DR. TOOLE: On adefovir, either after rolling over
10 from placebo to the open-label phase of adefovir, or during
11 the blinded period.

12 DR. FEINBERG: So, do you have a slide looking at
13 that as an intent-to-treat? In other words, the same
14 analysis -- oh, no, it wouldn't be that. I am sorry.

15 The next concern I have is kind of global. I am
16 not even sure how to phrase it. I guess I am concerned that
17 studies 402, 403 and 420 have never shown any evidence of a
18 dose response with respect to the antiviral activity of
19 adefovir. In addition, at least by your Kaplan-Meier
20 estimates from expanded access, there doesn't seem to be any
21 dose proportionality for nephrotoxicity either.

22 So, I guess I have questions about why not choose
23 -- I mean, I am trying to figure out why 60 mg is the dose
24 of choice, why that does might not be smaller than 60 mg? I
25 understand that the hepatitis B dose is 30 mg and, given

-- 1 everyone's concerns about nephrotoxicity, I wonder if you
2 could tell us about the 30 mg database. I understand it is
3 a different disease and a different population but I would
4 like to know is nephrotoxicity evidenced at 24 or 48 weeks
5 of treatment for hepatitis B and, if so, is that onset later
6 in time than you have shown us for 60 mg? I understand we
7 are talking about different indications. My concern is what
8 is it that this drug does, and why is 60 mg the right dose.

9 DR. TOOLE: As part of our Phase IV commitments we
10 will be investigating the 30 mg dose with regard to anti-HIV
11 activity and safety profile. If I could have slide 62,
12 please?

13 [Slide]

14 With regard to the difference between 60 mg and
15 120 mg observed in study 417, this slide looks at the
16 Kaplan-Meier analysis for the time to onset for serum
17 creatinine increase. In this case, we do see a significant
18 difference, as shown by this p-value, for the development of
19 nephrotoxicity. This is for creatinine increase. We
20 observed the same difference for hypophosphatemia.

21 DR. FEINBERG: I might remark that on that slide
22 the inflection point in terms of time for both doses, you
23 know, appears to be happening between 24 and 28 weeks.

24 DR. TOOLE: That is correct. When we began the
25 study we weren't certain whether what you would see is a 2-

1 fold delay before the development of nephrotoxicity at the
2 lower dose. It is clear that is not the case. However, the
3 apparent plateau does seem to be lower. This plateau was
4 also observed in study 408 even when there was a significant
5 number of patients at risk for the development.

6 DR. HAMMER: Have you done an analysis if you
7 stratified for a different creatinine level? I am sorry to
8 interrupt, Judith, but if you did greater than 0.3 from
9 baseline, for example, because the time of onset does look
10 the same. The cumulative proportion of patients with
11 nephrotoxicity by that definition is different but I think
12 the group might be interested to see if you used a lower
13 threshold what those curves might look like.

14 DR. TOOLE: We haven't done that primarily because
15 if you look in study 408, the patients on the placebo arm
16 who had a 0.3 rise is about 15 percent, and for a 0.2
17 increase it is about 40 percent.

18 DR. JAFFE: I should also point out that in the
19 expanded access program we were able to compare 1000
20 patients at 120 mg to 1000 patients at 60 mg with similar
21 lengths of exposure, and while there is an incidence of
22 about 40 percent according to the Kaplan-Meier estimates at
23 a year in the 60 mg group and 50 percent in the 120 mg
24 group, according to the log rank test that is highly
25 statistically significant.

1 Now, in terms of 30 mg and what we might expect to
2 see, we have now treated about 25 chronically infected
3 patients with HBV at 30 mg for about a year, and we can see
4 at about 10-11 months, now having precise knowledge of what
5 to look for, small up-ticks in creatinine, smaller than the
6 0.5, associated with minor decreases in phosphate. So, we
7 might expect to see nephrotoxicity associated with the 30 mg
8 dose but at a much lower incidence and delayed onset as
9 well.

10 DR. FEINBERG: Those are co-infected patients,
11 chronic hep. B and HIV, or just chronic hep. B?

12 DR. JAFFE: Those are chronic hep. B infected
13 patients without HIV. There have been studies that have
14 looked at co-infected patients as a subset of the CPCRA
15 study 039. About 10 percent of patients were co-infected
16 and, as part of the CPCRA's analysis it appeared that there
17 was less incidence of renal toxicity. Whether or not that
18 is influenced by the small numbers we don't know. As
19 recently presented by the 039 study team, there was clear
20 activity against hepatitis B infection in those patients
21 compared to placebo.

22 DR. FEINBERG: I have just a couple more sort of
23 follow-up questions, just chasing this issue of the
24 nephrotoxicity. One is that although we have been given a
25 lot of laboratory data, other than one slide which said 6

1 patients or 1 percent had been reported as having a Fanconi-
2 like syndrome, we really haven't been shown anything about
3 the clinical impact of this nephrotoxicity on patients, and
4 I wonder if you have the data in that format to tell us
5 something about the actual clinical manifestations.

6 DR. JAFFE: Sure. Much of the serious renal
7 adverse events that were reported were early on in the
8 program where knowledge of the precise manifestations of
9 toxicity were unknown and may have led to hospitalization.
10 That is the slide that Jay presented earlier, which
11 reflected not understanding the pattern of toxicity.

12 Now, at 60 mg we can say that in the 120 or so
13 patients who came off study drug in the first 1000 patients
14 at 60 mg, 5 of those events were considered to be serious; 2
15 of those patients underwent hemodialysis. Both were
16 extremely complicated patients with viral loads well above
17 100,000 copies at baseline, on multiple concomitant
18 medications.

19 One of the patients had developed pancreatitis and
20 hepatitis, and with a normal creatinine off adefovir was
21 hospitalized and, while in hospital, received IV
22 radiocontrast for an abdominal CT scan and developed renal
23 failure while off adefovir.

24 The second patient also had a similar background
25 history, developed pancreatitis and, while in hospital, not

1 receiving IV radiocontrast, had multi-organ failure.

2 [Slide]

3 I think it is important to put this a little bit
4 in context. The point of this slide is to show that renal
5 failure can occur with adefovir. This is at 120 mg, and
6 this is one of the two patients from the controlled clinical
7 trials who went on to dialysis. He is a 55-year old African
8 American male who had multiple preexisting medical
9 conditions and was on a slew of other drugs, who came off
10 adefovir after an increase in his creatinine up to 2.4
11 mg/dL. Over the next month, with evidence of wasting
12 syndrome and a variety of other issues coming up, the
13 patient was hospitalized with renal failure and underwent
14 dialysis and also underwent biopsy. In that biopsy, it was
15 consistent with severe acute tubular necrosis as well as
16 clear evidence of mesangial proliferation, consistent with
17 HIV neuropathy.

18 The point of this slide though is to show you that
19 while it is quite clear that adefovir is a nephrotoxin, in
20 an advanced AIDS population there will be background noise
21 with regard to renal failure. And, this patient, in the
22 placebo group, is a patient who was hospitalized for the
23 treatment of pneumonia and in hospital received antibiotic
24 therapy and ended up with renal failure.

25 So, we can state, I think fairly confidently based

1 on the data, that adefovir is a mild nephrotoxin and that
2 there is, however, background noise in the placebo group of
3 patients who won't receive adefovir. So, it is very
4 difficult to sort out the actual contribution at times of
5 adefovir to the patient's course.

6 DR. FEINBERG: Thank you. This last bit on
7 nephrotoxicity is directed at the FDA, mindful of what Scott
8 just asked about, looking for smaller increments in
9 creatinine and understanding that creatinine is not an
10 arithmetic test but represents a logarithmic function. If I
11 remember my internal medicine correctly, if you double your
12 creatinine you lose 90 percent of your GFR. So, have you
13 done an analysis that looks at smaller decrements in renal
14 function? I guess what I am saying is I am concerned that a
15 lot of what we have seen this morning, if I remember
16 correctly, seems to be premised on this half milligram
17 change, which is really an enormous, enormous change in the
18 ability of your kidneys to function correctly. I wonder if
19 you have looked at anything less than that.

20 DR. STRUBLE: No, we haven't. We have only looked
21 at 0.5 mg/dL increase from baseline for serum creatinine
22 because that was the definition that was given to us for the
23 development of this nephrotoxicity.

24 DR. HAMMER: Thank you. Dr. Mathews?

25 DR. MATHEWS: I would like to ask two questions at

1 this time. One relates to the 30 mg dose that is possibly
2 recommended for toxicity in the package insert. The other
3 is related to what is known about potential mitochondrial
4 toxicity.

5 So, with regard to the 30 mg dose, the package
6 insert has guidelines for dose reduction that include that
7 dose, but I am wondering, since we haven't heard any data on
8 the efficacy of that dose, whether there is a rationale for
9 using it as opposed to withdrawing the drug altogether.

10 [Slide]

11 DR. TOOLE: In studies 411 and 417 42 patients
12 were dose reduced primarily for nephrotoxicity. Looking at
13 their median change from baseline following the dose
14 reduction shows little evidence of rebound after 16 weeks.

15 DR. HAMMER: Could I ask a follow up? Do you have
16 data when you stopped the drug completely, the same curve,
17 either in this study or other studies? When adefovir is
18 stopped completely is there an RNA rebound?

19 DR. TOOLE: We don't have data from this study for
20 that, no.

21 DR. HAMMER: Do you have any data, because I think
22 it relates to Dr. Mathews' point?

23 DR. TOOLE: In study 408 we have seen a return
24 towards baseline in patients, but there are very few
25 patients in that study who don't go onto other regimens

1 after discontinuing adefovir so the numbers are small. I am
2 sorry, what was the second part of your question?

3 DR. MATHEWS: To summarize, I mean, since these
4 people were on background therapy we don't know, in fact,
5 that failure to rebound is due to the fact that the 30 mg
6 dose is active or that there is background activity in the
7 regimen that they were on. Is that correct?

8 DR. TOOLE: That is true, but I think at least in
9 study 411 the efficacy data are fairly convincing that the
10 S-drug regimens with adefovir have activity which is
11 comparable to the control.

12 DR. MATHEWS: I understand that, but at this dose
13 level, that is the question. And, a lot of people would be
14 exposed to that dose level in therapy.

15 The next question I have relates to whether in
16 vitro studies have been done to assess the potential
17 mitochondrial toxicity of this agent. In particular, I
18 think it is interesting because of the carnitine depletion
19 that accompanies use of this agent.

20 DR. TOOLE: Yes, we do have in vitro studies
21 regarding inhibition of polymerase gamma.

22 DR. BISCHOFBERGER: We don't have any evidence
23 from preclinical studies, including animal studies and
24 foodchuck studies, that there is any mitochondrial toxicity.

25 [Slide]

1 We have looked at inhibition of human polymerases,
2 including the mitochondrial gamma polymerase. Shown here is
3 the kinetic substrate specificity. So, in terms of the
4 natural substrate, 100 percent would mean it is as efficient
5 as a substrate as deoxy NTPs. As you see here, the best
6 substrate in this study was ddC with 25 percent deficiency.
7 The next one was ddA-TP with 20 percent; the next one, D4T;
8 then came adefovir diphosphate and then 3TC. So, in
9 summary, there is a substrate for gamma polymerases but at
10 less efficiency than ddC, ddI, and D4T.

11 DR. HAMMER: Can I just ask for a clarification to
12 understand this, AZT triphosphate is a mitochondrial toxin
13 but in this assay there is no incorporation?

14 DR. BISCHOFBERGER: We did not see any
15 incorporation in this assay, but if I can show slide 1143 --

16 [Slide]

17 What we have done here is we have just measured
18 K_i 's rather than efficiency of incorporation. Here, AZT is
19 included. You see gamma polymerase. It has a K_i of 18.
20 So, the higher the K_i , the lower the inhibitory -- so AZT is
21 18; adefovir phosphate, in this case, is 0.97; ddC is 0.034.
22 Dr. Hammer, does this answer your question?

23 DR. HAMMER: Yes.

24 DR. BISCHOFBERGER: Thank you.

25 DR. HAMMER: Dr. Yogev?

1 DR. YOGEV: Well, I have about 24 questions so let
2 me start.

3 DR. HAMMER: Stop with 3 and we will come back to
4 the other 21 later.

5 DR. YOGEV: The first question I have is basically
6 for the team at the FDA. Do we accept the 0.3 log as a real
7 reduction? Is 0.3 meaningful to even consider this drug as
8 really an addition to the armamentarium? Maybe I was
9 spoiled with the NNRTI and protease inhibitors. So, I
10 wonder how my colleagues feel about it.

11 The question to the company is, ddI, I noticed,
12 **was** one of the few which was 29 percent increase -- is ddI
13 going to be excluded from the armamentarium? Any
14 recommendation for that because now many of us are using
15 hydroxyurea which increases even more the intracellular --
16 are we going to see more pancreatitis because of combination
17 with this drug? Are there any data for the ddI
18 accumulation?

19 DR. JAFFE: I can't answer the first question for
20 the FDA. So, I would invite one of them to come up and deal
21 with that if they would like, but in terms of ddI-related
22 side effects, we have long-term placebo control from study
23 039, and the incidence of the primary ddI side effects,
24 peripheral neuropathy and pancreatitis in both cases is
25 higher in the placebo group compared to the active group,

1 the adefovir-containing group. The use of ddI at baseline
2 is roughly similar. So, there is no data to suggest at this
3 point in time that these minor elevations that we saw in the
4 single-dose study have any clinical relevance.

5 DR. YOGEV: But those data are only for the first
6 20 weeks.

7 DR. JAFFE: No, no, this was the long-term
8 placebo-controlled trial where patients had a median follow-
9 up time of 11 months on study.

10 DR. YOGEV: I am a pediatrician and I almost feel
11 that I don't belong in this session because you didn't
12 present any data on pediatrics and, yet, in the insert you
13 are claiming that maybe it can be used between 4 months and
14 18 years. Can we see a bit more data on that?

15 [Slide]

16 DR. TOOLE: We conducted study 418, which was an
17 open-label, dose escalation study at 2 dose levels of
18 adefovir, either 1.5 mg/kg or 3 mg/kg, in combination with
19 other nucleosides and nelfinavir. There were 25 patients in
20 this study. These were HIV-infected children who were
21 nelfinavir-naive, with HIV RNA greater than 400 copies/ml.
22 The duration of the study was 16 weeks for the primary
23 phase, followed by an open-ended extension. The endpoints
24 of the study were PK, safety and HIV RNA changes.

25 [Slide]

1 The objectives of the study were to establish an
2 appropriate dose of adefovir dipivoxil in children; to
3 evaluate the pharmacokinetics, safety and tolerance of
4 adefovir at 2 dose levels in combination with other
5 antiretrovirals; to evaluate the antiretroviral response
6 through 16 weeks of treatment, as well as to obtain
7 preliminary information on the potential interaction of
8 adefovir and nelfinavir.

9 [Slide]

10 The children received adefovir in a suspension
11 formulation which was dissolved adefovir in combination with
12 L-carnitine in a sweetening suspending vehicle.

13 [Slide]

14 The baseline demographics show the mean age of the
15 children to be about 6.5 years, primarily girls, with a mean
16 HIV RNA of about 80,000 and a mean CD4 percent of about 25
17 percent.

18 [Slide]

19 Adefovir dipivoxil was well tolerated as all
20 children completed 16 weeks of treatment. Three patients
21 discontinued for an adverse event and all three of these
22 were due to hypophosphatemia which, again, developed in the
23 extension phase, that is, beyond 16 weeks.

24 [Slide]

25 This slide summarizes the grade 3 or higher

1 adverse events observed during the study. There were no
2 grade 4 adverse events. There were 3 grade 3 adverse
3 events, neutropenia, a congenital anomaly which was
4 diagnosed during the study, and 2 case of hypophosphatemia
5 at the higher dose level.

6 [Slide]

7 These children gained weight and height, as shown
8 here. This is looking at the mean change in weight and
9 height at week 24. However, when looking at the adjusted Z-
10 score, which is an instrument to take into account the
11 patient's age and gender, the children were relatively
12 underweight for their age with a Z-score of minus 0.2.
13 However, it is important to note that these children came
14 into the study with a Z-score of minus 0.35.

15 [Slide]

16 The study was conducted with adefovir being added
17 onto background therapy for a 1-week lead-in and then
18 nelfinavir was added on day 8 and continued. After week 1
19 for both dose levels we observe about a 0.2 log decrease,
20 with a range of minus 0.5 to plus 0.4. At week 16, after
21 the addition of nelfinavir, there is a 0.5 decrease from
22 baseline, with a range from minus 2 to plus 8.

23 [Slide]

24 So, to conclude, adefovir in combination with
25 nelfinavir and other NRTIs has been well tolerated. The

1 median duration of adefovir for this study is 80 weeks.
2 Adefovir at the 1.5 mg/kg dose provides similar exposure to
3 the 60 mg dose used in adults, and based on trough and peak
4 levels of nelfinavir there is no apparent interaction.

5 DR. JAFFE: Since we seemed to have skipped past
6 the issue of the magnitude of the viral load change to
7 pediatrics, I thought it would be useful to just take this
8 opportunity and put a 0.3 log change into some proper
9 context. This is looking at monotherapy studies of other
10 nucleoside reverse transcriptase inhibitors. These are not
11 our data. They come from an ACTG study which has been
12 presented publicly, and the Merck 033 study which may still
13 be in their package insert.

14 [Slide]

15 This is a study in patients who had received AZT
16 monotherapy. It was a complicated study design which I
17 believe had 4 arms associated with it, but 2 of the arms had
18 patients rolling over to either D4T or ddI monotherapy. As
19 you can see, here the viral load declines over time, for D4T
20 by week 24 probably about 0.125 and at the end of 48 weeks
21 it is above baseline. For ddI the activity is clearly
22 greater, but at the end of 48 weeks approximates about 0.4
23 to 0.5. That is in treatment experienced patients.

24 [Slide]

25 Now we are looking at treatment naive patients

1 here. This is the study design. Treatment naive patients
2 were randomized either to zidovudine alone, indinavir alone
3 or zidovudine plus indinavir.

4 [Slide]

5 You can see that AZT in the treatment naive
6 population through 24 weeks has about a 0.3 to 0.4 log
7 decline. So, I think it is important to put the changes we
8 have seen with adefovir in context. It is also important I
9 think to understand how treatment effects may differ
10 according to the treatment population that you look at.

11 For example, if we were to focus here on this
12 treatment naive group and look at the combination of AZT and
13 indinavir, which is about 1 log or 90 percent of the virus
14 in your blood, if we were now to extrapolate to study 411
15 and look at the results that we see in the triple control,
16 AZT, 3TC and indinavir, I think we can confidently say that
17 3TC is supplying about another 0.6 log decline. The mean
18 change at week 20 in that study is about minus 1.6.

19 However, if we feel confident in making that extrapolation,
20 I think we also must acknowledge that given the results that
21 we have seen with the triples including adefovir, that
22 adefovir is supplying the same 0.6 treatment effect in that
23 treatment naive population.

24 DR. YOGEV: Well, the study you presented, it was
25 from 1.9 to 12.9 age, yet you are claiming it to 0.4. That

1 supposes it can be used at less than 2 years of age. We
2 know from multiple studies that the pharmacokinetics in the
3 younger ones is so much different that I was just wondering
4 where you got the 4 months of age into that group,
5 suggesting that it can work.

6 Also, it is interesting that the variation in that
7 specific group that you are reporting, for the **area** under
8 the curve the standard deviation from 1.29 is almost 0.3
9 mcg/mL, which is more than double what is in the adults,
10 suggesting that there is going to be a huge variation in
11 that population. Shouldn't you just limit yourself to the 2
12 years and older unless you have a PK which you didn't show
13 us to show the same? Also, try and explain to me why you
14 can go to 0.75 on toxicity.

15 DR. JAFFE: There is very limited information in
16 terms of dose reduction, and your comments regarding the age
17 threshold are well taken. Dr. Cundy will present some of
18 the pharmacokinetic information.

19 [Slide]

20 DR. CUNDY: This slide compares the
21 pharmacokinetic data obtained in 10 HIV-infected children,
22 at the dose of 1.5 mg/kg of adefovir dipivoxil administered
23 as a suspension of the tablets, with data for the 60 mg dose
24 in HIV-infected adults.

25 As you can see, the AUC and the Cmax under these

1 conditions are almost identical. This also demonstrates,
2 however, that the apparent clearance of adefovir in children
3 is somewhat greater than it is in adults. But one would
4 expect that a 1.5 mg/kg dose would administer a similar
5 exposure of adefovir to a 60 mg dose in adults.

6 [Slide]

7 This is a graph that shows the effect of age in
8 the cohort of HIV-infected children on the apparent
9 bioavailability of adefovir dipivoxil, and there is no
10 demonstrated relationship with age, illustrating that we got
11 very similar bioavailability across the range of 2-10 years
12 of age.

13 DR. YOGEV: You just reconfirmed what I am asking
14 for. Less than 2 years of age, do you have any data? I
15 have no problems with 2 years and above. I am talking about
16 less than 2 because you are suggesting that it can be used
17 in 6 months of age.

18 DR. CUNDY: There was a previous study conduct ed,
19 study 406, in HIV-infected children where some
20 pharmacokinetic data was generated at ages below the 2 year
21 limit that was --

22 DR. YOGEV: Sorry for interrupting, but that
23 specific study was on 8 patients and the range was from 0.4
24 to 17 years of age. One would like to know how many were
25 really tested at less than 2 years to justify usage of this

1 drug.

2 DR. CUNDY: Yes, as far as the clinical outcome
3 for 406, I will leave that up to Dr. Jaffe.

4 DR. JOLSON: Scott, I wonder if I could just
5 interject something as a point of clarification?

6 DR. HAMMER: Sure.

7 DR. JOLSON: I mean, as Dr. Yogev pointed out,
8 normally we would have presented and commented on the
9 pediatric information as part of this NDA, but as a point of
10 clarification it is probably worth mentioning that the
11 pediatric formulation has only recently been submitted. It
12 is part of a separate NDA, and that is why you are not
13 nearing our commentary on it. I think it has only been in-
14 louse for about a month or so. Gilead can correct me if
15 that is incorrect. So, we will take your comments under
16 advisement, but that may be why you haven't heard a more
17 proactive approach toward viewing the pediatric information
18 from our side.

19 DR. YOGEV: So, that suggests that in our
20 discussion we are discussing we are only discussing
21 potential approval, or advising for approval, for adults
22 only, excluding pediatric?

23 DR. JOLSON: That is correct. The NDA that we are
24 talking about today is for the adult indication. The
25 comments that you are making now will be relevant to the

1 pediatric indication but that is not part of today's
2 application. They were separated in time by many months,
3 about five months.

4 DR. YOGEV: Does that also include pregnant women?

5 DR. JOLSON: In terms of? What would your
6 question be?

7 DR. YOGEV: Because now we are going to approve it
8 for adults and I didn't see any data on pregnant women.
9 There was no discussion of that, Are they going to be
10 excluded? Because the way it is written in the insert is in
11 certain cases this drug can be used, and I am not sure we
12 saw any data for safety pharmacokinetics to suggest 60 is
13 right.

14 DR. JOLSON: Well, I would ask the sponsor to
15 respond to the experience in that population, but also it is
16 probably worth mentioning that the draft insert that you see
17 is what was submitted with the application. Normally, by
18 the time a product gets to market the insert has undergone
19 substantial revisions. So, anything is fair game for
20 comments, but you shouldn't look at anything as though it is
21 locked in.

22 Just getting back to the original question about
23 the relevance of viral load, maybe Dr. Murray can just
24 comment about our division's approach to that issue.

25 DR. MURRAY: Well, it is true what you said for an

1 individual for two separate HIV RNA measurements. The
2 variability could be 0.5 to 0.7 logs, but when you are
3 looking at a large number of patients in a clinical trial
4 smaller differences than the variability from one individual
5 can be relevant.

6 I think two years ago we couldn't tease out what
7 the lowest threshold would be for an HIV RNA reduction still
8 having clinical benefit. I mean, when we looked through a
9 lot of the clinical endpoint studies, the majority of
10 studies were an incremental 0.3 log or greater reduction
11 seen was associated with clinical benefit. It seemed like
12 though that somewhere below 0.3 logs it was plus or minus.
13 In some studies clinical benefit followed and in others it
14 didn't. You have to remember that there is a lot of
15 difficulty because what a drug can do as monotherapy might
16 be very separate from what it does when it is being used in
17 an active combination. There probably is synergy, and it
18 depends -- you know, some of the viral load reduction is all
19 up front, if it is transient, all in the first 8 weeks --
20 you know, a viral load reduction even greater than that but
21 if it is not sustained would probably not give you clinical
22 benefit. So, it is a bit complicated question, but
23 something below the inter-subject variability probably --
24 the variability from one subject probably does confer
25 clinical benefit.

1 DR. HAMMER: Thank you. Dr. Hamilton?

2 DR. HAMILTON: Expanding somewhat on the topic we
3 are talking around at this moment, I would like to tilt a
4 /little further toward that clinical windmill and inquire as
5 to whether, in fact, the projection illustrated in this
6 analysis of the relationship between the clinical disease
7 progression and changes in HIV RNA have, in fact, been
8 evaluated at all in the conduct of the course of the studies
9 of what must be several thousand patients now. Recognizing
10 that clinical endpoints has not been, at the outset, the
11 subject or the statistical focus of these studies,
12 nonetheless, I would have hoped that some data might, in
13 fact, have been collected. I notice in the safety profile
14 slide that Dr. Jaffe just showed that there were, in fact,
15 16 or 17 deaths in 2 treatment arms, only 1 of whom died
16 apparently of renal failure. I would kind of like to know
17 what they did die of. Are there morbidity, mortality,
18 quality of life measures that we can use to help validate
19 the putative benefits of this modest reduction in viral
20 load?

21 DR. JAFFE: By the way, we have not tested, nor
22 have any information in pregnant women.

23 [Slide]

24 This looks at the mortality in 039 and, as Dr.
25 Toole indicated earlier, the real value of this study is

1 having a long-term placebo control. In the absence of a
2 long-term placebo control, 24-week studies per se,
3 particularly with patients who may have higher CD4 cell
4 counts, it is very, very difficult to assess, because of the
5 low event rate, what impact your drug may have.

6 The primary endpoint of this study was survival
7 and, as you can see, there were 17 deaths in the adefovir
8 group, 16 in the placebo group, and there are numerous
9 different reasons. I should point out that 1 patient who
10 had an arrhythmia was on the adefovir arm but died 5 days
11 into study, never having received study drug. So, the
12 distribution, or at least the numbers, is quite similar.

13 [Slide]

14 Looking at some of the other secondary endpoints,
15 we can see that there is no difference with regard to death,
16 17 versus 16. With regard to CMV disease, and because of
17 the demonstration preclinically and to some degree in an
18 early clinical study of anti-CMV activity, this study
19 actually had nested within it a CMV prophylaxis study. So,
20 patients were actually screened at baseline to make sure
21 that they did not have CMV retinitis. But similar to the
22 changes in terms of mortality events, the decrease in CMV
23 retinitis was also impacted by the changing background HIV
24 therapies. There were 5 patients on the adefovir arm
25 compared to 10 on placebo that had CMV.

1 If we now look at progression of disease including
2 death, there were 40 on the active arm compared to 48 and
3 none of these achieved statistical significance.

4 [Slide]

5 Now, if we look a little more carefully at some of
6 the clinical endpoints, these are data that have just
7 recently been received by Gilead. We have not had a chance
8 to analyze them, nor has the FDA. We are now looking at
9 first occurrence of specific opportunistic disease, now
10 looking at PCP, candidiasis, confirmed CMV, wasting
11 syndrome, age-related malignancies, other OIs, and in this
12 grouping we are talking about herpes zoster, Microsporidia,
13 a variety of other endpoints and AIDS dementia complex or
14 PML, there are 39 first occurrences in the adefovir group
15 compared to 62 in the placebo group, and this achieves
16 statistical significance. This is our p-value. This has
17 not been done by the CPCRA, and it is an unadjusted analysis
18 for baseline CD4 and RNA. So, there is some degree of
19 evidence of differences between the two groups.

20 DR. HAMMER: Is that invasive esophageal
21 candidiasis or is that oral candidiasis?

22 DR. JAFFE: We don't have that information, but we
23 have reasons to believe that it is oral, esophageal and
24 perhaps even disseminated.

25 DR. HAMMER: And, was prophylaxis comparable

1 between the two groups, for example, for the PCP?

2 DR. JAFFE: Yes, it was.

3 DR. HAMMER: Those data are driven by PCP and
4 candidiasis. So, I think one has to really look at those
5 two categories quite carefully.

6 DR. STANLEY: Dr. Hammer, we should also point out
7 that in this study there was no virologic benefit shown with
8 adefovir.

9 DR. HAMMER: Dr. Masur?

10 DR. MASUR: In order to understand the
11 nephrotoxicity a little bit better, could you elaborate on
12 either preclinical or clinical data about what the mechanism
13 might be, and also on the same issue, obviously you have
14 looked at doses between 30 and 250 or 500. What evidence do
15 you have either preclinically or clinically that this
16 nephrotoxicity is, in fact, dose related?

17 DR. TOOLE: Preclinically, on histological
18 examination we observed only a tubulopathy, glomerular
19 changes. The tubulopathy was not accompanied by any changes
20 in serum chemistries of creatinine or phosphorus. We did
21 observe very minor changes of keriomegaly at doses as low as
22 1 mg/kg/day.

23 DR. MASUR: That is pathology. Do you have any
24 indication of what the pathophysiology of this is? Why is
25 this unique to this particular class of compounds or this

1 compound?

2 DR. TOOLE: I was going to address the mechanism
3 of nephrotoxicity.

4 [Slide]

5 We have recently cloned and expressed the human
6 organic anion transporter from human kidney, and found
7 adefovir to be a substrate. The human organic anion
8 transporter has been shown by immunohistochemistry to be
9 localized to the proximal tubules. Based on that, shown
10 here is a model for a proximal tubule cell. We believe that
11 adefovir is transported to the proximal tubule cell by the
12 organic anion transporter, and through an unknown mechanism
13 is also secreted into the glomerular filtrate. Over time,
14 either adefovir or metabolite accumulates and through an
15 unknown mechanism leads to cellular injury. After injury,
16 transport re-uptake from the glomerular filtrate of glucose
17 and phosphate is inhibited, resulting in glycosuria and
18 hypophosphatemia. Inhibition of protein uptake is
19 inhibited, resulting in proteinuria, as well as inhibition
20 of secretion of hydrogen ions which result in reduced serum
21 bicarbonate.

22 DR. HAMMER: Could I just ask what hydroxyurea
23 does, does it potentiate the toxicity either clinically or
24 at your basic mechanistic level?

25 DR. TOOLE: We don't know in terms of a basic

1 mechanistic level. In our expanded access program we have
2 done a preliminary analysis looking at patients who have
3 received hydroxyurea. There has been no increase in
4 associated nephrotoxicity in those patients.

5 DR. BENDELE: I am Ray Bendele, Gilead Sciences.
6 We do see a dose-related increase in nephrotoxicity in
7 animals, in that in the rat, at approximately 100 mg/kg, we
8 do begin to see increases in BUN and creatinine, as well as
9 histologic changes. As we increase the dose in monkeys
10 orally, we have never seen increases in BUN and creatinine
11 even at 75 mg/kg for 30 days, or 25 mg/kg for 3 months. But
12 if you give a high enough dose intravenously in the monkey
13 you can produce proximal renal tubular necrosis.

14 DR. MASUR: So, are you suggesting that there is a
15 threshold at which you get toxicity? Do you have any data
16 suggesting that as you augment the dose you get earlier or
17 more severe or less reversible toxicity?

18 DR. BENDELE: No, as you increase the dose in the
19 rat, for instance, at 37 mg/kg in some of the studies that
20 we have run for up to a month, we don't see any evidence of
21 increases in chemical chemistries, BUNs or creatinines,
22 although we do see some histologic evidence of renal
23 toxicity. As we increase to 100 mg/kg we do see more severe
24 renal toxicity with increases in BUN and creatinine.

25 In terms of the histologic effect, the longer the

1 duration of treatment in the rat, for instance, the lower
2 the dose at which you see the cytomegaly in the kidney, but
3 it doesn't increase in severity as you increase the duration
4 of exposure. And, the effects are reversible. In the
5 monkey after 20 weeks at 25 mg/kg there were 7/8 animals at
6 that had the histologic change of kerionmegaly. After a 1-
7 month recovery only 1/8 animals had any evidence of
8 kerionmegaly. So, we do see recovery of the histologic
9 lesion. Although not totally, we do see recovery after 1
10 month in the monkey.

11 DR. HAMMER: Dr. Lipsky?

12 DR. LIPSKY: First a question on efficacy and then
13 another one on kinetics. In the expanded study you reported
14 that by 9 months approximately 50 percent of the patients
15 were off the drug. Nephrotoxicity was only about 17
16 percent. Why are people stopping the drug? Is it efficacy?
17 Toxicity? What is going on?

18 DR. JAFFE: In this patient population it, no
19 doubt, is a combination of various factors. In the expanded
20 access program, in a setting where data are not audited, we
21 are left with what the physician sends in, in the case
22 report forms. So, in terms of the 50 or so percent that
23 have come off, about 20 percent come off for adverse events;
24 about 10 percent come off for progression of AIDS; 2 percent
25 have died; and then there are various other reasons,

1 including non-compliance, loss to follow-up, etc.

2 DR. LIPSKY: I see. Thank you. On the kinetics
3 of the drug, when you did the dose reduction down to 60 mg,
4 in the brochure that you presented, with an N of 6, you
5 achieved a Cmax of around 0.1 mcg/mL, which appeared to be
6 virtually identical to the Cmax at 120 in I think the 402
7 study, the one that you gave us, the reprint published in
8 JID. Is that because of a difference in assays or how does
9 the concentration respond to the dose?

10 [Slide]

11 DR. CUNDY: This is now looking at the data we
12 have comparing short-term dosing of adefovir dipivoxil with
13 long-term dosing in a limited number of patients. Here we
14 have comparisons of 12 patients that had long-term dosing
15 and 6 further patients that had been dose reduced for
16 nephrotoxicity. You can see here the apparent clearance of
17 adefovir was reduced somewhat upon long-term dosing in all
18 patients. However, it was further reduced by about 55
19 percent from control values in patients that had actually
20 been dose reduced for nephrotoxicity.

21 DR. LIPSKY: No, just classic pharmacokinetics
22 with this drug -- what is the relationship between the dose
23 you give and the level you get?

24 DR. CUNDY: Oh, okay, I understand the question.

25 [Slide]

1 This slide demonstrates the dose proportionality
2 of the Cmax of adefovir following oral dosing of the dose
3 range from 60 mg up to 500 mg.

4 DR. LIPSKY: And that was what? Normals?

5 DR. CUNDY: This is all HIV-infected patients.

6 [Slide]

7 This is a similar **graph** for AUC values in HIV-
8 infected patients over the same dose **range**.

9 DR. LIPSKY: Then, dose related to effect, the
10 curves are very flat. Is there any information about
11 cellular uptake of this drug?

12 DR. CUNDY: We don't currently have **an** analytical
13 method that is capable of measuring intracellular levels of
14 adefovir, although we **are** looking right now at finding a
15 more sensitive method with the hope of being able to do
16 that.

17 DR. LIPSKY: In the information you gave you said
18 intracellular levels had a half-life of about 30 hours.

19 DR. CUNDY: Those were actually based on studies
20 in resting and activated human PBMCs using radiolabeled
21 drug.

22 DR. LIPSKY: I see. Thank you.

23 DR. BISCHOFBERGER: Can I clarify something very
24 briefly? We have actually carried out an analysis of
25 intracellular levels in monkeys. Could I have slide 1150?

1 [Slide]

2 This shows a study we have done with C14 labeled
3 adefovir. We have looked at plasma levels and intracellular
4 levels of both adefovir and the antiretroviral active
5 metabolite. As you see, the plasma half-life is on the
6 order of 5-7 hours, and the intracellular half-life is very
7 long. It is on the order of 30 hours. We don't have the
8 corresponding data in humans, but this is in monkeys.

9 DR. HAMMER: Is there a dose response in the
10 diphosphate levels? That would help us enormously, I think.

11 DR. BISCHOFBERGER: Yes, we have actually gone
12 from 15 mg/kg in the monkey up to 60 mg/kg and safety and
13 efficacy see very nice dose proportionality, 4-fold higher
14 intracellular levels. It correlates to the plasma AUC.

15 DR. LIPSKY: What is your interpretation of the
16 flat dose-response curve?

17 DR. BISCHOFBERGER: I am sorry, which flat dose-
18 response curve?

19 DR. LIPSKY: In other words, regardless of what
20 dose you have given, you seem to get the same response,
21 whether it is 60 mg, 120 mg, 500 mg and you are proposing
22 potentially 30 mg. Do you have any explanation for that?

23 DR. BISCHOFBERGER: No, I don't, but I do not
24 think it would be the limitation in terms of intracellular
25 active metabolites. But, you know, one possibility could be

1 we don't really know where these active metabolites are -- I
2 mean, I am making it up obviously.

3 [Laughter]

4 DR. LIPSKY: And, there doesn't appear to be any
5 role for therapeutic monitoring for efficacy or toxicity in
6 this drug?

7 DR. BISCHOFBERGER: I am sorry, could you say that
8 again?

9 DR. LIPSKY: Is there any role -- there has been
10 no presentation or any concern, is there any role for
11 therapeutic monitoring for either efficacy or toxicity with
12 this drug?

13 DR. CUNDY: Actually, we are going to be looking
14 at longitudinal changes in pharmacokinetics in our ongoing
15 study 415, with the idea of seeing whether pharmacokinetics
16 in any way indicate a patient might be predisposed to
17 nephrotoxicity, but we don't currently have that data.

18 DR. HAMMER: Thank you. Dr. Pomerantz?

19 DR. POMERANTZ: Yes, a couple of questions on some
20 of the resistance data and the clinical virology. First,
21 maybe Dr. Bischofberger would be the person to talk about
22 this, I am not sure. But I am not sure how the definitions
23 for phenotypic resistance were determined. A variety of
24 studies that I am somewhat familiar with that have been
25 printed recently have had trouble determining what is

1 phenotypic resistance. And, at one point you talk about
2 high-level AZT resistance as being 8-fold, increased over, I
3 assume, over the non-resistant control, as well as for the
4 ADV in one study, a 4.5 versus a 1.5 was considered a
5 significant increase. Comments, and how you came up with
6 this?

7 DR. BISCHOFBERGER: Yes. Could I have slide 978?

8 [Slide]

9 Shown here is our own data to justify the
10 genotypic definition of low-level and high-level AZT
11 resistance. As you see, if you have single mutations, like
12 41, 67, 72, 219 or double combinations, 67/70, in general
13 you see less than 8-fold --

14 DR. POMERANTZ: No, no, I understand that. My
15 question was why did you pick 8-fold versus 10-fold, as one
16 group does, versus 3- or 4-fold, which another group does to
17 determine resistance? Why 8-fold?

18 DR. BISCHOFBERGER: In the phenotypic analysis
19 which I presented, the 8-fold was actually picked by the
20 company which carried out the analysis, which was Virologic.
21 They felt that 8-fold was the cut-off in their mind for low
22 level or high level.

23 DR. POMERANTZ: And, do you know, in their mind,
24 how they determined that there was any clinical difference
25 between 8-fold or 7-fold or 4-fold or 10-fold?

1 DR. BISCHOFBERGER: I am not sure about that.

2 'Maybe Brendon Larder can comment on that.

3 DR. HAMMER: I don't know that Brendon wants to
4 comment on the Virologic cut-offs. But let me just clarify,
5 I don't think that is really Virologic's definition of high-
6 level resistance. That is their definition of clear-cut
7 decrease in susceptibility from their control in vitro.
8 Between 2.5 and 8-fold is a less clear-cut range. So, one
9 can infer from that it is higher level resistance, but I
10 think Virologic is stating that that is a more clear-cut
11 cut-off in determining a change in susceptibility from the
12 control.

13 DR. POMERANTZ: Then, the second question is that
14 one of your graphs shows that 1.45 versus 1.5 phenotypic
15 difference in ADV resistance was considered significant. Do
16 you think that means anything if you are using 8-fold for
17 the AZT cut-off?

18 DR. BISCHOFBERGER: In which presentation was
19 that?

20 DR. POMERANTZ: I don't know the slide number but
21 I have it here, in which high-level AZT plus/minus 3TC --
22 you have a graph that shows ADV 4-fold resistance versus
23 high-level AZT plus 3TC.

24 DR. BISCHOFBERGER: Yes, I apologize.

25 [Slide]

1 So, these are Virco data, and what in general is
2 observed if you do assays with XTD or NTT as the endpoint
3 you get a bigger spread in the readout, whereas in assays
4 that include light as the readout the thing is more
5 compressed.

6 DR. POMERANTZ: Right.

7 DR. BISCHOFBERGER: So, this is statistically
8 significantly different according to Virco.

9 DR. POMERANTZ: Oh, so this was not Virologic?

10 DR. BISCHOFBERGER: No, this is Virco data. We
11 have done statistical comparisons between these two and
12 there is a statistically significant p-value associated with
13 the difference between these two.

14 DR. POMERANTZ: Thank you. That is helpful. The
15 other question I would have is that we have seen some data
16 with less than 400 as a cut-off. Have you looked at any of
17 these studies for less than 50 comparing the different
18 trials with or without ADV?

19 DR. TOOLE: We did that for both studies 411 and
20 417 retrospectively. So, not all samples were available.

21 [Slide]

22 Shown here are the 3 different treatment groups
23 for study 417. They all have a comparable percentage of
24 patients that were less than 50 using the ultrasensitive
25 assay.

1 DR. POMERANTZ: Right, and do you have it compared
2 to one of your arms without ADV? There was another study in
3 which you compared it with AZT --

4 DR. TOOLE: Study 411 --

5 DR. POMERANTZ: Right. Do you have that data?

6 DR. TOOLE: Actually, no, we don't but the
7 percentages were again similar for all treatment groups.

8 DR. POMERANTZ: Similar at what level?

9 DR. TOOLE: Around 60 percent.

10 DR. POMERANTZ: Around 60 percent? Wait a second,
11 so you have 60 percent that are less than 50. How many did
12 you have in that trial that were less than 400? They were
13 almost all less than 50 then?

14 DR. TOOLE: Oh, we do have it.

15 DR. POMERANTZ: You do?

16 [Slide]

17 DR. TOOLE: So, 60 percent is correct in some of
18 the arms, but the control group is 73 percent. The 3
19 adefovir-containing groups range from 64-71 percent, and the
20 quadruple-containing regimen is 64 percent.

21 DR. POMERANTZ: And the less 400 put next to those
22 is what?

23 [Slide]

24 DR. TOOLE: So, using the intent-to-treat
25 analysis, 59 percent and 50-70 percent.

-- 1 DR. HAMMER: Is this because the samples -- you
2 said for the other study not everything was available. Is
3 there a selection issue in the samples you had available for
4 culture sensitive testing?

5 DR. TOOLE: No, just because it was done
6 retrospectively not every sample which was less than 400 was
7 available for reanalysis.

8 DR. POMERANTZ: All right. I will leave it at
9 that for the time being.

10 DR. HAMMER: Thank you. Dr. Wong?

11 DR. WONG: I think we have gotten a pretty good --
12 you know, as we have listened to the questions and the
13 answers I have gotten a pretty good handle on toxicity and
14 virology. But I guess in my mind the critical issue is
15 still whether 60 mg of adefovir per day is as effective as
16 120 mg. As I read the briefing book and the results, and
17 then I heard the presentation by Dr. Soon, I had my doubts
18 about using the equivalence design in which adefovir was
19 added simultaneously with two other highly active drugs. I
20 guess I would like to hear the company's response to the
21 criticism or to the concern about whether one can tease out
22 the effect of the lower dose of adefovir when it is given
23 simultaneously with two other highly active drugs, and
24 whether this equivalence design might just be demonstrating
25 the equivalence of no effect versus no effect.

1 DR. JAFFE: First, we start with the premise that
2 there is clear demonstration of antiviral activity for the
3 120 mg dose. That is, study 402, 403, 408 all have very
4 similar viral load curves when you give the patient as
5 monotherapy either to no background therapy or to failing
6 background therapy -- they are extremely consistent.

7 So, now we ask the question how do these results
8 compare to other populations that have been treated? Can we
9 take a look at the results of 417 and understand or have
10 confidence that this is what we would expect to see with
11 three drugs used in a similar patient population?

12 Fortunately, because of groups like the ACTG and
13 various other groups, there were contemporaneous studies
14 being performed while we were doing 417 that had very
15 similar study designs and treatment populations and, in
16 particular, in protease inhibitor-naive patients.

17 [Slide]

18 This is from ACTG 364, and what we are going to do
19 here is focus in on a particular subgroup. Many of you will
20 be aware that this was a randomized comparison of the safety
21 and efficacy of nelfinavir and/or efavirenz with 1 or 2 new
22 NRTIs in NRTI treatment experienced patients. So, it ended
23 up being a 3-arm study: nelfinavir, efavirenz and 2 nukes,
24 efavirenz and 2 nukes and nelfinavir and 2 nukes. Varied
25 within this study is a subgroup that allows us to make

1 cross-study comparisons to 417, with the caveats that these
2 patients may not have had the same treatment experience as
3 the patients on 417. We do not know the background of 364
4 virology to be able to make comments. However, these were
5 NRTI-experienced patients, PI and NNRTI therapy naive, and
6 they had viral load greater than 500.

7 On study 417 patients had to have had a viral load
8 greater than 5000. So we are going to limit the comparison
9 to patients on 364 in the nelfinavir and 2 nuke arm to those
10 patients who had viral loads of greater than 5000. So, here
11 are all of the patients, 66. The baseline is 10,000 copies.
12 However, for the patients with greater than 5000 copies, 39,
13 over half, the baseline is about 30,000.

14 [Slide]

15 Now looking at slide 25, this is an analysis done
16 by Gilead, and we are thankful that the ACTG was able to
17 share this data with us. Looking at the patients with
18 nelfinavir and 2 NRTIs, baseline greater than 5000, and
19 looking at their viral load curve over time, you can see
20 that there is an immediate drop with an apparent increase,
21 with about a 1 log difference from baseline at week 24.

22 [Slide]

23 Now we are looking at the comparable groups in
24 terms of nelfinavir as the protease inhibitor backbone,
25 adefovir and one other nuke from study 417. The 60 group is

1 in violet; the 120 group is in light blue. You can see, at
2 the end of 20 weeks that the difference is about 1.2 logs.

3 Now, we have to be careful because we don't know
4 the exact treatment backgrounds of patients on 364, but we
5 can see from this, the difference being 1 log in 364 and 1.2
6 log in this study, that we are at the very least in the same
7 ball park.

8 [Slide]

9 There are other data that we can look at as well.
10 These are data that appear in the in amprenavir, recently
11 approved protease inhibitor. It appears in the package
12 insert, and this is study PROAB 3006. So, we are simply
13 providing data from the package insert. We do not have any
14 further data to look at. This was a randomized, open-label
15 study. Patients were randomized to amprenavir plus two
16 nucleoside RTIs versus indinavir plus two nucleoside RTIs
17 and, similar to the patients in 364, similar to the patients
18 in 417, these were NRTI-experienced patients who were PI
19 naive. There were 254 patients in the amprenavir arm.

20 [Slide]

21 The median age at baseline was 37 years, 80
22 percent male. The median CD4 count is 399, so somewhat
23 greater than the median cell count in the 417 study and,
24 notably, the median RNA is slightly less than 10,000 copies.
25 The median viral load in the 417 study was, once again,

1 30,000 copies.

2 [Slide]

3 Now looking at a comparison of efficacy across
4 these two studies, and mindful of the clear differences in
5 baseline viral load between the two different groups, 4.5
6 versus 3.93, the percent less than 400 at week 20 for the
7 relevant comparator arms from 417, adefovir 60 mg plus PI,
8 either nelfinavir or saquinavir plus a nucleoside compared
9 to amprenavir plus two nucleosides is 41 percent versus 43
10 percent.

11 So, the point of this is that between these
12 different studies we can gain some degree of confidence that
13 the results are similar from study to study, with the
14 important caveats of not knowing the specific drug treatment
15 histories, with the potential in 364 that they may have been
16 somewhat more drug experienced, but the potential in the
17 amprenavir study that they may have been somewhat less drug
18 experienced.

19 DR. WONG: But I guess we still don't see with and
20 without adefovir. So, the possibility that all or almost
21 all the effect in the triple combination therapy was due to
22 the other two drugs is still present in my mind. What is
23 our response to that possibility?

24 [Slide]

25 DR. TOOLE: Getting back to the activity of the 60

1 mg dose, I would refer back to study 420 which was a
2 randomized comparison with a placebo control, consistent
3 with what we observed in our other studies, 402 and 403, we
4 again see statistically significant activity, with about a
5 0.3 log increase after 4 weeks. The DAVG4 was also minus
6 0.3 logs. Based on the non-overlap of the 95 percent
7 confidence intervals, this is significant, again,
8 demonstrating that the 60 mg dose does have activity.

9 In our earlier studies we also showed that the
10 treatment effect was similar in treatment naive or treatment
11 experienced patients.

12 DR. HAMMER: Dr. Verter?

13 DR. VERTER: I guess I should preface this by
14 letting everyone know that of all the members of the panel,
15 I am the least competent in HIV research, however, I think I
16 have a fairly good knowledge of clinical trial research so I
17 am going to limit my remarks to that, and I apologize if
18 some of the comments are obvious to the rest of the members
19 of the panel, and I will limit it to two of the many
20 questions I have.

21 My concern comes in the design of the studies and
22 how we can interpret them and, as a couple of other members
23 of the panel have mentioned, the issue of some of the
24 missing data. From my perspective, there are two key
25 trials, 408 and 417, and the comments I have are related to

1 those. This may be, again, obvious to most people but it
2 isn't to me, that the key is if 408, which was the 120
3 placebo trial, is accepted as a given that in that type of
4 cohort the effect that was seen is an acceptable effect for
5 approval, although I know they are not asking for approval,
6 that is an important issue. It is almost clear to me that
7 we have accepted that, but not absolutely.

8 However, even if that is true, the patients in 417
9 and the design in 417 seems to me to be totally different,
10 and I don't know what impact that should have on our
11 deliberations. If we accept 408, does that mean that even
12 though the entry criteria and the cohort risk level of the
13 417 patients is not as relevant? For example, if I am
14 interpreting all the slides I have seen today plus what was
15 in the package correctly, in 408 at 24 weeks the percent of
16 less than 400 copies was about 8 percent in ADV and 4
17 percent in placebo, whereas in 417, the 120 response rate
18 was 31-45 percent depending on which type of analyses you
19 did, and with 60 it was 41-48 percent which, to me, suggests
20 that there is something different between those two cohorts
21 and that may or may not impact on the comparison of 60 and
22 120. so, that is my first issue on the risk level
23 differences, including prior exposure to drugs, both the
24 type and the length, as well as the RNA and CD4 differences.

25 Can I ask one more and then I will save the rest

1 for later?

2 DR. HAMMER: Oh, sure.

3 DR. VERTER: Then, as important to me is the
4 evaluation of the outcome. I have seen at least three and
5 maybe even more types of outcome evaluations and, again, it
6 is not clear to me how we compare those across studies, such
7 as the percent less than 400 copies, the change in CD4
8 count, the change in log RNA, the time-weighted or
9 unweighted averages.

10 Underlying all that is my next comment, which I
11 will make brief, and that is the issue of missing **data**. I
12 feel that the term intention-to-treat in this presentation
13 is a lot different than what I am used to calling intention-
14 to-treat. Although you are correct in trying to evaluate
15 all randomized patients in an intention-to-treat analysis,
16 if the outcome is at 24 weeks you don't **have** the data on all
17 the patients at 24 weeks. I have been involved in other
18 studies where this is also a problem. Fungal infection
19 studies traditionally, for some **reason**, can't get the
20 evaluations at the evaluation point. I think it is very
21 critical in a study where we are using a surrogate endpoint
22 to know what the outcomes were at the defined point in time,
23 assuming those patients are alive and if they **are** not alive
24 you can make an appropriate adjustment there, but if they
25 are alive, even if they are not on drug. I will save the

1 rest for later.

2 DR. TOOLE: First of all, with regard to the
3 comparison in study 408 for the percentage of patients that
4 were less than 400, it is important to keep in mind that
5 this patient population came in with a mean baseline of
6 30,000. What you are looking at there is adding adefovir on
7 top of a background regimen. So you wouldn't expect to get
8 many patients that go below 400 copies/ml in that type of
9 study. Whereas, in study 417 they were started on an
10 entirely new regimen.

11 DR. VERTER: If I could just comment on that, that
12 is one of my points on how to treat the two studies. I
13 recognize there was a difference and I am having a little
14 trouble in using 408 to evaluate 417.

15 [Slide]

16 DR. TOOLE: The intent-to-treat analysis in study
17 417 was such that any missing observations were considered
18 failure and because, as I showed earlier, there were more
19 patients discontinuing at the higher dose group, that led us
20 to look at an analysis where we use the last observation
21 carried forward.

22 When we did that, there were 6 patients at the
23 higher dose group who were now considered as less than 400
24 copies/mL at week 20, and there was 1 additional patient at
25 the 60 mg dose who was now considered as less than 400

1 copies/mL at week 20.

2 So, that was our way of handling the missing data
3 in that population. In doing that, the lower boundary of
4 the 95 percent confidence interval is still minus 0.73 and
5 within the minus 12 percent that we set for equivalence.

6 DR. VERTER: Can I follow-up on that? I guess
7 that does highlight one my possible concerns. I did see
8 that data and I appreciate your showing it again.

9 The problem I have -- and I would have to go back
10 to the survival curves -- is if 20 percent, 30 percent of
11 the patients have not completed the 24 weeks the analysis
12 could infer equivalence or mask equivalence, or even mask
13 harm, depending on what happened to those 20 or 30 percent.
14 I appreciate the way you have done the analysis, and I think
15 traditional clinical trial analyses by various authors
16 suggest that is a good way to do it. But oftentimes I think
17 there is a fewer percentage missing the principal outcome,
18 and I still have some concerns about that.

19 DR. TOOLE: And it is hard to give a percentage
20 less than 400 for any particular drug in a combination
21 regimen. However, in nucleoside-experienced patients
22 adefovir had demonstrated activity in study 408. So, we
23 assume that it is making a contribution in regards to
24 'changes from baseline viral load.

25 DR. VERTER: One quick one, could you just tell

1 the committee, of all the ones that didn't make it, don't
2 have the week 25 evaluation, how many had died by week 24 in
3 each group?

4 DR. TOOLE: There were no deaths in the study.

5 DR. HAMMER: Thank you. Dr. Kopp?

6 DR. KOPP: Thank you. As a nephrologist, I have a
7 number of concerns about nephrotoxicity but I think the
8 central one has to do with the issue of reversibility, and I
9 also have concerns about missing data.

10 First, I would like to ask in study 408, we have
11 been told that 32 patients, that is 8 percent, at the time
12 of last follow-up still had serum creatinines of 0.5 or
13 greater. Also, the median follow-up in those patients I
14 believe was 6 weeks, which was shorter than in the other
15 groups. Do we have any further data on those 32 patients?

16 DR. TOOLE: I am sorry, could you repeat the
17 question?

18 DR. KOPP: In study 408, the 32 patients,
19 representing 8 percent of the total, at the time of last
20 follow-up had a median time of 6 weeks of follow-up and had
21 a creatinine elevation of at least 0.5. Do we have any
22 further follow-up than what was presented?

23 DR. TOOLE: That was up to our safety update
24 submission. That was part of the NDA. There is no
25 additional follow-up in any of those patients.

1 DR. KOPP: Is there the opportunity to contact
2 these patients again in some fashion?

3 DR. TOOLE: No, we have made significant efforts
4 in trying to get these patients back. I think another thing
5 that might be instructive is to look at slide 812.

6 [Slide]

7 We have presented two analyses. One was looking
8 at the Kaplan-Meier analysis which would account for
9 patients who were dropping out for being followed to
10 resolution. Then there were 19 percent of patients that at
11 last follow-up had not achieved resolution. However, many
12 of those patients were not followed, as you stated, beyond 6
13 weeks.

14 We did an analysis looking for those patients for
15 whom we had greater than 48 weeks of follow-up, in this case
16 looking for patients who had creatinine increases greater
17 than 0.5 mg/dL, and in 168 patients there were 10 patients
18 that had greater than 40 weeks of follow-up and remained
19 unresolved, so approximately 6 percent of the patients that
20 had the abnormality. So, this would be somewhere in between
21 the estimate of 19 percent which remained unresolved with
22 significant follow-up.

23 DR. KOPP: I guess a follow-up question on that
24 having to do with study 417, I believe it was 30 percent of
25 patients on the lower dose of adefovir, 60 mg, who also

1 experienced a creatinine elevation of 0.5 or greater. What
2 about resolution in those patients? I don't think data was
3 presented there.

4 DR. TOOLE: There were 18 patients in study 417
5 that developed a creatinine abnormality of 0.5 or greater.
6 At the time of last follow-up 12 had resolved.

7 [Slide]

8 Shown here are the data for those 6 patients who
9 at last follow-up had not resolved. So, the interval of
10 follow-up ranges from none to 42 weeks. Their last values
11 range from 1.1 to 1.4 mg/dL. Looking at their baseline,
12 most of the patients are about 0.5 mg/dL fm baseline, so
13 right at the cut-off for resolution.

14 DR. KOPP: Thank you.

15 DR. RAMMER: Dr. Kimmel?

16 DR. KIMMEL: I am also a nephrologist, and I
17 wanted to pick up on what Dr. Feinberg was questioning. For
18 me to evaluate change of creatinine of 0.5 mg/dL, I need to
19 know what the baseline was and I was very interested in
20 finally seeing some baseline creatinine data on the slide
21 that was just shown. In all the slides where you have the
22 virologic data, you never have baseline creatinines. So, I
23 would like to know what was the baseline creatinine in study
24 :08, 417 and in the expanded access group because that will
25 help us evaluate how severe the magnitude of the disorder

1 is.

2 DR. TOOLE: The mean baseline creatinine in all of
3 our studies has been 0.9 mg/dL.

4 DR. KIMMEL: So, you are using about a 30 percent
5 loss of renal function.

6 DR. TOOLE: That is correct, however -- can I get
7 slide 842?

8 [Slide]

9 Again, it is important to put that in context to
10 look at the placebo arm of study 408 and look at their
11 variability from baseline. When doing at -- and, again,
12 this is the population at baseline of 0.9 -- 41 percent of
13 patients in the placebo arm will have a 0.2 increase in
14 sreatinine during the 24 weeks; 13 percent will have a 0.3
15 ng/dL increase; and 4.4 and 1.4. That is why we chose the
16 0.5 as being a more definitive marker of the development of
17 nephrotoxicity.

18 DR. KIMMEL: Thank you. The second question I had
19 is that as a nephrologist I am concerned about acute renal
20 Eailure because it increases the mortality risk. I am
21 concerned about hypophosphatemia because it increases the
22 risk of death from sepsis. So, I would like to know in
23 study 408 and the expanded access group -- I realize there
24 was a very small number of deaths, but have you looked at
25 the mortality risk conveyed by either hypophosphatemia,

1 using your definition, an increase in creatinine of 0.5
2 mg/dL or the combination?

3 [Slide]

4 DR. JAFFE: In terms of hypophosphatemia, other
5 than the two patients on 120 mg who had renal failure, one
6 with IV radiocontrast and the other setting I mentioned
7 earlier from 039 who actually did not have hypophosphatemia
8 but hyperphosphatemia, there is no apparent increase in
9 mortality associated with hypophosphatemia.

10 [Slide]

11 In terms of deaths on the clinical studies, this
12 is from the controlled clinical trials and there have been 6
13 deaths on the Gilead-sponsored clinical trials. Three
14 expired 6 months to 2 years post the discontinuation of
15 study drug, all from malignancies, and 3 others died while
16 on adefovir, 1 for suicide and 2 multi-organ failures with
17 numerous risk factors. One of the patients that I mentioned
18 earlier that had the IV radiocontrast induced toxicity. So,
19 there is no evidence per se in patients who do not have
20 evidence of acute renal failure that there is increased
21 potential for mortality.

22 DR. KIMMEL: But if you do a Kaplan-Meier on
23 patients who had an increase in creatinine versus those who
24 didn't -- I am not talking about needing dialysis -- or if
25 you do a Cox regression on those patients, have you done

1 that?

2 DR. JAFFE: Well, there were only 6 patients --

3 DR. KIMMEL: I understand --

4 DR. JAFFE: -- in over 1000 patients, and I
5 believe of these 6 only 2 had evidence of renal toxicity.
6 So, I think it would be very difficult to show that there
7 was, in fact, an impact of creatinine elevation.

8 DR. KIMMEL: I realize there was a small number of
9 events. The last question I wanted to ask is I thought the
10 data on the distribution of low bicarbonate levels and low
11 phosphate levels was a little bit confusing because I
12 couldn't really tease out how many of those patients were on
13 phosphate supplements and how many were on bicarbonate
14 supplements. It is very different to have a phosphorus of 2
15 if you are taking 60 nutrophos a day or a bicarbonate of 16
16 if you are taking 16 tablets a day. So, can you comment on
17 the burden of therapy in those patients, the proportion of
18 patients who were receiving supplementation?

19 DR. JAFFE: In the 408 study, since toxicity was
20 unexpected, we were not planning prospectively to have
21 phosphate administration so there is a very small number, I
22 believe about a dozen patients who got phosphate
23 supplements. In terms of bicarbonate supplementations, it
24 is even smaller than that. I believe it is 6 patients or
25 so.

1 DR. KIMMEL: And, that includes the data that we
2 have seen on resolution figures?

3 DR. JAFFE: That is correct.

4 DR. KIMMEL: Thank you very much.

5 DR. HAMMER: Mr. Schouten?

6 MR. SCHOUTEN: I have one quick question for the
7 FDA and then a little more detailed question for Gilead. In
8 Dr. Jolson's review that was prepared, on the bottom of page
9 4 it said there was no difference in HIV RNA values at week
10 24 in Gilead trial 408, but Gilead has shown us data that is
11 different than that for the 24-week HIV RNA data.

12 The other question is, I still would like to come
13 back to this question about almost comparing apples and
14 oranges by comparing 408 and 417. To get some sense of how
15 comparable these patient population were, and they don't
16 sound all that comparable, can you tell us how many people
17 were PI naive in 408, and do you have any resistance data in
18 417, like we saw the virology subset in 408, to get a sense
19 of how treatment experienced the 417 patients were who were
20 PI naive coming into that trial to make some sense of
21 comparing these two very different patient populations?

22 DR. HAMMER: Dr. Jolson, did you want to respond
23 or clarify that first point?

24 DR. JOLSON: Yes, I wonder if you could just
25 clarify your question, the first question that was addressed

1 to us?

2 MR. SCHOUTEN: In your review on 408, it was said
3 there was no statistically significant difference with
4 respect to proportion of patients with HIV RNAs less than
5 400 at 24 weeks, but we saw different data presented here
6 this morning.

7 DR. JOLSON: That is correct. I think what you
8 are referring to is that we did say there was a
9 statistically significant difference for the DAVG at 24
10 weeks but there were no differences for the proportion less
11 than 400.

12 DR. HAMMER: Is that a point of controversy or
13 consent? The proportion below 400 copies at 24 weeks.

14 DR. JAFFE: In study 408? That is true according
15 to the PCR technique. According to the bDNA technique,
16 which was used for screening patients into study and was
17 actually the assay that was to be used prospectively, there
18 was about 19 percent of patients at 24 weeks who were below
19 the cut-off of that Chiron assay and about 4 percent on
20 placebo, and that was statistically significant I believe at
21 0.002.

22 DR. RAMMER: Is that the version 2 assay?

23 DR. JAFFE: Yes, it is.

24 DR. RAMMER: Thank you.

25 [Slide]

1 DR. JAFFE: So, there was a bunch of questions,
2 but getting to the first one in terms of protease inhibitor
3 therapy, this will show the distribution of patients at
4 baseline on protease inhibitors, and one must be mindful
5 that this study began in 1996, shortly after indinavir and
6 ritonavir were approved. So, there were changes in the
7 background therapies for patients enrolling on this study,
8 whether they were there at the beginning of the study or at
9 the end. So, there was more protease inhibitor use in
10 patients who had enrolled late in the study. About 40
11 percent, 39 percent and 38 percent of the patients were on
12 protease inhibitors at the time of enrollment.

13 I think Dr. Toole mentioned this earlier, we
14 looked at the presence or absence of PI within a regimen at
15 baseline, and compared to placebo the differences were
16 statistically significant. If you were on a PI-containing
17 regimen and you had adefovir added to your regimen, the mean
18 change at week 24 was 0.33 compared to essentially no change
19 on placebo, and that had a p-value of 0.016. If you were
20 not on a PI at baseline and added adefovir or placebo, the
21 mean change at week 24 was minus 0.43 compared to
22 essentially no change in placebo patients, and that had a
23 highly statistically significant p-value of about 0.001.

24 Your question then led into how does this compare
25 with the 417 patients. I think the first thing to do is

1 refresh memory of the baseline genotypes from study 408.

2 [Slide]

3 This will show you in that particular study that
4 43 percent of patients had high-level AZT and 3TC resistance
5 at baseline. An additional 15 percent had high-level AZT
6 resistance in the absence of the 184. So, fully 58 percent
7 had high-level AZT resistance at baseline. Only 8 percent
8 of patients had no evidence of an RT mutation directed
9 against AZT or 3TC.

10 [Slide]

11 The point of this slide is to show you what the
12 background genotypes were in patients on 417. I think one
13 important point is to note that these are less treatment
14 experienced patients so one would fully expect, since the
15 level of high-level AZT resistance at baseline is only 9
16 percent, that the group at large would certainly have -- we
17 would have high expectations that they would respond to
18 adefovir therapy, and consistent with the notion that they
19 were less treatment experienced, 43 percent of the patients
20 had no AZT or 3TC mutations.

21 MR. SCHOUTEN: I guess that answered my question
22 and points out that the 364 nelfinavir comparison arm isn't
23 a very fair comparison because that is a very, very
24 different patient population.

25 DR. HAMMER: Dr. Verter?

1 DR. VERTER: I would just like to follow-up on
2 your comment. I think what you said is correct and it also
3 highlights the confusion I was having on my comment earlier.
4 In the FDA analysis that was presented, you are, indeed,
5 correct. The percent less than 400 was not significant.
6 However, in the analysis presented by the company today,
7 they used DAVG, which was somewhat of a different outcome,
8 and that highlights exactly the point I was making earlier.
9 There are many different ways of cutting this data and we
10 need to understand which ones, how well they correlated, and
11 what the implications are for each of them.

12 DR. HAMMER: Dr. Feinberg?

13 DR. FEINBERG: I wanted to follow up, Jeff, on
14 your comment and the slides we just saw about genotype at
15 baseline because in the company's presentation of the 408
16 virology substudy it was puzzling to me that adefovir had no
17 statistically significant different impact on mean change in
18 viral load from placebo in patients that had no NRTI
19 mutations at baseline, and in patients who had low-level AZT
20 resistance at baseline. I am trying to make that story fit
21 together. If I look at the slide set -- I don't have the
22 numbers.

23 [Slide]

24 Yes, that is the one. You know, in light of what
25 you just showed in terms of the question about baseline

1 genotypes, it is disturbing to me that in the patients who
2 are genotypically wild type there is no statistically
3 significant impact of adefovir versus placebo.

4 DR. BISCHOFBERGER: As you may recall, the largest
5 group of people, 71, belong to this group, number 6, and
6 really the other groups are so small in patient numbers that
7 that doesn't make a statistically meaningful comparison
8 possible. You have to remember that these 11 patients --
9 about half of them are on adefovir and half of them are on
10 placebo, and so you are really comparing 6 on one to 5 on
11 the other, and that makes a statistically significant p-
12 value not possible. That is the only reason.

13 DR. HAMMER: Thank you. I have a few questions
14 also but in the interest of quality of life I am going to
15 suggest that we break for lunch. We will come back and have
16 a few more minutes for questions. We will return in one
17 hour, at 2:10. Thank you.

18 [Whereupon, at 1:10 p.m., the proceedings were
19 recessed, to resume at 2:10 p.m.]

AFTERNOON PROCEEDINGS

1
2 DR. HAMMER: Before we start the next session, Dr.
3 Jolson has a point of clarification she would like to make.

4 DR. JOLSON: Dr. Hammer, I just wanted to clarify
5 some of the earlier discussion that we had about pediatric
6 use and the pediatric formulation, just so that the
7 committee can take this into account as they consider the
8 data.

9 I mentioned this to Dr. Yogev at lunch so even if
10 he is not sitting there, he has heard what the issue is.
11 The data that was presented was pharmacokinetic and safety
12 data in pediatrics. Then there was some discussion about
13 whether or not there was enough data to support dosing in
14 very young children. At that time, I made a comment to
15 point out that the pediatric formulation, which is being
16 considered under a different NDA, is not the subject of
17 today's discussion because, in fact, it was only recently
18 submitted as a different formulation.

19 However, in the committee's deliberation today,
20 remembering that we are considering a solid formulation of
21 adefovir, they can consider what ages it is appropriate for
22 including young children who are able to swallow a tablet
23 formulation and, therefore, can take into account and
24 consider the adequacy of both the safety and pharmacokinetic
25 data to support use in children who can swallow a tablet

1 formulation. I didn't mean to imply that we were only
2 considering use in adults. I was speaking more to
3 formulation, and I just wanted to clarify that so that the
4 committee can take that into consideration.

5 DR. HAMMER: Thank you. Do any of the committee
6 members have a question about that? If not, we are going to
7 take, hopefully, no more than 30 minutes for additional
8 points of clarification and questions from the committee to
9 the sponsor or the agency.

10 I didn't get a chance in the round to ask any
11 questions and I am going to just make a few targeted points
12 and ask for rather rapid responses from the sponsor, if
13 possible, that hopefully will help us in our deliberations
14 this afternoon.

15 The first question is about CD4 responses. We saw
16 the CD4 results for study 408 and I think one other study.
17 I think as part of a marker of response, it would be help
18 for the committee to get perhaps a better overview picture
19 of what CD4 responses are like with adefovir at the 60 mg
20 dose, the dose we are being asked to consider today. So,
21 are there additional data beyond the 408 study that the
22 sponsor would like to quickly present?

23 [Slide]

24 DR. TOOLE: Shown here are the mean change from
25 baseline with 95 percent confidence intervals from study 417

1 comparing the 2 dose levels from baseline out to week 20.
2 As shown here, they are very similar. At week 20 there is
3 an 86 cell increase at the 60 mg dose compared to a 76 cell
4 increase at the 120 mg dose.

5 DR. RAMMER: Thank you, but that again raises the
6 issue of the background therapy versus teasing out what
7 adefovir is doing. So, are there any data that help us
8 tease that out? For example, it may be in the packet, but
9 in the 420 1-month monotherapy study?

10 DR. TOOLE: In the 420 study we looked at DAVG.
11 For the active group it was plus 5 cells and for the placebo
12 it was minus 40 cells but that did not reach significance.

13 DR. HAMMER: Thank you. One other quick question
14 and, again, this relates to trying to tease out activity of
15 adefovir when used in other combinations, would you like to
16 comment on study 411 in the 4-drug arm versus the 3-drug
17 control arm? Specifically when adefovir is added to
18 indinavir, ZDV and 3TC there seemed to be no difference
19 compared to indinavir, ZDV and 3TC alone. Is that
20 impression correct, and would you please comment on that?

21 DR. TOOLE: That is correct.

22 [Slide]

23 That is true. Looking at the quad arm with the
24 addition of adefovir to the control arm showed no additional
25 benefit.

1 DR. HAMMER: And how do you interpret that?

2 DR. TOOLE: However, if one compares these two
3 arms, you could also interpret that as saying that the
4 addition of AZT to this arm also provided no significant
5 additional benefit, or the addition of 3TC to this arm also
6 provided no additional benefit.

7 DR. HAMMER: Yes, Dr. Yogev?

8 DR. YOGEV: Just in continuation to that, we just
9 completed a study in the ACTG using a protease inhibitor
10 with one NRTI versus two NRTIs and at week 24 we didn't find
11 any difference while a difference that is showing up at 36
12 to 48 weeks. So I think part of the way to look at this
13 drug -- maybe the time is too short to see if this drug is
14 adding to what is there.

15 DR. HAMMER: Thank you. A virologic question, one
16 issue that comes up in selection of resistance is that
17 adefovir may not be selecting for mutations identified in
18 the in vitro studies, but there is a continued evolution of
19 nucleoside mutations, both in adefovir and control arms. Is
20 there any evidence for increase or decrease, or is it
21 similar as far as other nucleoside analog mutations? I ask
22 this question because of the increasing amount of data that
23 certain nucleosides can engender resistance not to
24 themselves but to typical mutational patterns to other
25 nucleosides.

-- 1 DR. BISCHOFBERGER: We have seen in study 408 that
2 the resistance mutations that come up in the adefovir-
3 treated arm are mostly 67 and 70 and those, either alone or
4 together, do not impart any reduced sensitivity to adefovir.
5 That is why we call them AZT-associated mutations.

6 DR. HAMMER: And those are in arms that also do
7 not contain AZT?

8 DR. BISCHOFBERGER: Some of those arms do and some
9 of the arms contain D4T. So, there is a background of
10 nucleosides and it is not readily apparent where those
11 mutations come from. The best example is probably in 417 in
12 the double protease arm. At the 60 mg dose we had one 167
13 mutation come up, and that has to be due to adefovir. That
14 is one of the few arms where we had adefovir as the only
15 nucleoside.

16 DR. HAMMER: Then I would like to ask the sponsor
17 if you would like to comment on the FDA analysis of study
18 417, specifically regimen 3 and the saquinavir arm that
19 seemed to under-perform in the presence of adefovir at 120
20 mg being somewhat of an outlier in response, and whether
21 there is an interpretation to that. Is that a fluke or is
22 that more likely to be something real, again raising the
23 specter of a drug interaction? We heard from the FDA
24 presentation that at least by a statistical test the
25 probability was that that was not a fluke, but what is the

1 sponsor's response to that? I think it is important to hear
2 your views.

3 [Slide]

4 DR. JAFFE: First, we will start by just looking
5 once again at a slide you have already seen. This is to
6 better understand the plausibility of an interaction between
7 the 120 mg dose and saquinavir. I might also point out with
8 regard to pharmacokinetics, if you look at exposure for
9 patients receiving 60 mg and at patients receiving 120 mg,
10 because of inter-patient variability, there is actually
11 overlap.

12 Now, looking specifically at the idea that there
13 may be a dose-specific interaction between 120 mg and
14 saquinavir, we see no evidence to that effect. When we look
15 at the other saquinavir-containing arm, nelfinavir plus
16 saquinavir 60 mg, the intent-to-treat is 42 percent and 120
17 mg it is 44 percent.

18 Within protocol defined methods, we have tried to
19 deduce or understand the homogeneity of response and whether
20 or not this is a chance outcome, and I will ask Jim Esinhart
21 from Pharma Research, the contract research organization
22 involved with the performance of this study, to review those
23 data.

24 DR. ESINHART: Dr. Soon presented the results of
25 the logistic model. We defined, as part of the original

1 planning of the analysis, Braeslow-Day test and I am going
2 to try to present this without a slide.

3 The resulting p-value from the Braeslow-Day test,
4 which is analogous to the logistic regression, was 0.449.
5 So, it is basically equivalent to a flip of a coin. We
6 believe this p-value is large enough to exclude a clinically
7 meaningful interaction.

8 In addition, this test was repeated at week 12 and
9 the resulting p-value is 0.82, which supports the
10 conclusions that these data are consistent with no
11 interactions.

12 DR. HAMMER: And lack of interaction you are
13 defining here as?

14 DR. ESINHART: The lack of interaction?

15 DR. HAMMER: There are at least two potential
16 interactions here, dose and response, saquinavir-adeфовir
17 interaction. Which interaction are you excluding by this
18 analysis?

19 DR. ESINHART: This was an overall test looking at
20 overall interaction across the three groups, which is the
21 protease-containing groups as well as the other dose levels.

22 DR. HAMMER: Dr. Verter?

23 DR. VERTER: I would just be curious, in looking
24 at the tables, at the potential for looking at one or more
25 of the interactions, there are only 35 or so patients in

1 each cell, and there are what appear to be some observable
2 numeric differences, let's say because of the small numbers,
3 and they don't go in the same directions across the groups.
4 So, I am wondering if you did either any simulations or any
5 other studies to detect -- you know, what ability did you
6 have to detect an interaction, at what level?

7 DR. ESINHART: We did not do any further analyses.

8 DR. HAMMER: Thank you. I have one last question,
9 and it relates to the ongoing studies for traditional
10 approval because part of our charge today is to think about
11 those studies in relation to our decision-making and the
12 advice that we are asked to give. So, I would ask about the
13 status of the two studies for durability that are planned,
14 the target and where the enrollment stands, and when it
15 started. I would also ask, as a corollary question, in the
16 intensification study using adefovir, which has a high
17 incidence even at 60 mg of nephrotoxicity at the 6-month or
18 more mark, about the probability that patients will stay on
19 that regimen through the 48-week time point. So, I think it
20 is really where those studies stand for enrollment; when the
21 target enrollment will be finished; and the issue of
22 feasibility of actually getting the answer that you desire.

23 DR. JAFFE: With regard to study 415, that is a
24 study that began, and was actually finalized with FDA input,
25 in May of this year. We began enrollment at the end of May.

1 The target sample size is 390 patients, which will be
2 enrolled at approximately 60 study sites around the world,
3 with about half of the study site ex-U.S. We have currently
4 enrolled approximately 100 patients on that study.

5 Study 458 -- we have only recently finalized that
6 protocol with some input with FDA, and that protocol, which
7 is being performed at a similar number of sites, about 50
8 with two-thirds of those sites ex-U.S., has just begun
9 enrolling and randomized its first patient last week.

10 Now getting back to your question about the
11 feasibility of looking at 60 mg long-term in study 415, when
12 we have conducted placebo-controlled trials where patients
13 do not have knowledge as to whether they are on active or
14 placebo as opposed to dose-blinded studies, we have not had
15 difficulty in keeping patients on drug for a long period of
16 time. In particular, I would once again point to 039 where
17 38 percent of patients came off after about a year on active
18 versus 32 percent on placebo.

19 DR. HAMMER: Thank you. I will now open this up
20 for any last questions from the committee members on a
21 random basis. Dr. Bertino?

22 DR. BERTINO: Yes, a kinetics question and a
23 patient management question. It was disappointing not to
24 see any data on sex or ethnic differences. I think it said
25 not enough to draw conclusions. Given that one of the

1 nephrotoxicity risk factors that appeared to be protective
2 was non-Caucasian, are you planning on doing any **male/female**
3 studies and looking at ethnic differences
4 pharmacokinetically?

5 DR. CUNDY: Yes.

6 [Slide]

7 We have actually looked at the effect of gender in
8 our larger studies in the healthy, normal volunteers, and
9 this was in 81 patients, 46 females **and** 35 males. All of
10 these subjects were studied with a single dose of adefovir
11 dipivoxil at 60 mg, and we used the FDA-recommended
12 comparison, the 1-sided T-test, and under these conditions
13 the PK of adefovir dipivoxil was equivalent in males and
14 females.

15 I could actually address the second question,
16 which I believe was effect on race. If I could have slide
17 1114?

18 [Slide]

19 Most of our data from HIV-infected patients, more
20 than 70 patients -- approximately 75 percent of that data
21 was generated in African Americans. From our healthy
22 volunteer data we have approximately 72 Caucasians, 3 native
23 Americans, 3 Asians, 1 African American and 2 Hispanics and
24 across this entire database there doesn't appear to be any
25 effect of race on the pharmacokinetics of adefovir

1 dipivoxil.

2 DR. BERTINO: Thanks. Can I ask a question on a
3 patient management issue?

4 DR. HAMMER: Sure.

5 DR. BERTINO: Looking at the flow-diagram in the
6 briefing book, the question I have is what happens if a
7 patient doesn't show up for his laboratory monitoring on a
8 monthly basis? Are they not dispensed the drug?

9 DR. JAFFE: The language within the package
10 insert, and it will certainly be emphasized within all of
11 the educational materials associated with this product, is
12 that you need to have monthly monitoring. In fact, we are
13 going to take the step of having preprinted prescription
14 pads that limit refills to only be provided if you have
15 monthly laboratory monitoring.

16 DR. BERTINO: So, the answer is you don't get a
17 refill if you don't have your monthly monitoring?

18 DR. JAFFE: That is the intention, yes.

19 DR. BERTINO: Okay. I see kind of a rock and a
20 lard place issue here.

21 DR. HAMMER: Although I think it should be stated
22 that if a physician doesn't use that prescription that is
23 pre-prepared anything can be written. Dr. Feinberg?

24 DR. FEINBERG: I have a couple of questions here.
25 One is a follow-up of what Joe Bertino just asked, but I

1 want to ask it not from a pharmacokinetic standpoint but
2 from concerns about long-term toxicity. I actually thought
3 it was striking that non-Caucasian race was protective. At
4 best, less than 20 percent of all the people in the studies,
5 including expanded access, appear to be African American in
6 terms of race and ethnicity. Intuitively, since there is a
7 greater risk of HIV nephropathy and possibly a higher
8 incidence of just hypertension and other things that aren't
9 good for your kidneys in that population, one of the crucial
10 things that needs to be done for this drug is to have long-
11 term clinical follow-up of people. I am curious as to why
12 everybody was lumped together as non-Caucasian for that
13 analysis. I would have certainly thought that looking at
14 the African American patients separately would have been
15 important, and it may be like some of the other questions I
16 raised before, that the N here is too small to generate an
17 answer. But when we met last summer, you know, a lot of our
18 stated concerns were that we have adequate duration of
19 follow-up of the nephrotoxicity both in general and then in
20 specific populations.

21 DR. JAFFE: With regard to the multivariate
22 analysis, non-Caucasians incorporated both Hispanics and
23 African Americans. However, if you look at African
24 Americans by themselves there is, according to the Cox
25 regression model, a statistically significant reduction in

1 risk.

2 If we look at study 408 and focus in on African
3 Americans, the incidence of renal toxicity, as defined by
4 the creatinine elevation, was 23 percent compared to 41
5 percent in Caucasians.

6 DR. HAMMER: Dr. Hamilton?

7 DR. HAMILTON: Looking at that from the other side
8 of the coin, is there any reason to think that this drug has
9 any special benefits for those with HIV nephropathy?

10 DR. JAFFE: Well, it very well may based on
11 accumulated knowledge on HIV nephropathy and active
12 replication in sensitive cells. However, we do not have
13 specific knowledge of how the drug may perform in that
14 patient population, although we do have plans at some New
15 York City sites to actually answer that question in a formal
16 randomized study in the future.

17 DR. HAMMER: Mr. Schouten?

18 MR. SCHOUTEN: Yes, a quick comment on the no lab,
19 no drug. You know, for my antiretrovirals I save a
20 significant amount of money if I mail order for 90-day
21 supplies. So, one-month limitations would cost me a
22 significant amount of money in added co-pays.

23 Two other things, in following up on the
24 resistance question I asked earlier, because I think your
25 proposed indication is targeting people who have failed

1 reverse transcriptase inhibitors in the past and your data
2 set in 408 was too small to address the wild type group but
3 in 417 you have a much larger group of wild type. Have you
4 looked at a subset analysis of response in the two dose arms
5 based on background resistance in your 417 database?

6 DR. JAFFE: Yes, we have and consistent with the
7 overall result of the study, there really is no difference
8 between the two different dose groups with regard to
9 background genotypic mutation at baseline.

10 DR. HAMMER: Dr. Lipsky?

11 DR. LIPSKY: I have a question for the agency. In
12 the presentation there was a slide on study issues in 417,
13 and the very first item was "not intended as a
14 registrational trial," and then a group of agency concerns.
15 Was that at the beginning when this study was proposed? Can
16 you put that in some sort of context? Because when we left
17 here a year ago, August, there was talk about an induction
18 phase at 120, lowering the dose to 60. It was unclear where
19 we were going. From the agency's perspective, what was the
20 evolution of your concerns of 417 and what happened?

21 DR. STRUBLE: I think that study 417 was submitted
22 like a Phase II trial where they were assessing 60 mg versus
23 120 mg. All along, the sponsor was evaluating the 120 mg
24 for registration. One the toxicity in 408 became a concern,
25 then that study which was never intended to serve as a

1 registrational trial gained much greater importance because
2 there had been a dose reduction. So, that is what we were
3 faced with, with a trial that had already started to enroll.
4 The intention was never to be a registrational trial, and
5 then subsequently the focus shifted for the 60 mg.

6 At the time of the closed session last year we had
7 talked about other strategies -- you know, 120 mg for 16-
8 week induction followed by a dose reduction to 60 mg, and
9 after further consideration it was decided that the 60 mg up
10 front would be studied all along.

11 DR. LIPSKY: And when were the concerns about
12 powering the study, confounding issues of the multiple
13 therapies -- I am a little confused. This was ongoing or
14 did this -- I mean, you raised these issues and nothing
15 happened, or what?

16 DR. STRUBLE: Well, we raised the issues that, now
17 since the 60 mg was going to be the dose that they were
18 choosing to market, we had issues with the 417 trial: the
19 complex treatment regimens, the 20-week time point. We had
20 given advice about how to increase the sample size and maybe
21 pick other comparisons, and this is what we were left with
22 at the end of the day.

23 DR. LIPSKY: I see, and was there ever
24 consideration of having a placebo group?

25 DR. STRUBLE: No, not at the time.

1 DR. RAMMER: Dr. Yogev?

2 DR. YOGEV: Can the company explain to me, in
3 study 408 versus 417, the percentage of patients in the 120
4 mg group who had onset of serum creatinine increase at week
5 28 is 20 percent versus 40 percent, and for the phosphatase
6 it is almost zero versus close to 40 percent. Why is there
7 a discrepancy?

8 DR. JAFFE: Sorry, your specific question is
9 looking at the Kaplan-Meier plots?

10 DR. YOGEV: Correct.

11 DR. JAFFE: So, why there is a small increase in
12 patients who had creatinine elevations in 417 earlier on
13 than in study 408?

14 DR. YOGEV: If you take week 28, does that suggest
15 the populations are so different? In one study you have 40
16 percent and in the other one you have almost zero for the
17 phosphatase toxicity. Is that part of a different
18 population and we need to pay attention when we predict when
19 toxicity can come out?

20 DR. JAFFE: We do not have an explanation for
21 that.

22 DR. KOPP: I noticed that in your proposed
23 treatment guidelines or toxicity management guidelines that
24 if patients have a creatinine elevation of 0.3 to 0.4 they
25 be dose reduced from 60 to 30. I know earlier you said you

1 had 42 patients in 411 and 417 treated with that dose of 30.
2 Can you comment about what happens to toxicity? My concern
3 here is that if we know we have a patient with significant
4 renal toxicity, what happens from continuing to give a
5 nephrotoxin, albeit at a lower dose?

6 DR. JAFFE: The best data that deal with the issue
7 of continuing drug exposure in the setting of toxicity
8 actually come from study 408 where we have longer-term
9 follow-up. In the patients who developed creatinine
10 elevations, 70 percent had their resolution when they came
11 off study drug. However, about 30 percent of the patients
12 actually went down to 60 mg and had resolution of their
13 creatinine toxicity, and a full about 5 percent of patients
14 actually stayed on full dose, 120 mg, and had resolution.
15 So, in terms of going from 60 to 30, we would expect that
16 something similar would occur. However, we are being very,
17 very cautious in terms of the management guidelines and we
18 are recommending that patients came off the drug if they
19 have a further increase. The patients that Dr. Toole showed
20 with the mean change, were patients were continued on 30 mg
21 for about 4 or 5 months and we expect to have more data in
22 the not too distant future without continuous increase in
23 creatinine.

24 DR. KIMMEL: I have another question about the
25 dosing guidelines, which refers to stopping treatment and

1 then restarting treatment over what seems to me a variable
2 period of time, depending on the resolution. Are you
3 concerned about resistance in those patients?

4 DR. JAFFE: We have not seen anything to suggest
5 that we would develop resistance. In those patients that we
6 have dosed at very low doses for short periods of time we
7 have not seen anything to suggest that resistance will
8 develop. However, as part of the Phase IV program we
9 certainly will be following patients closely to see if that,
10 in fact, occurs.

11 DR. RAMMER: Dr. Mathews and then Dr. Feinberg and
12 then Dr. El-Sadr.

13 DR. MATHEWS: In a number of your trials you
14 monitored serum carnitine levels, and the proposed
15 supplementation -- I didn't see anything calling for
16 monitoring of carnitine levels. In your background you said
17 using 500 mg of carnitine maintained the level in the normal
18 range in the vast majority of patients but not all, and I
19 know some patients have been supplemented with more than
20 500. so, what is the actual data on carnitine depletion and
21 the adequacy of the 500 mg dose?

22 [Slide]

23 DR. JAFFE: What we have here are longitudinal
24 data from study 417, the dose comparison study. You are
25 looking at mean free carnitine concentrations in the serum.

1 At the 120 mg dose with supplementation of 500 mg of
2 carnitine, you can see that there is a decrease to a nadir
3 of about 75 percent, 80 percent which, by week 48, is now
4 about 80 percent. For 60 mg, a similar pattern but by week
5 48 it is about 95 percent of baseline. We rarely see
6 patients who have gone below the lower limit of normal with
7 patients on 60 or even 120, and when that does happen we
8 believe it is because of an effect on the renal tubular
9 cells, not being able to reabsorb filtered carnitine.

10 In terms of what this means clinically, there are
11 many examples of other drugs that are administered
12 chronically, and perhaps the best studied one, although the
13 mechanism may be somewhat different but, nonetheless, it
14 leads to decreases in serum carnitine to about 40-50 percent
15 of baseline levels, is the anti-epileptic drug valproic acid
16 which is used chronically, and I believe it is the most
17 widely prescribed anti-seizure medication in kids, and
18 supplementation with carnitine is not used in that patient
19 population because there is no evidence that there are any
20 symptoms or clinical sequelae related to carnitine
21 deficiency. That, in fact, is the case in our clinical
22 studies as well. We have not seen anything to suggest that
23 decreased levels of carnitine have any clinical sequelae.

24 DR. MATHEWS: This may be a different situation.
25 For example, zidovudine myopathy has been associated with

1 carnitine depletion, and it is going to be used
2 concomitantly with adefovir. So, I am not so comfortable
3 without monitoring in some way carnitine depletion.

4 DR. JAFFE: Well, we understand your concern.
5 This drug has been dosed in combination with AZT in, I am
6 sure, thousands of patients without any evidence that there
7 is an increased incidence of myopathy or neuropathy.
8 Perhaps one of our consultants, Dr. Charles Stanely, could
9 come up and comment on the significance of the types of
10 levels of free serum carnitine that we are seeing.

11 Dr. Stanely, by way of introduction, is in the
12 Department of Pediatric Endocrinology at the Children's
13 Hospital of Philadelphia, and I think it is fair to say that
14 Charlie has spent a good deal of his professional career
15 looking at primary and secondary deficiency states for
16 carnitine.

17 DR. STANLEY: Maybe the simplest way to talk about
18 the issue of carnitine depletion is the one clinical
19 situation we know of where carnitine depletion causes
20 clinical symptoms is in the genetic defect of the carnitine
21 transporter. Those children have muscle carnitine levels
22 and serum carnitine levels that are reduced about one or two
23 percent of normal, and treatment with carnitine that gets
24 their muscle levels back to five percent of normal
25 eliminates their clinical symptoms. So, a small reduction

1 in carnitine levels that have been seen with this drug are
2 not very significant.

3 In terms of the myopathy with AZT, that seems to
4 be a mitochondrial DNA depletion problem, and **a secondary**
5 carnitine deficiency associated with blocks in mitochondrial
6 metabolism is a quite frequent occurrence. But carnitine
7 deficiency in that situation is a consequence of a metabolic
8 block rather than a cause.

9 DR. HAMMER: Dr. Feinberg?

10 DR. FEINBERG: I have I guess an editorial comment
11 and then **a** question. My editorial comment is that with
12 regard to the resistance profile for this drug, I guess all
13 I want to say is **that** it seems to me a bit sanguine to say
14 that resistance development isn't very much anticipated.
15 The likelihood that it may require multiple mutations to
16 create solid resistance to this drug is quite real. The
17 K70E only gives you 3- to 9-fold reduced susceptibility. I
18 remember that it wasn't until the second year of AZT
19 monotherapy that we saw the clinical impact of resistance
20 development, and not very many people have taken this drug
21 for **an** extended period of time. So, I am not so sure we are
22 there yet.

23 My question to the sponsor is there are three
24 trials in experienced patients, one that has statistically
25 significant favorable outcome and two that do not. The two

1 that do not were the federally funded trials, the ACTG and
2 CPCRA studies. In our briefing documents, apparently the
3 FDA had only the executive summaries for those, and so I
4 would like to understand why the agency didn't have access
5 to those data sets, if someone could explain that, please?

6 DR. MURRAY: I think that the 359 results were
7 still quite preliminary at the time when the NDA was
8 submitted. I don't know if I have an answer for the CPCRA
9 039 study. Gilead did do some of their own analyses and so,
10 you know, I guess it was probably a little bit more than
11 just an executive summary. We did have a little bit less
12 for 359. You know, by the regulations though if there are
13 studies that are out there that are relevant or, you know,
14 could even cast doubt or speak to efficacy or safety, they
15 need to at least be mentioned with the caveat that we are
16 not able to, you know, review all data. Sometimes, you
17 know, these studies get finished at kind of inopportune
18 times, and I think that that was at least part of the
19 problem.

20 DR. HAMMER: But there were some FDA analyses of
21 039 for looking at some of the baseline covariates for RNA
22 and other things --

23 DR. MURRAY: Exactly, yes.

24 DR. HAMMER: -- and those were presented this
25 morning. So, you did have access to some data sets to allow

1 you to make those analyses.

2 DR. MURRAY: Right, right.

3 DR. HAMMER: Dr. El-Sadr, do you have a question?

4 DR. EL-SADR: Actually, I have a couple of
5 questions. I guess when you were doing 408, at that point
6 we really didn't know about the nephrotoxicity yet and the
7 patients were not getting the monthly monitoring. In that
8 study, in 408, about 40 percent of the patients, by week 48,
9 developed the serum creatinine increase. Now, in
10 contradistinction, I think in 417, where you were doing the
11 monthly monitoring for the serum creatinine, exactly the
12 same percentage of patients developed the elevations in
13 creatinine by week 48 also.

14 So, are you saying that if we monitor these
15 patients carefully every month that we are going to somehow
16 prevent this from happening? Because it seems to me that it
17 didn't make a difference in the percentage of people who
18 actually had the exact same abnormality.

19 DR. JAFFE: One, I think you decrease the
20 incidence when you go from 120 mg to 60 mg. So that is one
21 important point. But in terms of the management strategies
22 and the following of patients with monthly laboratory
23 monitoring, the important point there is that we limit the
24 potential of increasing the renal toxicity so that patients
25 would either dose reduce or come off drug.

1 I think an analysis that the FDA has done, one
2 that we have both done and I think agree with the results,
3 is that in study 408 70 percent of patients would have had
4 an antecedent smaller increase in their creatinine that
5 would have led to a dose reduction ahead of a potential
6 doubling of their creatinine. If we had known about the
7 utility of the monthly monitoring, many patients would have
8 had less of an increase in their creatinine. And, we expect
9 that the same would apply at least as much in the 60 mg
10 dose. That is why when you look at the graded toxicity in
11 417 you see that there are no patients who have gone above
12 creatinine 2.0 mg/dL or grade 3 toxicity on the 60 mg arm.

13 DR. EL-SADR: You had no difference in the
14 proportion of people. Another question I have, and maybe I
15 am confused about this, this morning one of the very early
16 slides that Dr. Jolson showed was about the requirements for
17 accelerated approval. They indicated two studies with at
18 least 24 weeks of data. So, which studies are we
19 considering? One is 408 and the other one is for
20 accelerated approval? Because the other study, 417, only
21 has 20-week follow-up, not 24. Right?

22 DR. JAFFE: I mean, we would have to ask the FDA
23 to comment as well. I think they showed a draft guidance
24 for industry slide. However, at the time the program began,
25 and we made reference to this earlier in the day, at our end

1 of Phase II meeting, in April of 1996, we discussed one
2 study, study 408. As correctly pointed out by Dr. Struble,
3 at that time we didn't even discuss studies 411 or 417.
4 They were intended principally when they first began as
5 studies that would help round out the safety database, and
6 took on more importance as we became aware of the dose-
7 limiting nephrotoxicity at 120 mg.

8 DR. EL-SADR: Which are the two major studies for
9 accelerate approval that are being proposed?

10 DR. JAFFE: Well, I think we would have to say 408
11 and 417.

12 DR. HAMMER: Dr. Pomerantz, and this will be the
13 last question before we move on.

14 DR. POMERANTZ: I agree.

15 [Laughter]

16 I forgot one from this morning though, sorry. I
17 was interested in the things to come in the future and the
18 "intensification" study that was labeled 415 in which ADV is
19 going to be added to some regimen. Now, I would be
20 interested to know, this is a single addition of ADV to
21 intensify people who are failing therapy, who have gone to
22 400 but not 50, and do you really think a 0.3 as a single
23 drug is going to be a good intensification step?

24 DR. JAFFE: It is intended for patients with viral
25 load at baseline of between 50 and 400 copies, and the idea

1 is to add on 60 mg or placebo, and the primary endpoint is
2 not driving patients below 50 copies but time to virologic
3 failure. So, the hypothesis is that patients would stay
4 below 400 for a longer period of time with the added benefit
5 of adefovir therapy.

6 DR. POMERANTZ: So, you look at below 50 as a
7 secondary endpoint?

8 DR. JAFFE: Yes, that is correct.

9 DR. POMERANTZ: Thanks.

10 DR. RAMMER: Thank you. Dr. Jolson?

11 DR. JOLSON: Maybe I could just get back to Dr.
12 El-Sadr's comment about what are the two studies. There
13 certainly are more than two studies in this application.
14 Probably when we say two studies here, we would consider one
15 of the two studies to initially support the safety and
16 efficacy of 120 mg, and that would probably be the 408 study
17 and the 411 study, even though 411 is in a population that
18 they are not seeking an indication for. Then 417 would be
19 looked at as trying to make the bridge between 120 mg and 60
20 mg. The exact length of the duration is probably more
21 relevant to consider in terms of safety considerations for
22 the drug than necessarily conforming exactly to what the
23 guidance document says. That is a general recommendation.
24 So, the duration should be really whatever duration you all
25 have considered to be the minimum necessary to characterize

1 the safety and activity of the 60 mg dose. So, hopefully,
2 that clarifies how we would look at the application.

3 The other studies that we talked about, the CPCRA
4 study and the ACTG 359, would then be looked at additionally
5 as studies that would have to be taken into account in the
6 entire equation as you evaluate the package.

7 DR. EL-SADR: So, from your perspective, from the
8 agency, you do not require two studies with 60 mg since that
9 is the dose we are being asked to approve.

10 DR. JOLSON: No, if you will recall the slide that
11 I showed this morning, what we would usually require is a
12 bridging strategy and that would be whenever there is a
13 significant change, in this case dose, or if there were a
14 change in regimen or a substantial change in formulation
15 such that the pharmacokinetics are different. Usually, once
16 the initial safety and efficacy is established, we then
17 require usually a single study to make that bridge such that
18 you can basically say that there is a connection between
19 what is known about the initial product and what is the
20 known about the proposed product, the to be marketed
21 product. In most cases, it is usually a single study. That
22 is why that 417 study becomes really critical in your
23 discussion in terms of whether or not that study makes an
24 adequate link.

25 DR. RAMMER: Thank you. We need to move on now to

1 the open public hearing. There is a substantial number of
2 people who have signed up in advance. There are a couple of
3 preliminary comments. One, if you have not signed up in
4 advance but wish to make a comment you will be permitted to
5 at the end of the list of individuals who have signed up.
6 Because of the numbers who have signed up, I would ask
7 people to please try to limit your comments to three minutes
8 in fairness to everyone else and so that we can move through
9 the afternoon. Also, when you come to the microphone please
10 identify yourself and the organization you represent.
11 Please also disclose any financial interest in the product
12 at hand today, and also any travel support to this meeting.
13 If you have specifically no financial interest to report,
14 please so state for the record.

15 The first individual who has signed up on our list
16 is Dr. Sandra Burchett, from Harvard Medical School and
17 Children's Hospital.

18 **Open Public Hearing**

19 DR. BURCHETT: Hi. I did have travel support to
20 come today. I am at Children's Hospital in Boston, and
21 there I am clinical director of a program that follows about
22 130 HIV-infected children. We have had opportunity, because
23 most of the children who are infected have perinatal
24 infection and are, therefore, infected for a long period of
25 time and are long-time treated patients, to look at children

1 with advanced disease who have undergone multiple levels of
2 therapy.

3 We have had 10 subjects enrolled to the expanded
4 access protocol with adefovir, and of those, 7 children have
5 received adefovir longer than 4 months in my clinic. They
6 range in age between 9 and 15, and the duration of therapy
7 is between 16 weeks and 40 weeks. Of those subjects, if
8 they started out with greater than 200 CD4 cells, they had a
9 substantial increase in their CD4 count. One example is
10 rising from 800 to 1200, with a fall in viral load from
11 500,000 copies/ml by the Amplicor assay to less than 40
12 within 1 month. That child maintains his non-detectable
13 viral load and is doing well on therapy.

14 There are two children in that group. There are
15 five children in the other group that began with fewer than
16 200 CD4 cells, and in that regard all children had an
17 increase in their CD4 count. Another example would be
18 something like 75 to 300, with a fall in their viral load.
19 Examples include 750,000 down to 200,000, or down to 5000.

20 These children also received at the same time
21 additional agents that are included, as many as 8 drugs or
22 at least 4 drugs were also given, and all children received
23 at least 2 new agents in their combination.

24 The subjects in this group then have done well on
25 adefovir combination therapy, except for one child who did

1 develop Fanconi renal syndrome at about 20 weeks on therapy.
2 The children and the nurses in our clinic like this agent
3 because it is once a day, because it is also available in
4 liquid formulation that is also palatable. If you have
5 tasted it, it doesn't taste so terrible. And, the kids are
6 in school so that this is helpful for them, to be able to
7 take something that is once a day and they can take at
8 bedtime. Thank you.

9 DR. HAMMER: Thank you. The next speaker is Dr.
10 James Jones.

11 DR. JONES: Good afternoon. My name is Dr. James
12 Jones and I did have travel support to get here this
13 afternoon. I am in private practice, mostly HIV medicine,
14 The majority of my practice has been HIV for the past ten
15 years. I am Board certified in internal medicine. I am
16 associated attending in medicine at St. Luke's Roosevelt
17 Hospital Center, in New York, assistant clinical professor
18 of medicine at Columbia University College of Physicians and
19 Surgeons.

20 To date, I have had 24 patients enrolled in the
21 adefovir expanded access program. The majority of these
22 patients were going on their third regimen and, besides
23 being protease inhibitor experienced, were heavily
24 nucleoside reverse transcriptase inhibitor experienced. out
25 of these 24 patients, 16 remain on drug at this date, with a

1 range of 4-18 months on adefovir. Four patients have
2 discontinued adefovir secondary to nephrotoxicity. The
3 earliest incidence of nephrotoxicity occurred at 8 months.
4 All of these 4 patients resolved their renal insufficiency
5 with discontinuation of drug. One out of 24 patients
6 discontinued secondary to non-compliance with his
7 antiretroviral regimen, and 3 patients out of 24 expired
8 secondary to complications of HIV, 2 cases of PML and 1 case
9 of fulminant hepatic failure due to hepatitis B.

10 In these patients there were very few early
11 adverse events, mostly mild and nausea which resolved
12 without a change in therapy. While in the setting of a
13 salvage regimen where numerous agents were changed in an
14 attempt to improve the outcome it is impossible to judge the
15 efficacy of one agent, I can say that in the 4 patients who
16 stopped their adefovir due to nephrotoxicity, adefovir was
17 the only agent that was stopped and there was a rebound in
18 viral load in those patients.

19 My feeling is, with my experience with adefovir,
20 that this agent offers a significant option for salvage
21 therapy with convenient dosing and very few early side
22 effects, and I feel that the nephrotoxicity observed with
23 this agent can be easily managed with proper and timely
24 monitoring. Thank you.

25 DR. HAMMER: Thank you very much. The next

1 speaker -- my apologies in advance if I mispronounce the
2 name -- is Dr. Paul Cimoch.

3 DR. CIMOCH: Mr. Chairman, advisory members, as a
4 matter of background, I did receive travel support to come
5 to this meeting. I am Medical Director of the Center for
6 Special Immunology where I do primary care and clinical
7 research exclusively for patients with immune disorders.
8 After University of Miami in the early '80s, I have managed
9 and evaluated well over 1500 patients with this disease. I
10 am also a clinical assistant professor of medicine at USC,
11 and Board certified in internal medicine.

12 Like many HIV specialists, over the past two
13 decades I have witnessed patients struggling from one small
14 HIV scientific advance to another. In recent years though,
15 through the use of HART cocktails, we have seen patients
16 literally go from bed-bound to back to work. Yet, despite
17 these advances too many patients continue to fail our
18 currently antiretroviral treatments and many patients are
19 exhausting the regimens.

20 In this context, I was delighted to be part of the
21 adefovir expanded access program. Please note that all of
22 my patients enrolled in this program are highly treatment
23 experienced, on the average treated with at least five prior
24 antiretroviral agents and usually on their third or fourth
25 antiretroviral regimen. Sixty-two patients were enrolled