

1 cells, if they are expressing a lot of virus, it can be
2 worse. The way I see it is if you are using a whole organ,
3 you have a whole diversity of cell types which each could
4 express a different type of virus. They have a different
5 potential for long-term implantation, for migrating to other
6 sites.

7 So I think there really is a difference and it may
8 come to whole organs, whether they are ex vivo perfusions
9 versus implantations. So, in some sense, it is like a seat
10 factor. When I was here last year, and we were just talking
11 about Parkinson's patients and putting cells into the brain,
12 I am on the back of my seat.

13 When we start talking about ex vivo perfusion, I
14 am starting to get to the front of my seat. And then when
15 we start talking about transplantation of whole organs into
16 patients, I am really at the edge of my seat. That is how I
17 look at risk in this particular case.

18 But you are right. It is really difficult to sort
19 that out.

20 DR. SIEGEL: Dr. Auchincloss, you put the question
21 about nonmammalian or nonvertebrates to the committee.
22 Several times you talked about, Dr. Allan, the level of
23 risk. But part of the issue here is the type of risk. Some
24 of the procedures that are recommended in this guideline are
25 recommended for particular risks because of particular

1 risks.

2 So the notion, for example, of lifelong
3 surveillance of a patient or of notifying sexual contacts or
4 blood deferral may come from specific risks. Lifelong
5 surveillance, for example, arose in significant part because
6 of concern about retroviral and herpes viral chronic risks.
7 Or the notion of understanding what several generations of
8 the feed history of the donor animal arose from concerns
9 about TSE-type risks.

10 So the question, to kind of rephrase your
11 question, one might ask, if one used a nonmammalian source,
12 do we know enough about, say, insect cell lines that we are
13 not concerned about latent infections, that we don't need to
14 do lifelong monitoring of somebody, or we don't need to do
15 maybe blood-deferral monitoring of somebody who had an ex
16 vivo exposure to insect lines.

17 I am not answering that question. I am just kind
18 of reposing your question because, frankly, I don't even
19 know what the answer is.

20 DR. AUCHINCLOSS: The more specific you are, the
21 more chance I have of getting some responses from the
22 committee. I haven't heard very many.

23 DR. ONIONS: Could I comment? I think this is a
24 very real issue. I will modify my position to say
25 nonvertebrates because, clearly, thinking about it,

1 obviously chickens carry a particular subgroup of
2 retrovirus, an exogenous one, that can infect mammalian
3 cells, for instance. They carry other viruses that affect
4 mammalian cells. So do fish, for that matter.

5 So if we go to nonvertebrate cells, then I assume
6 that everyone there will be using cell lines. Is that the
7 case?

8 DR. SIEGEL: So far, I don't know of anyone using
9 fresh insect organs.

10 DR. ONIONS: In my view, that comes back to the
11 fact that you can characterize the cell lines so I would
12 have a great deal of comfort about that in comparison to
13 other aspects of xenotransplantation.

14 So it seems to me that if you have got a cell line
15 of insect origin, then clearly, to me, lifelong monitoring
16 and all these other issues are not real, provided the
17 appropriate monitoring to exclude all the alpha virus and
18 flavivirus and so on that can replicate in insect cells have
19 been excluded.

20 But that is a standard kind of job that CBER would
21 do and do very thoroughly. So I don't see there is a
22 necessity there to go into this prolonged patient monitoring
23 and advice to contacts and all the rest of it. That is more
24 like a traditional biological product, in my mind.

25 DR. AUCHINCLOSS: So a specific recommendation

1 might be drop nonvertebrate cell lines from your screen.

2 DR. ONIONS: Yes.

3 DR. AUCHINCLOSS: I tried to state it again rather
4 than look for reactions around the table.

5 DR. MICHAELS: As long as they are well
6 characterized.

7 DR. SIEGEL: Again, the testing of the line,
8 itself, is one type of control. We have many controls. Are
9 we going to bank serum on the nurses who take care of the
10 patients who receive lymphocytes that were exposed to
11 drosophila cell lines ex vivo?

12 DR. COFFIN: I should point out that many of us
13 have, or virtually all of us have, been exposed to certain
14 kinds of co-culture and xenotransplantation with
15 invertebrates with some frequency, actually.

16 DR. SIEGEL: Insects bite.

17 DR. COFFIN: Insects do bite and they take in and
18 extrude blood.

19 DR. HIRSCH: I was just thinking, do therapeutic
20 maggots for wounds and leeches fall into the category of
21 xenotransplant?

22 DR. VANDERPOOL: It seems to me that one of the
23 problems is you are asking us to opt for categories. For
24 every category like cells, there is someone here who can
25 think of 40 reasons why they are especially dangerous. But

1 I take Jonathan Allen's comments very seriously and that is
2 if you get a whole organ, it is going to be more dangerous
3 than the liver perfusion experiments we have had presented
4 to us today.

5 So why couldn't you, instead of looking for
6 categories, think of a wonderful English word like
7 "ordinarily," and put that in your guidelines. "Ordinarily,
8 people will be followed for life, be autopsied at death," or
9 whatever which gives you the possibility of making--I mean,
10 the FDA is not known to be the liberal Marxist organization
11 in the United States.

12 We know you are conservative in terms of
13 protection. So it seems to me that you could use some kind
14 of language that allows you to have discretion over the
15 things you deem to be safe and do not have to be followed as
16 thoroughly as others.

17 DR. SIEGEL: We have that language. It is
18 inherent in the word "guideline." These are guidelines.
19 They are not rules. They are not regulations. And they are
20 not laws. What we are seeking here and what we are
21 receiving here is the guidance we need to determine--what we
22 must do when we have a guideline is, nonetheless, look at
23 individual applications and see, either they did everything
24 according to the guideline or they didn't but they may or
25 may not still be acceptable from a safety perspective

1 balanced against benefit and so forth, based on the fact
2 that they have alternative approaches that are equally good.

3 And that is always possible with a guideline.

4 But, in order to do that well on a case-by-case basis, we
5 need--the feeling is that the fact that it is fewer cells or
6 ex vivo or a cell line or an insect, how do those weigh into
7 that? I think we are getting some useful guidance in that.
8 Obviously, each case has to be looked at on its own merit.

9 DR. AUCHINCLOSS: As I went through your list
10 here. Immunosuppression; no, it is not a factor. Time of
11 exposure; no. Barrier encapsulation; no. Dose of implant;
12 no. Cell line and maybe species source and behavioral
13 factors as we talked about. Those are the only places that
14 I could find any reason to think that you could categorize
15 risk sufficiently differently to warrant changes in public
16 policy.

17 DR. SIEGEL: Is that a reasonable sense of other
18 committee members as well?

19 DR. ONIONS: Yes, except I would, perhaps, just
20 like to--I concur with all of that except that I do feel
21 that there is this possibility with primate cells where they
22 can be characterized before they go in that you might alter
23 the way in which the testing level--whether you go to herd
24 level or the cell level. It doesn't mean that you don't
25 have the same rigor, but you might alter where you do it.

1 DR. AUCHINCLOSS: Clearly. There are some very
2 practical things that you don't do to a cell line that you
3 do do to a live pig.

4 DR. CHAPMAN: Two points I would like to put on
5 the table. One is I would like to amend your statement to
6 say, on the basis of current knowledge, because it seems to
7 me there are things you listed as not being reasons for
8 determining a gradation of risk such as barriers which are,
9 in fact, however, very easily readily addressed by
10 preclinical experimentation which may define a body of
11 knowledge which, then, may give us a basis for determining a
12 gradation of risk.

13 The other point I would like to bring up. Let me
14 begin by acknowledging the tremendous respect I have for the
15 depth and breadth of expertise represented on this committee
16 and in this room. But, these nonvertebrate arthropods that
17 we are discussing as minimal risk are, in fact, such
18 prolific vectors of human disease that there is a whole
19 field of expertise of medical entomology.

20 Let me say the one course I took in it was one of
21 the more difficult ones I ever took in my life, including
22 organic chemistry and physics. So I would humbly suggest
23 that, the expertise of our attendance notwithstanding, it
24 might be wise to consult some medical entomologists on their
25 vision of the risk posed by nonvertebrate cell lines before

1 taking any action.

2 DR. AUCHINCLOSS: Both are good points.

3 DR. GORDON: I would just like to, if I could,
4 throw my hat into the ethics ring and then probably run for
5 cover. But there has been discussion of benefits and risk.
6 I have heard expressed, maybe twice, the notion that the
7 benefits were largely theoretical, speculative and
8 potential.

9 But, in the case that I am most familiar with, I
10 think it is well beyond that. In the case of islet
11 transplantation, we have known for the last seventy-seven
12 years that the product of pig islets, namely pig insulin,
13 works to control blood sugar in humans and works very well.

14 Therefore, what we do know is that if we can keep
15 pig islets alive in people, we will have cured diabetes. On
16 the risk side, there was an interesting irony expressed
17 early on that, in order to prove the safety of
18 xenotransplantation, we need a population of PERV-infected
19 animals or people to use as positive controls.

20 I won't even comment further on that, but,
21 obviously, the fact that we don't have such a population--in
22 fact, we don't even have one single case--I think, in
23 itself, stands as very important evidence.

24 The final thing is on the notion of justice. I
25 think it is very discriminatory to allow extremely risky

1 behavior for some people, risky behavior such as providing
2 surgery to AIDS patients or allowing people to travel to
3 countries where the ebola virus is endemic while we may
4 restrict access to others where no in vivo risk has ever
5 once been demonstrated.

6 So I don't think we can set a higher hurdle for
7 this procedure than is generally accepted in medical
8 procedures in general.

9 DR. AUCHINCLOSS: Jay, I have been taking, under
10 topic II, the discussion questions for the committee. I
11 have been taking them essentially as one big group,
12 questions 2, 3 and 4. We have been pushing it. At this
13 point, I have gotten what I can get. Have you gotten what
14 you can get? Do you want to push the question in a
15 different way to the committee?

16 DR. SIEGEL: Well, I think that we have been
17 discussing topics 1 through 5 and I am pleased with the
18 discussion. I would ask my colleagues here if they have
19 other specific issues they think need more comment.

20 DR. NOGUCHI: No. In spite of the fact we have
21 been going around, this is exactly the kind of advice we
22 need.

23 DR. WOODLE: Hugh, I just want to get back to the
24 benefit issue. I think several people have come very close
25 to making a point. I think David Sachs came very close. I

1 am not sure that--I'm sure that you have sat down and
2 thought about what the Brave New World would be when
3 xenotransplants actually work and we are able to do these.

4 But the potential upside on this is so tremendous
5 and we haven't had anybody actually stand up today and say
6 what it might be like if xenos work. Sure, we are going to
7 have less deaths on the waiting list, but there is going to
8 be a huge number of patients out there in whom, in the
9 course of their disease, they can be transplanted earlier.

10 They will be in better condition. They won't sit
11 in the hospital for weeks deteriorating, being nutritionally
12 depleted in a serious condition where we know the outcome of
13 an allotransplant is going to be worse than it would if they
14 could have been time electively.

15 The operations can be done in the morning when the
16 team is rested. No more night-time operations. No more
17 operations under suboptimal conditions. The organ should be
18 in optimal condition, minimal storage times, no more human
19 living donors, decreased risk of transmission of HCV, HBV,
20 Epstein Barr virus. Those are just a few of the things that
21 I think, unless we sit back and think about what this Brave
22 New World is going to be, we don't really know what the
23 potential upside is.

24 DR. AUCHINCLOSS: That is a perfect transition for
25 me, Steve, because that I think brings us towards tomorrow's

1 conversation about some potential early trials of
2 xenotransplantation where risk-benefit analysis clearly
3 becomes part of the equation.

4 Before I close the meeting, let me, because on our
5 agenda we had the open public hearing scheduled for 5:30,
6 did anybody come in who wanted a chance for a formal open
7 public hearing, just so I didn't miss somebody by the change
8 in schedule.

9 Are there any other comments that anybody on the
10 committee wants to make on these discussions this afternoon
11 or questions from the FDA? Otherwise, I would end today's
12 meeting and reconvene tomorrow morning at 8 o'clock.

13 DR. PAUL: I think this has been an excellent
14 discussion. I just would like--there is one reason that I
15 am on the advisory subcommittee and that is I bring an
16 expertise in swine virology as a veterinarian. It has been
17 wonderful to get educated on endogenous retroviruses, but I
18 think that we should, at some point, give some time and
19 discussion for exogenous porcine viruses.

20 DR. AUCHINCLOSS: To the other nonendogenous
21 viruses.

22 DR. PAUL: Right. I think that is something that
23 would be very beneficial.

24 DR. AUCHINCLOSS: Barring any other comment, we
25 will go ahead and end this meeting and tomorrow morning

at

312

1 reconvene at 8 o'clock.

2 [Whereupon, at 5:40 p.m., the meeting was

3 recessed, to be reconvened on Friday, June 4, 1999 at


4 8 o'clock a.m.]

5

- - -

C E R T I F I C A T E

I, ALICE TOIGO, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.



ALICE TOIGO