

1 traced back through more than one plasma tree, if you will,
2 or some products, such as a coagulation factor that is
3 stabilized with albumin, can in fact be composed of more
4 than one plasma derivative.

5 Therefore, the history of any of these products is
6 complex when traced back through the donations from which
7 they were made, and the history of any given donation with
8 respect to the products that it gave rise to is also
9 complex.

10 [Slide.]

11 Going back to the scope of the investigation, each
12 lot of each of those products is associated with the
13 voluminous record that documents its production from the
14 source material down to packaging records and final testing.

15 This is a simple hypothetical example which might
16 reflect the manufacture of an albumin since we have a
17 terminal pasteurization step indicated here. In this case,
18 I would suggest that that step would certainly be one that
19 would be included in a GMP investigation of post-donation
20 information.

21 However, the manufacturer may have also validated
22 the effectiveness of one or more of the purification steps
23 in reducing the viral burden, and if that is the case, then,
24 those steps would also be included in a GMP investigation.

25 Finally, although this is rare, it does happen,

1 some manufacturers perform final container testing on a
2 product for one or more viruses, and if this is performed,
3 the results of that testing and the testing itself, the
4 testing methodology itself would also be included within a
5 GMP investigation.

6 This entails a record review, and there are
7 several possible outcomes to that review, and these are
8 listed here.

9 [Slide.]

10 The most desired outcome is to find that your
11 records for a particular lot are complete and accurate, and
12 the manufacturing process proceeded according to plan with
13 no deviations or discrepancies. In that case, the concern
14 is minimal, because the full assurance of viral safety that
15 has been designed into the production process appears to
16 have been achieved.

17 The worst case is to find that the records are
18 incomplete or inaccurate or questionable in some way, and in
19 that event, there is no possibility of assuring oneself that
20 those safety measures were implemented as intended.
21 Therefore, the risk factor can't be evaluated and
22 appropriate action, such as withdrawing a product, should be
23 contemplated.

24 I should add parenthetically if such a deviation
25 or discrepancy in the recordkeeping itself were found, even

1 absent any other risk factors, because the assurance of
2 viral safety has been compromised, similar precautionary
3 measures should also take place.

4 Finally, somewhere in the middle, which may
5 represent the most common outcome, is that the record review
6 will reveal some deviation or discrepancy in the
7 manufacturing process that may be relevant to viral safety.

8 These actually can take on a whole spectrum of
9 characteristics. First of all, the deviation and
10 discrepancy may have been previously identified during
11 routine review of the manufacturing records prior to the
12 release of the product. Those deviations and discrepancies
13 may have been fully investigated and resolved as having no
14 impact on the safety of the product.

15 In that case, we also have reasonable assurance
16 that the safety measures built into the production process
17 have taken place as intended. However, the GMP
18 investigation itself may reveal deviations and discrepancies
19 that were not recognized at the time of the initial record
20 review.

21 In that case, an investigation into those
22 deviations must be conducted, and that is a time-consuming
23 process with an uncertain outcome.

24 [Slide.]

25 The severity or relevance of the deviations are

1 also an issue that is germane to the subject. First of all,
2 deviations can occur that have absolutely no bearing on the
3 viral clearance process itself. I think these are not on
4 the table for today's discussion.

5 There may be, however, a certain category of
6 deviations that are related to the viral clearance
7 procedures, but even there, they can be of greater or lesser
8 import. For example, a deviation may occur that is outside
9 a manufacturer's written instructions, but well within the
10 range that has been validated as being effective,
11 nonetheless.

12 I will give you an example of this, a simple
13 example relating to a temperature-dependent inactivation
14 process.

15 [Slide.]

16 During the course of the scaled-down viral
17 validation study, the manufacturer may have looked at a
18 fairly broad range of temperature, in this case, 18 to 28
19 degrees. However, because the manufacturing process is
20 capable of controlling that process to a narrower range, and
21 because the manufacturer wishes to afford himself a safety
22 margin, the manufacturing instructions may actually call for
23 controlling that process to within a tighter tolerance, 21
24 to 25, say.

25 If an excursion beyond this 21 to 25 degree range

1 occurs, that is the deviation which gets recorded and
2 documented in the manufacturing records, but if the
3 excursion is still within the validated range, we can say
4 that the viral clearance was still effective, nonetheless.

5 If, however, the deviation exceeds the validated
6 range, we lose that assurance even though the true failure
7 limits may be outside that range. We don't have any
8 knowledge of that, so we can only rely on what has been
9 validated.

10 [Slide.]

11 So, in closing, there are several conditions under
12 which a GMP investigation can be conducted and closed with
13 no further action against products that have been
14 distributed for use, the first of which is when everything
15 occurred perfectly. There were no manufacturing deviations
16 whatsoever.

17 Another instance is when deviations may have
18 occurred, but they were either irrelevant to the process or
19 were within validated ranges for the viral clearance
20 procedures themselves.

21 The third instance is where deviations may have
22 occurred, but they were fully investigated, evaluated, and
23 an informed decision to release the product was made. Very
24 often this involves referral to FDA and possibly a licensing
25 action to cover the release of a product. In these cases, a

1 detailed risk assessment is performed.

2 Finally, there may be deviations for which a
3 manufacturer has validated and approved procedures for
4 repeating a step or remanufacturing the process in order to
5 correct the deviation and maintain the assurance of viral
6 safety.

7 I think I will stop there and take any questions
8 if anyone has them.

9 DR. HOLLINGER: Dr. Boyle.

10 DR. BOYLE: At the current time, you are doing
11 this review of records to establish GMP for those things
12 that were related to inactivation.

13 Is that something that involves the review of a
14 database, or is it something that requires the review of
15 physical records?

16 DR. LYNCH: In most cases, these manufacturing
17 records exist as discrete records, so you would go to an
18 archive and pull out 6 feet worth of documents to review one
19 lot of a product. Six feet may be an exaggeration, but they
20 are rather voluminous.

21 So, it would be under most recordkeeping
22 practices, would be a record-by-record review.

23 DR. BOYLE: If manufacturers understood that this
24 was going to be a standard process and that they had 72
25 hours to comply, and that the records review were limited,

1 you know, it is focused on the inactivation procedures, the
2 things that you have described as the risk factors for a
3 problem, if that type of material was routinely recorded in
4 an automated database as opposed to having to go and pull
5 out the ledger books, would that type of thing be viewed as
6 a possible way of moving through this type of review, or do
7 you feel that in every case, as opposed to an audit, that it
8 really is important to look at the original entries in a
9 hardcopy or whatever?

10 DR. LYNCH: You raise a very interesting point.
11 My presentation obviously was focused in the context of a
12 post-hoc investigation. You are suggesting that one can
13 streamline that by organizing the data in a different way.

14 I see no reason why that would not be a perfectly
15 acceptable way provided that all of the relevant data were
16 captured. Enhanced review up-front may be another
17 alternative to doing it in the crisis mode, if you will,
18 where you are trying to meet a deadline and may have again,
19 not just one record to review, but multiple products off a
20 single pool and perhaps multiple pools captured by the
21 investigation.

22 DR. TABOR: I think Dr. Lynch also expanded on
23 that at the last BPAC meeting in some more informal
24 comments. The very worst situation is where the records are
25 very bad, where there are many, many donations from the same

1 donor, and where intermixing of different pools has occurred
2 prior to final manufacture.

3 As I said earlier, I think the existence of an
4 algorithm of this type and the permission of 72 hours grace
5 would encourage manufacturers to keep even better records.
6 With intermediate qualities of records, I think 72 hours is
7 actually probably quite generous, and I realize I am not
8 speaking from experience, but it would seem to me that if
9 you had a choice of quarantining a large amount of product
10 and putting your entire staff on a rush analysis of your
11 records, that you could put everybody on a 24-hour basis and
12 get it done relatively quickly, even with hardcopy records
13 if you had decent records.

14 DR. HOLLINGER: I take it the people who use the
15 investigator to go to look at these issues at the
16 manufacturer, there are people who are familiar with all the
17 various inactivation procedures and things like this in
18 general, and I presume that all the material is usually
19 supplied to them very quickly in terms of records and so on,
20 in terms of pressures, and so on, to get the job done.

21 DR. LYNCH: Yes to both parts of your question, we
22 take some pride in the quality of our investigators now,
23 especially under Team Biologics, and the training of those
24 individuals includes a full orientation, rather exhaustive
25 orientation into viral removal and inactivation techniques

1 as they currently exist, and what standards one applies when
2 evaluating those on an inspection.

3 So, that actually is something that we have
4 addressed quite extensively. Also, by the way, they
5 frequently consult with the scientific staff at the center
6 when they are out in the field doing an inspection, and
7 those resources are readily available to them.

8 Finally, with respect to the cooperation of a
9 manufacturer being inspected with regard to providing
10 records, making information available, in my experience,
11 that has always been good.

12 DR. HOLLINGER: Thank you, Dr. Lynch.

13 What we are going to do, we will take a break
14 until 11:15. I have 10:53 now. So, we will take a break
15 until 11:15, we will come back, and open it with the open
16 public hearing. If there are any who wish to speak, let us
17 know this at this time, and then we will go into the open
18 committee discussion.

19 Thank you.

20 [Recess.]

21 **Open Public Hearing**

22 DR. HOLLINGER: We have one speaker, Dr. Bablak,
23 from the International Plasma Products Industry Association.

24 MR. BABLAK: Good morning. My name is Jason
25 Bablak and I am Director of Regulatory Affairs for the

1 International Plasma Products Industry Association. Our
2 members produce approximately 80 percent of the plasma
3 derivatives for the U.S. market and approximately 60 percent
4 worldwide. I would like to briefly address the subject of
5 inadvertent contamination and respond to the FDA's proposed
6 algorithm.

7 The subject of inadvertent contamination has been
8 discussed by this committee several times, including during
9 the last two meetings. Last March, the FDA presented a
10 strategy for addressing instances when a fractionation pool
11 contains a unit from a donor with a subsequently discovered
12 risk factor that would have disqualified him as a donor had
13 it been known at the time of donation.

14 These risk factors are determined from lifestyle
15 or medical information about the donor which may indicate
16 that there is an increased risk of viral exposure. However,
17 it should be noted that all these units are negative by all
18 available serological tests and NAT testing that was done on
19 that unit. We will refer to these units from these donors
20 as unsuitable units.

21 We raised several concerns with the proposal that
22 was presented last March including its feasibility and our
23 estimate of its significant impact on supply. As we
24 described then, all lots of plasma derivatives would be
25 impacted during their shelf life by some type of PDI report,

1 and thus require actions including quarantine and/or recall
2 under this proposal.

3 This committee voted to delay further discussion
4 of this topic and asked FDA and industry to work together to
5 provide more specific information on several issues,
6 including the length of time that a post-donation report
7 would require lookback analysis, whether "significant risk
8 factors" could be defined, the role of NAT testing, and
9 whether quarantining of released plasma derivatives was
10 possible.

11 Today, we will provide a proposal that we believe
12 addresses the potential safety issues that may be raised by
13 PDI reports with respect to HIV, HCV, and HBV. However,
14 before we address these issues, we would like to focus on
15 several points raised during the last meeting that will shed
16 some light on our proposal.

17 First is the question raised by Dr. Epstein: Are
18 products any different because you happen to know that you
19 pooled an unsuitable unit? We believe the answer to this
20 question is no, because statistically, we know that
21 unsuitable units, including window period units or PDI
22 units, enter fractionation pools, yet these products
23 continue to be safe.

24 The second question follows from this: In the
25 case of learning additional information regarding a

1 particular unit or donor, is it appropriate to take
2 additional steps?

3 We would like to pose an answer to this question
4 by reiterating another point raised by Dr. Epstein. The
5 most appropriate approach may be to put in place
6 enhancements to existing systems that are feasible and
7 probably ought to be done irrespective of PDI reports.

8 This point reflects the fact that plasma
9 derivatives made from pools where we do not yet know of the
10 post-donation information are subject to the very same
11 concerns as those made from units where we do know about
12 post-donation information.

13 Today, we propose an approach to be instituted for
14 all lots of plasma derivatives that we believe will provide
15 an additional layer of safety for these therapies. Because
16 the timing of post-donation information receipt is
17 unpredictable and as can be seen from the earlier
18 presentation of the FDA, the type of information cannot
19 easily be categorized as to risk, our proposal is that we
20 treat all lots the same.

21 These measures that we are proposing will add to
22 the current procedures already undertaken for every lot and
23 will be performed up-front on an ongoing basis regardless of
24 post-donation information received for any particular lot.

25 First, all unsuitable units at the collection

1 center will not be shipped for therapeutic use and all
2 unpooled units held in inventory by the fractionator will
3 not be used for further manufacture into therapeutics.

4 Second, a maximum potential viral load for the
5 viruses of concern will be established for each pool through
6 the introduction of NAT testing of manufacturing pools
7 and/or minipools through the FDA's IND process.

8 Third, an assessment of the theoretical risk will
9 be performed for each plasma derivative to ensure that the
10 potential viral burden below the limit of detection by NAT
11 testing could be adequately removed or inactivated by the
12 manufacturing process.

13 Fourth, an enhanced GMP review of critical viral
14 elimination steps will be performed for each lot as part of
15 the release criteria.

16 We believe this strategy will allow industry to
17 address the concerns raised by the FDA regarding inadvertent
18 contamination on a proactive, routine manner to help to
19 ensure safety while not affecting product supply.

20 We would like to briefly expand on each of these
21 points. First, any unsuitable unit in custody will not be
22 used for further manufacture. This includes the initial
23 unit if it is available and all other units held in
24 inventory. As you know, our industry has instituted a
25 minimum 60-day hold for all plasma units, and therefore,

1 more units from this donor will be able to be removed from
2 the pooling process.

3 Our members have also committed to implement NAT
4 testing for HCV and HIV by the end of 1999, and HBV by the
5 end of the year 2000. These tests currently require an IND,
6 and our members are following these requirements to
7 introduce this technology and eventually obtain FDA
8 licensure.

9 As these new tests are phased in, one critical
10 element of this proposal will be established. A maximum
11 potential viral load for every pool will be established
12 through minipool or manufacturing pool testing.

13 A risk assessment based on this scenario will be
14 performed to demonstrate an adequate margin of safety for
15 each lot manufactured from non-reactive pools, minipools, or
16 units. Because the maximum viral bioburden will be
17 established prior to release, PDI reports will not affect
18 the original risk assessment, and therefore will not require
19 additional action under this plan.

20 The second essential element of this proposal is
21 the enhanced GMP review of critical viral elimination steps.
22 Rather than waiting for a PDI report to initiate action, our
23 proposal is to proactively enhance the review already
24 undertaken prior to lot release.

25 Our intention is to develop a list of critical

1 viral inactivation and removal steps and identify their key
2 parameters. We will provide specialized, enhanced training
3 to those individuals reviewing each of these steps, and
4 provide them with the tools necessary to ensure proper
5 performance.

6 We believe this approach addresses the issues
7 raised by instances of inadvertent contamination: what is
8 the potential viral bioburden for the lot in question, and
9 were the viral clearance processes performed as require to
10 assure an adequate margin of safety?

11 When instituted for all lots, these enhancements
12 reduce the need for a case-by-case algorithm by providing
13 certain information before the lot is ever released.
14 Specifically, the maximum potential viral load of the pool
15 is established through NAT testing results, and the enhanced
16 review of viral inactivation procedures assures adequate GMP
17 compliance for that particular lot. By performing a one-
18 time risk assessment for that particular product, these
19 measures will ensure adequate margins of safety for lots
20 meeting these release criteria.

21 The benefit of proactively instituting these
22 enhancements is two-fold: first, all plasma derivatives
23 will be subject to the same enhancements regardless of
24 whether or not post-donation information was received;
25 secondly, these actions will be taken up-front, and

1 therefore will obviate the need for quarantines,
2 withdrawals, or recalls, thus minimizing any supply impact.
3 Most importantly, any potential safety issue will be
4 addressed prior to release of that lot.

5 As stated earlier, our proposal is to institute
6 these procedures for all plasma derivatives as a proactive
7 method to continue to enhance the margin of safety for these
8 therapies. As with any new technology, it will take a
9 little time to fully develop and implement an ongoing system
10 for its use, and NAT testing is no different.

11 Our commitment is to institute NAT testing for HCV
12 and HIV before the end of this year, and hepatitis B, by the
13 end of the year 2000. We believe that we will be able to
14 implement the enhanced GMP review somewhat faster, and we
15 are estimating that we can get this done during the fourth
16 quarter of this year.

17 We would ask that the FDA provide a liaison to our
18 working group on this issue, so that we can expedite the
19 development of the specifics of this proposal.

20 Thank you for the time to address this important
21 issue. We believe this proposal is both feasible and
22 valuable in terms of enhancing the margin of safety for
23 plasma derivatives, while not affecting supply.

24 We would ask the committee to endorse this
25 proposal, and we would be happy to report back in December

1 on the progress we have made in implementing its elements.
2 I would be happy to answer any questions you might have at
3 this time.

4 DR. HOLLINGER: Are there questions of the
5 committee for Mr. Bablak? Yes, Dr. McCurdy.

6 DR. MCCURDY: What is the coverage of this
7 proposal in the United States, in other words, this is
8 presumably voluntary on the part of the IPPIA? Are there
9 non-members who also distribute plasma derivatives in the
10 United States, and what is the likelihood that they will be
11 covered by it?

12 MR. BABLAK: That is a point that we have not
13 addressed at this point. This is just for IPPIA members,
14 but certainly if the committee were to endorse this, I am
15 sure we would be able to have discussions with the non-
16 members to bring them up to speed on this issue.

17 DR. HOLLINGER: Dr. Boyle.

18 DR. BOYLE: Does your proposal for the enhanced
19 GMP review, which I understand is still ongoing and
20 obviously is not set in stone, but are you saying that in
21 point of fact, that you are going to be collecting and
22 recording or establishing the information in such a way that
23 it is complete for all cases, so that if there is a
24 requirement to look at a case, that that data is there?

25 MR. BABLAK: That could end up being the result of

1 this, but really our proposal is to enhance the review that
2 is already done, because as you are aware, through GMP,
3 everybody reviews all of their procedures before a lot is
4 ever released.

5 What we would do here is make a specific
6 enhancement to part of the release criteria where we would
7 develop a specialized section that would specifically at
8 sections relating to viral inactivation, we would have
9 additional training on these elements, perhaps develop a
10 list for--each manufacturer would have to do this obviously
11 on their own--but develop lists of their critical procedures
12 and the critical parameters, have training that would expand
13 the knowledge of the people performing those, so that they
14 understood if it fell outside of a parameter, what the
15 implications of that might be.

16 So, really we are talking about a significant
17 enhancement to what is done, not that what is done isn't
18 already adequate. It is just that this is really a way to
19 address up-front the issues that were raised this morning,
20 so that if there is a discovery of something, it is
21 basically found before the lot is ever released.

22 That is really the critical element here, is that
23 we can do this before a lot is released, and then if there
24 is a problem, it is discovered before the product is out in
25 the field and it can be resolved then rather than trying to

1 do it within a 72-hour time frame.

2 If I can just expand on this, one of the other
3 things that was raised, if you looked at even the critical
4 PDI areas that were listed today, we believe that even that
5 is enough, that would really impact every single lot of
6 product that is made.

7 In the end, what you would end up doing is going
8 through and continually looking at these reports and then
9 going forward and trying to trace those lots down and do
10 your review. Even if it's within 72 hours, it will become a
11 continual process, and we discovered this really as we
12 started to look at this after the last meeting.

13 It became clear that if you tried to determine
14 what is really "higher" risk than others with regards to
15 post-donation information, even if you tried to limit that
16 list, which is very difficult, it is still going to have an
17 impact on all lots that are released.

18 So, we decided really what was the best thing to
19 do was to try to put this up-front as release criteria,
20 because that allows us to have a regularized process where
21 we can assure that all products meet this criteria.

22 DR. HOLLINGER: The manufacturers of these plasma
23 products get this post-donor information almost on a weekly
24 basis. Does someone call in every week that provides some
25 information on this?

1 MR. BABLAK: What happens is, for instance, even
2 if you look at the list that the FDA set, you may actually
3 get three, four, or five a month, but the way that it
4 follows is you get a report on a particular donor, but that
5 donor has donated many times.

6 So, the donations from that donor are really what
7 is important when you are looking at how this will implicate
8 products. So, if you have five reports over a month's time,
9 that will reflect to basically affecting all of your product
10 lots.

11 DR. HOLLINGER: So, an inspection or evaluation or
12 investigation might go on continuously.

13 MR. BABLAK: It would basically be a continuous
14 process. So, what we have suggested is why don't we don
15 that up-front, if it is going to be a continuous process,
16 let's do it before the product is released, and in that way
17 we have assurances before the product is out the door.

18 DR. HOLLINGER: And you are going to do that
19 before it is released in what way?

20 MR. BABLAK: All of these procedures that we are
21 proposing here would be done before release, so as part of
22 the release criteria, an enhanced GMP review would be part
23 of that release criteria, so that if it didn't pass the GMP
24 review, it would never be released.

25 DR. HOLLINGER: And that would be a review by the

1 manufacturers.

2 MR. BABLAK: At this point, it would be a review
3 by the manufacturer. What we have asked is if we can have
4 liaison from the FDA to sit with our working groups, so that
5 we can define what the critical elements are and how that
6 would be accomplished.

7 DR. HOLLINGER: Dr. Epstein.

8 DR. EPSTEIN: Thank you, Dr. Hollinger.

9 I think that there would be general agreement that
10 if all deviations in manufacturing were adequately addressed
11 prior to product release, that we would be in a much better
12 situation regarding post-donation information, and could
13 conceivably waive further investigations and quarantines.

14 The problem is that we are not there right now,
15 and I think that it needs to be understood that the
16 algorithms that we have been talking about represent the
17 agency's interim strategy. In other words, until the
18 industry gets to the point with regard to GMP controls,
19 where what you are describing is in place, we do have a
20 problem when incidents come to light.

21 I would say that the same applies to the issue of
22 NAT. Until we have the NAT tests validated and approved and
23 fully in place, we still have a problem when the incidents
24 arise. So, for example, not all manufacturers are routinely
25 testing the pool by NAT, and the NAT sensitivities haven't

1 all been validated in relation to the viral inactivation and
2 clearance capability of processing.

3 So, I would accept everything that you have said
4 and endorse it as both correct and highly desirable, but I
5 think that the committee needs to understand that what we
6 are talking about is the current situation, which is we hope
7 an interim situation that will go away someday.

8 MR. BABLAK: I guess our response to that is we
9 hope to make it go away before the end of this year.

10 DR. EPSTEIN: Well, that would be nice, but it's
11 the situation we have on our hands now and the one that we
12 have been dealing with for a period of years. We have been
13 doing this. It is just that we have been doing it without
14 having formalized an algorithmic approach, and it has been
15 done ad hoc case by case.

16 I would say that that problem won't go away
17 overnight and that is why this issue has been in front of
18 us.

19 DR. HOLLINGER: On this same basis, what do you
20 see as the problem with the algorithm from the industry
21 standpoint, of the algorithm that was given by Dr. Tabor
22 earlier? What is the problem that you see from it, from an
23 industry standpoint?

24 MR. BABLAK: The problem is it creates a situation
25 where you have to wait for a report to come in. That report

1 comes in. You have to trace where those units from that
2 particular donor have gone, and then you have to do your GMP
3 review, because I think that is what we are talking about
4 now since NAT testing has not been implemented for all the
5 viruses, so really we are looking at that section.

6 Our commitment is to do it for all the viruses,
7 but like I said, that won't be really until the end of next
8 year. So, what you are really doing is you are creating the
9 work of looking at when the unit comes in, tracing it, and
10 then finding those particular lots. So, there is an
11 additional amount of work that is done just to do that,
12 when, in reality, we know it is going to affect all of the
13 products at some point.

14 It may not be that it affects them all at the same
15 time. You may have one that comes in that affects a product
16 lot in one month, and in that case, we can actually take
17 those units out from our inventory hold.

18 But if you have something that comes in six months
19 later, and some of those units have been pooled and may be
20 in the manufacturing process. If you get a report that
21 comes in a year later, some of the product lot will actually
22 be released, so you are waiting to get that information
23 before you do your report.

24 What we are proposing is let's do it up-front
25 before it's released, and do it for everything as part of

1 its release criteria. To respond to Dr. Epstein, I think
2 really, especially when you are talking about the post-
3 donation information reports, what is being done now is you
4 get the information, you may trace where it goes, but there
5 really isn't any additional review that is done, and that is
6 how this has been handled in the past.

7 What we are saying is we are willing to go a step
8 further and put in this enhanced GMP review. If you look at
9 the algorithm, I think the problem with that is if you are
10 going to have to go back and do a review for every single
11 lot within 72 hours, we are going to spend a lot of time
12 doing this tracing and doing this review, where I think the
13 better use of time is to fully implement this procedure that
14 we have proposed, and then you will handle it for everything
15 going forward.

16 I guess it's a matter if you are looking at the
17 timing, over the next six months, can we spend the time and
18 develop this proposal and institute it for all product lots,
19 or do you want to continue to address it on a case-by-case
20 basis ad hoc as they come in, because that is really I think
21 what you are going to talk about.

22 If you are going to spend time reviewing these and
23 tracing them, that is going to take away the time that you
24 can spend instituting this on a regular procedure going
25 forward for all product lots.

1 MR. JACKMAN: My name is Dennis Jackman with
2 IPPIA. I just want to answer a question and make a
3 clarification here. Somebody alluded to this as being
4 voluntary, I understand that this would be voluntary, and it
5 is a voluntary proposal, but our association members have
6 stated they are committed to implementing this, and would
7 have no opposition to FDA making this mandatory because we
8 would expect to have it in place in any case, so that is a
9 possibility, and we just want to state that.

10 I mean that is a statement of the obvious in some
11 ways, but we just want to make it clearer that we would have
12 no opposition to that.

13 DR. HOLLINGER: Thank you.

14 DR. EPSTEIN: I would look at it from the FDA
15 point of view this way. We think that full resolution of
16 deviations in manufacturing should be resolved before
17 product release now, and that that is an existing GMP
18 provision. In other words, we don't really see it as
19 something novel.

20 On the other hand, we recognize that the industry
21 is not fully there in terms of compliance with GMP, and so
22 we welcome an initiative to upgrade compliance, but we don't
23 really think that the focus of debate is whether this is
24 something new or something that requires a new policy or new
25 requirement.

1 Resolution of deviations has always been part of
2 GMP. The problem that we have been faced with is that as we
3 have investigated incidents, we have, unfortunately, found
4 that there weren't full investigations. For instance, we
5 found deviations with respect to viral inactivation
6 temperature that were outside the limits of the validation
7 of the process. That is a serious problem.

8 Such lots ought never have been distributed under
9 GMP, but they were. So, I think the way I see it is that it
10 is an issue of compliance, it's not really a question of a
11 new standard. We want the industry to embrace the
12 correction, and I think the notion of being able to come
13 into full compliance on resolution of deviations before
14 release of lots does go a long way to mitigating the
15 problem.

16 I would agree with that point, but again I think
17 it is a little bit miscast to describe it as something new
18 requiring new policy or new requirement. This is existing
19 GMP thinking.

20 MR. BABLAK: The only response I would have to
21 that is what we are using to go back and look at those
22 release criteria GMPs is a PDI report, and I guess what we
23 are saying is that is probably not a very valuable way of
24 looking at it because by the time you end up tracing that
25 down, really, what you are talking about is all products and

1 all lots.

2 So, I guess what we are saying is we are in
3 agreement, it's just a matter of in that interim period
4 before we develop this enhanced GMP section, is waiting to
5 get a PDI report, which are inevitable and will happen, but
6 also, at the same time, a very random occurrence which
7 really tells us nothing about a lot that would separate it
8 from an additional lot. It that a good criteria to then go
9 through and do this extra work, or is it better to develop
10 going forward how we are going to develop the GMP element of
11 this process.

12 I think that is really what we are coming down to
13 is in the next five or six months, how is the time better
14 spent.

15 DR. HOLLINGER: Dr. Fitzpatrick.

16 DR. FITZPATRICK: I don't understand why the two
17 efforts are exclusive. It seems to me that it makes good
18 common business sense to do what you are proposing, so that
19 if you get a PDI, then, you can immediately respond the FDA
20 and say we have done the enhanced GMP review, and here is
21 our results.

22 Now, you fit very well into the window, and you
23 can allay them, assure their own compliance, and over time
24 develop a track record to assure the FDA and us that you are
25 now in compliance and eventually, by establishing that track

1 record, perhaps you can do what you want to do, which is not
2 have to react to the PDIs, but just show compliance on a
3 continual basis.

4 So, I mean I don't see why the two are exclusive.

5 MR. BABLAK: I guess the answer to that question
6 is they are not exclusive, it's just the amount of time to
7 trace and go through that extra process of relying on the
8 PDI report takes away time that could be spent going
9 forward.

10 In reality, the PDI report doesn't give us any
11 additional information one way or the other about that
12 particular lot, because we know that eventually, all lots
13 will be associated with the PDI report, so by using that
14 arbitrary criterion to go through and do this extra work
15 really doesn't accomplish anything.

16 I think that is what we are trying to show here is
17 going forward it would be better to do this for all lots.
18 If you are going to differentiate based on a PDI report, you
19 are really not accomplishing anything except picking
20 something out of the air perhaps and saying that this is
21 what we are going to use to judge whether or not this lot
22 needs an additional review.

23 DR. HOLLINGER: Are you saying you wouldn't remove
24 the samples or the units that came from this, that were
25 generated from this PDI report basically?

1 MR. BABLAK: But we do that already. That is
2 already done, so if a PDI report comes in, any unit that is
3 held is already removed. That is a point we wanted to make
4 sure that everybody understood, that that is something that
5 is being done and will continue to be done.

6 DR. HOLLINGER: Okay. Dr. Mitchell.

7 DR. MITCHELL: But in your protocol, you don't say
8 that the units will be destroyed, you say that the units
9 will not be used for further manufacture into therapeutics.

10 What is your plan to do with the units?

11 MR. BABLAK: This always raises a question. The
12 reason that that is said is the vast majority are actually
13 destroyed. Some have a value for diagnostics, for instance,
14 units that are in the unit period, that you have a donor who
15 has seroconverted, those units become valuable for
16 diagnostic manufacturers, for test kits, and those types of
17 things. So, those units aren't technically destroyed. They
18 are not just not used for injectable therapeutic products.
19 But to be technically accurate, we have to say that they are
20 not used for that, they are not destroyed, because not every
21 single unit is destroyed.

22 DR. HOLLINGER: Any other question from the
23 committee? Yes, Dr. Buchholz.

24 DR. BUCHHOLZ: Just an issue to understand FDA's
25 role versus manufacturers' roles. When an issue like this

1 comes up at present, is it the current policy that FDA
2 visits the manufacturer and, in fact, documents this record
3 review, or to what extent is the manufacturer allowed to do
4 that and provide the documentation to FDA?

5 If this, in fact, is affecting every lot, it would
6 seem like there could be a tremendous amount of effort here
7 developed toward doing something, that I think this would
8 seem to obviate much of that effort as proposed by IPPIA.

9 MR. MASIELLO: Steve Masiello. There really isn't
10 the ability to go out and verify each and every one of these
11 cases on site at the time that it occurs. What we
12 ordinarily do is there is certain communication back and
13 forth on each and every issue. Then, at the time of the
14 inspections, these types of things are reviewed, but not at
15 that moment.

16 DR. BUCHHOLZ: So, in essence, right now FDA would
17 be relying on the integrity of the manufacturer to, in fact,
18 do that review and provide that information. If that is the
19 case, I begin to see very little difference between what is
20 being proposed and what is currently going on.

21 There, indeed, may in fact be a small number of
22 problems, but I am not clear as to how this proposal would
23 differ from the current system which basically relies on the
24 integrity of the manufacturer.

25 MR. MASIELLO: I think the fact of the matter is

1 we do spend quite a bit of time in the fractionators these
2 days, so there is not a point-by-point verification in all
3 cases, but there is quite a lot of inspectional coverage
4 that is going on.

5 DR. HOLLINGER: So, lots could be released from
6 quarantine, and so on, just based upon the manufacturer's
7 assessment before an investigation is done, is that correct?

8 MR. MASIELLO: There probably is a verification
9 via paper. I mean there is a lot of communication that goes
10 on, so that there might be a request for specific documents
11 or copies of specific records to be submitted, so we may not
12 be seeing them on site, but we are probably seeing them via
13 review.

14 DR. HOLLINGER: Thank you.

15 MR. BABLAK: If I could just clarify from our
16 perspective, that is not what is done for the PDI reports
17 presently. I think the change would be under the algorithm
18 that there would be some additional element that would have
19 to be satisfied before those lots could either be released
20 from quarantine or released to be sold for use, whereas, the
21 current practice is if a PDI report comes in, the
22 manufacturer looks at that, traces that, understands what
23 happened to that particular unit, and that is where it ends.

24 So, what we are proposing is an enhanced system,
25 not necessarily based on PDI reports, but based on the

1 output criteria basically, what will be released.

2 DR. HOLLINGER: Dr. McCurdy.

3 DR. McCURDY: It seems to me, and I think this has
4 been stated by at least one other member of the committee,
5 that one of the major results of this, which I think is very
6 salutary, is that the review will go like greased lightning,
7 and if it is already done, then, all you need to do is
8 verify that it is already done, and that ought to be it, and
9 take three hours or whatever. I mean it should go very
10 rapidly.

11 DR. HOLLINGER: Ms. Knowles.

12 MS. KNOWLES: I think the concern that I hear from
13 the FDA staff is that if this proposal is adopted by this
14 industry representative and their members, that nothing else
15 will happen in that interim time, and I do think it is
16 important that there still be some controls in place now
17 until they are fully implemented.

18 DR. HOLLINGER: Even afterwards, I personally
19 would want to see that there is an evaluation done somewhere
20 down the line regardless.

21 DR. BOYLE: Jason, just for clarity's sake, I want
22 to understand what happens now under the algorithm we have
23 got here. You have got apparently about 8,000 of these
24 post-donation reports per annum.

25 MR. BABLAK: Let's just stick to the source plasma

1 side, which is significantly smaller, but will still make
2 the same point.

3 DR. BOYLE: Fine. Units not yet pooled, and you
4 identify it as being a post-donation problem, what happens
5 right now?

6 MR. BABLAK: If it is not pooled?

7 DR. BOYLE: Yes.

8 MR. BABLAK: The unit and all the units held in
9 inventory from that donor are removed and not used for
10 further manufacturing of therapeutic products.

11 DR. BOYLE: So, no change then in the first box.

12 MR. BABLAK: Exactly.

13 DR. BOYLE: The second box.

14 MR. BABLAK: It says "destroyed" here.

15 DR. BOYLE: All right, destroyed versus not used
16 for product.

17 The second thing is units pooled but not yet
18 processed. What happens now?

19 MR. BABLAK: If the unit has been pooled, it has
20 basically lost its individuality, and that is the end of the
21 investigation.

22 DR. BOYLE: So, under current process, it
23 continues through the manufacturing process, and so here the
24 FDA proposal does something new.

25 MR. BABLAK: Exactly, and that is the same the

1 rest of the way across.

2 DR. BOYLE: Okay.

3 MR. BABLAK: So, everything below that is new.
4 So, I guess the thing is we have been doing that all along.
5 What we are saying is we would like to implement something
6 more, and the question is, over that time of implementation,
7 say, the next five or six months, do we follow what the FDA
8 has proposed, which will require a significant amount of
9 time tracing lots and units based on a PDI report, which is
10 a random event, or do we continue to go forward with a
11 proposal that we can implement across the board for all lots
12 as they are released.

13 DR. HOLLINGER: So, you want to have some
14 stability.

15 Dr. Koerper.

16 DR. KOERPER: Your proposal would mean that you
17 would not need to keep track of what pools individual
18 donations went into because if the GMP were satisfactory,
19 then, it wouldn't matter about getting these post-donation
20 information, because you have already done more than enough
21 to kill any viruses that got in there, and this would then
22 obviate the need to keep track of where the individual
23 donations were going.

24 MR. BABLAK: Exactly.

25 DR. HOLLINGER: I see also if you have a

1 consistency, reproducibility, and so on, and then you really
2 don't have to find out where exactly it goes.

3 MR. JACKMAN: We do track, it will be tracked
4 going forward. We just got clarification that they will be
5 tracked and they would be tracked, so I want to give the
6 correct answer. I think there was just some confusion
7 there. Thank you.

8 DR. HOLLINGER: Thank you.

9 Dr. Tabor.

10 DR. TABOR: I would like to underline one of the
11 points Dr. Epstein made, and I don't want to detract from
12 what I think is generally an admirable proactive stance that
13 the IPPIA has been taking over the past year or year and
14 half, but at past BPAC meetings, one or two or three of the
15 members of BPAC have raised concerns, and I recall Mr. Corey
16 Dubin raising them, perhaps Dr. Mitchell, perhaps others,
17 related to how well the GMPs are currently being carried
18 out.

19 When we presented data showing that the currently
20 applied mechanism for removal and inactivation of viruses
21 are more than enough to kill any virus that is present, the
22 question was raised by several BPAC members, yes, but there
23 are so many GMP violations nationwide that we can't rely on
24 that.

25 So, I would like to ask the question--it doesn't

1 have to be answered--but at least rhetorically ask the
2 question, your proposal would have to satisfy the critics
3 who are concerned about the adequacy of GMPs, as Dr. Epstein
4 alluded to, and what aspects of your proposal will satisfy
5 those people.

6 MR. BABLAK: I think if that is a question that is
7 directed to me, basically, what we are proposing is to take
8 the GMP review that has been put into the algorithm and just
9 put that up-front, and I think that is why we asked for an
10 FDA liaison to our working group, so that we can be assured,
11 and assure others, that what we are doing will meet the
12 questions of those people who have raised those questions.

13 DR. HOLLINGER: Dr. Chamberland.

14 DR. CHAMBERLAND: I think, first, I would like a
15 little clarification because I may very well have
16 misunderstood this, but when Dr. Boyle just asked you to
17 compare and contrast the proposed algorithm with what you
18 are currently doing in practice, essentially, it started to
19 differ very quickly after units became pooled, but I thought
20 I understood Dr. Epstein to say that actually, this is what
21 is happening currently, we have just never formalized it,
22 and this just happens on an ad hoc basis.

23 That is my initial starting point is I am a little
24 confused as to what is actually happening in practice. What
25 follows is if there really is a disparity between what is

1 happening currently and what is being proposed, your
2 comments about this looking back to multiple donations could
3 possibly then really have significant implications for
4 supply.

5 I think that is an important consideration if
6 this, in fact, isn't currently happening, if it is
7 implemented, are we going to face real significant acute
8 shortages of products because essentially everything being
9 quarantined or at least on an interim basis until it is
10 resolved.

11 MR. BABLAK: The answer to that is it is a
12 possibility. I don't know in the short term how that would
13 work out with the numbers and things coming downstream. I
14 think our data has showed that over a time period, for a
15 year, every lot would be affected, and so whether that would
16 happen immediately, I can't say. We don't have that data.

17 MR. MASIELLO: Let me just clarify my earlier
18 statement. Ordinarily, the follow-up that the FDA conducts
19 is associated with releases by a plasma center or a blood
20 bank of a unit that had a testing issue or a window period
21 issue and those are the ones that the FDA is currently
22 focusing on as opposed to these post-donation calls where
23 after a certain period of time, the unit would go into
24 manufacture.

25 But we do, of course, look at the suppliers to

1 make sure that those issues are being documented and are
2 being tracked, and looking through our GMPs that the
3 fractionators do receive information and take some steps.

4 DR. BUCHHOLZ: I have a question with respect to
5 the statement that FDA has made relative to compliance
6 violations and citing examples where there is GMP violations
7 to some extent.

8 I would presume that that GMP violation would lead
9 to a correlation that there are many examples of disease
10 transmission that have taken place and been documented in
11 recipients that are related to those specific GMP
12 violations.

13 My impression is that post-plasma derivative
14 infection except in a couple of instances where there is a
15 very good explanation for that, is either nonexistent or
16 exceedingly rare. Could someone clarify that?

17 DR. EPSTEIN: At previous advisory committee
18 meetings where this issue was discussed, Dr. Tabor provided
19 a complete historic review about HIV, HBV, HCV transmissions
20 from plasma derivatives, and the bottom line is that with
21 the exception of the isolated instance of transmission of
22 hepatitis C from one manufacturer's intravenous immune
23 globulin, which dated back to 1994 after the screening
24 policies were changed, since 1987, there have been no
25 reported transmissions of HIV, HBV, HCV by plasma

1 derivatives, so we do believe on those grounds that the
2 current procedures for manufacturing are adequate to
3 eliminate the risk of transmission of those agents, however,
4 it needs to be understood that the safety of the products is
5 dependent on the manufacturing processes.

6 We know for a fact that window period units will
7 contaminate fractionation pools. We know it statistically,
8 and we know it from the occasional instances where a
9 documented window period unit was pooled.

10 We also are operating right now in an environment
11 where there has not been rigorous validation of the
12 inactivating or clearance capability of the processes with
13 regard to the viral loads that might enter the pool. Mainly
14 the problem is that the viral loads in the pools haven't
15 been directly measured.

16 So, we know the end products have not transmitted.
17 We know that the processes are very robust, and we have
18 reviewed all that data at previous meetings, but we still
19 have this concern at two levels.

20 One, that we would like to tighten the data set on
21 validation, we would like to really know what tests are in
22 place to ensure upper limits to contamination that may
23 occur, and how many logs overkill or clearance there is in
24 the process. We really need to firm that up, and I think
25 you are calling for that data to be made available and we

1 applaud that.

2 The second is that we do know that since the
3 safety directly depends upon the accurate and reliable
4 accomplishment of the inactivation and purification in the
5 course of manufacturing, that deviations are important to
6 investigate, and not all deviations are equal.

7 There are going to be deviations that raise
8 significant questions, and we gave examples at previous
9 meetings. For example, when there was a report of an HIV
10 antibody-positive plasma pool that was based on pool testing
11 for antibody performed in the UK of products that have been
12 distributed under license by U.S. fractionator, and with the
13 knowledge that an HIV antibody-positive unit must have
14 entered the pool, we then felt that we needed to investigate
15 the adequacy of the HIV viral inactivation and
16 manufacturing, and lo and behold, we found deviations that
17 had not been investigated and resolved, and that the
18 deviations were outside the validation range of the
19 manufacturing process.

20 We have ancillary data that suggested to us that
21 the processes would be nonetheless robust, but the actual
22 validation data didn't deal with the range of the deviation,
23 and on that basis, there were quarantines of products put
24 into place, particularly for factor IX.

25 I think the problem is not trivial although I

1 would agree with you that the safety record of the end
2 products since 1987, with the one exception related to Gamma
3 Gard, is excellent, but what we are trying to do is ensure
4 that, in other words, we want to shift it from retrospective
5 observation to prospective assurance, and that is what this
6 is all about.

7 I think that in a certain respect, we are getting
8 hung up on the wrong issue. Everyone would endorse the
9 concept that if there is up-front resolution of all
10 deviations if manufacturing based on sound validation data,
11 and before product release, that we can mitigate concerns
12 over investigating specific incidents.

13 The problem is what do we do today before the
14 doctor comes. I would accept the observation that has been
15 made that what we have described in the algorithm does go
16 somewhat beyond current practice, because current practice
17 has been to do investigations either when there has been
18 pooling of test-positive units or the finding of a test-
19 positive result on a pool, or a reported case of
20 transmission even though none of those has ever been proven.
21 We do get reports.

22 Those have been the triggers for the
23 investigation, however, as we have discussed the concern
24 over post-donation information and the theoretical
25 possibility of contamination, it has become clear that we

1 should have as much or greater concern about window period
2 units as about test-positive units. Why? Because the viral
3 titers can be higher.

4 So, that is why we have broadened the scope of
5 concern to try to define the instances where risk histories
6 ought to worry us about window period, and this committee
7 itself suggested to us that we not create an arbitrary
8 distinction between risk history and window period, those
9 being essentially the same thing.

10 Now, they are not the same thing in terms of
11 frequency, because most cases of a post-donation information
12 report about risk won't correlate with the donor being in
13 the window, whereas, there is some small subset where we
14 know that the donor was in the window, and the best example
15 of that was a case that we did present as a case study at a
16 previous meeting, where a donor subsequently gave whole
17 blood donation, his units transmitted HIV to red cell and
18 platelet recipients, and the plasma had been fractionated,
19 and that was a test-negative donor.

20 So, we had absolute proof that the donor was in
21 the window period, and we went ahead and investigated the
22 soundness of the viral inactivation and manufacturing record
23 for the derivatives, and we think that is completely
24 rational.

25 That is a little bit different than a case where

1 you have a risk history and you don't know what is true, but
2 again the committee advised us to lump them, so we have
3 lumped them, but that is an extension of what we currently
4 do, in other words, we have currently investigated only, if
5 you will, the better established cases of a risk where we
6 knew it was a donation in the window or where we knew that
7 there was inadvertent pooling of a test-positive unit.

8 It would be a broadening of our scope of concern
9 to also consider these same procedures simply based on risk
10 history without further information, and that is correct,
11 and that has been highlighted by a number of the committee
12 members, and that is very important, and I think that it
13 leads to where should the debate focus.

14 The debate should focus on whether the set of
15 triggers, as outlined by Dr. Tabor, are the right ones, and
16 I think what you are hearing the industry say is, look, we
17 can't live with that, it is going to implicate essentially
18 all our products.

19 That is a legitimate response. I mean that is one
20 of the problems. So, I would rather see the focus shift on
21 discussing the triggers and recognizing that this is an
22 interim policy proposal than debate whether it is in
23 conflict or not with the industry proposal to do up-front
24 resolution of deviations, which I think we should applaud,
25 you know, it is the right answer, and could it in the long

1 run obviate case-specific investigation, I am prepared to
2 say yes, but only at the point where we are assured that
3 there is full resolution of deviations before products are
4 released, and unfortunately, that has not been the
5 compliance history of the firms to date, and that is where
6 the problem lies.

7 So, I think we need a strategy in the interim, and
8 I think that it is red herring to pose it as an alternative
9 to up-front investigation, and I think that where we really
10 need to focus our attention is what the triggers for
11 quarantines and investigations ought to be, recognizing
12 industry's point of view that it might be too broad as
13 construed or as proposed.

14 DR. BUCHHOLZ: Could I ask a question in terms of
15 the issues here, there seem to be potentially two issues
16 with the industry proposal in terms of the six-month or
17 whatever that time period is, five to six months to get
18 fully implemented.

19 It seems that part of that proposal involves some
20 NAT testing of pooled or final product, and obviously, that
21 isn't something that can necessarily be turned on overnight,
22 but I would wonder if the questions that FDA is concerned
23 about, that is, documentation of good manufacturing
24 practice, aren't, in fact, resolvable in a much shorter
25 period of time, and have the potential to be addressed very

1 rapidly even though NAT testing of pools may not take place
2 for two, three, four months.

3 MR. JACKMAN: May I comment because it was
4 addressed as a comment we were going to make. I think some
5 of the issue here is on timing and how quickly this could be
6 implemented, and we are confident that we could work to
7 accelerate our proposal especially with the cooperation and
8 input of the agency on this, and we think that would be
9 beneficial, so we think we could accelerate that from the
10 date we even talked about by the end of this year and make
11 it even sooner, and that might address some of the question
12 of whether or not you need an intermediate step.

13 The other thing is in discussing the algorithm,
14 the intermediate steps, we have to be aware that if those
15 are implemented, we could be seeing significant amounts of
16 quarantine effect. As it is constructed, we think that most
17 of our products would wind up in quarantine. That is going
18 to take quite a bit of time and have a major impact on
19 supply, so we do favor an up-front check all the time.

20 This is not new authority, but it is an enhanced
21 method of assuring compliance with GMP on viral inactivation
22 in the most important areas. We can accelerate it, we can
23 have a real impact on this issue here, and with cooperation
24 we think we can get this done. I don't think we really see
25 the need for an intermediate step.

1 DR. EPSTEIN: I would comment for Mr. Bablak, that
2 I would have put the timing the other way around, because I
3 think that we have created a very significant feature that
4 would expedite investigation and resolution by permitting
5 retesting of the unit or the donor and/or the pool by
6 current licensed tests and NAT as a strategy to avert the
7 need for quarantine and GMP investigation.

8 Perhaps it is worth showing the algorithm again to
9 point that out, because we do think that that is feasible
10 now, and we do know that the industry has the capability.
11 It is simply a question of showing FDA data on the
12 sensitivity and reproducibility of the NAT tests, and my
13 instinct in the matter is that it will take longer to
14 convince the agency that there is adequate up-front
15 investigation of deviations and resolution before lot
16 release, because the cases that we have dealt with have
17 simply taken many, many months to resolve. I mean some of
18 them are going on years, and they are still not resolved.

19 So, I have a little bit less confidence that that
20 is going to be in place in five or six months, although I
21 would be very pleased if that were true, but again, the
22 algorithm does provide for an alternate strategy to resolve
23 things rapidly, and I see that as quite feasible today.

24 DR. HOLLINGER: I just wanted to ask one question.
25 Jay, on the same one, from what Dr. Tabor said initially, we

1 are talking about HBV, HIV, and HCV, for which there are
2 tests available, and what you are saying I think as an
3 alternative is really if you had the donor there, you
4 actually could obviate all of this if you went back and
5 retested that donor with NAT or with other things, and found
6 them to be negative. Basically, then, you really don't have
7 to move forward for that particular situation.

8 Is that what I am hearing you say?

9 DR. EPSTEIN: I think that what is under
10 discussion here, and perhaps Dr. Tabor wants to just
11 highlight it, we are talking about point A in the algorithm
12 where there is concern about the product and you can avert
13 the comprehensive GMP evaluation if you have a validated NAT
14 for HBV, HCV, and HIV on the pool, and the original sample
15 or a fresh sample from the donor.

16 If you do not have an original sample, such as a
17 segment, and we accept the comment that these units and
18 their segments are traceable, that is, in fact, the norm in
19 the industry, if you don't have the segment, you can retest
20 the donor, but then you should do all the screening tests
21 also to look for seroconversion.

22 What we are saying is that if you can accomplish
23 that in 72 hours, you don't have to do the quarantine. If
24 you can't accomplish that in 72 hours, you do need to do the
25 quarantine, but you can still avert the comprehensive GMP

1 evaluation just by getting negative additional tests.

2 We think that that is a very important alternative
3 that mitigates the impact of otherwise calling for these
4 investigations, and again, I would agree with the comments
5 that have been made by the panel members, particularly Dr.
6 Fitzpatrick, that this is not in conflict with the parallel
7 strategy to put in place up-front GMP resolution of
8 deviations as a strategy to have the answers in place. I
9 think they are fully compatible.

10 That is the importance of what was highlighted as
11 Note A.

12 MR. BABLAK: I think there are two concerns with
13 that strategy. One, very rarely will we have the samples,
14 so then you would have to go back to the donor. If you are
15 able to get a sample from the donor, the time of doing that,
16 running the tests, and getting the results will most likely
17 fall outside of the 72 hours, and therefore you have the
18 quarantine which, from our point of view, is the most
19 difficult part of this whole process is putting products out
20 on the market on quarantine, quarantine products that are
21 in-house, those types of issues.

22 Obviously, we are all anticipating putting PCR
23 testing in place, and we are in the process of doing that
24 under the procedures that the FDA has asked us to do.
25 Trying to I think circumvent that and go forward with

1 testing either individual pools or individual donors on an
2 ad hoc basis is not as simple I think as is being proposed,
3 and certainly not as timely.

4 DR. JACKMAN: May I clarify something? I just
5 want to point out that only one manufacturer has HBV NAT
6 testing at this point, and the other manufacturer for the
7 other viruses, there are INDs that are in place, that are
8 applications, but we are not there yet, so we are not able
9 to do some of the parts that are envisioned in the
10 algorithm.

11 DR. HOLLINGER: Dr. Verter has been very patient
12 here and has a question and then I think we ought to move
13 into closing the public hearing the get into the questions,
14 so Dr. Verter.

15 DR. VERTER: Part of the training of a
16 statistician is to be patient. I am confused, so being
17 patient was probably good, but let me see if I can summarize
18 what I think I have heard and then I have a suggestion which
19 can be blown out of the water.

20 I think what I heard this morning is the
21 following. One, that the risk of transmission of HBV, HCV,
22 and HIV, since 1987, is essentially zero. There have been
23 no known cases in the United States.

24 DR. TABOR: Transmission by plasma derivatives
25 made in the United States.

1 DR. VERTER: Correct. Thank you.

2 This committee in the years that I have been on it
3 has struggled often with the issue of safety versus supply,
4 and almost unanimously, I think, we have come down on safety
5 with maybe one or two small exceptions, but we always worry
6 about supply, and whether that is or isn't an issue I can't
7 speak to because I am not in the business, and I don't know
8 how accurate any of the data or the suppositions made here
9 this morning are, but it is a concern and we can't ignore
10 it.

11 The other thing is based on Dr. Tabor' algorithm
12 and what we heard this morning, it seems to me that what the
13 industry is proposing to do, if fully implemented, and if
14 all the GMPs are adhered to completely, goes beyond the
15 algorithm. It actually kind of brings A up to the top and
16 says everything will be NAT tested.

17 DR. TABOR: No, I don't think you understood them
18 correctly. What they said was that by the end of the year
19 2000, everything would be NAT tested. The entire procedure,
20 A under the algorithm would not be in place until the end of
21 the year 2000. What they are proposing now is to do
22 procedure B on everything regardless of post-donation
23 information.

24 DR. VERTER: You are right, I forgot about the
25 2000, but other than that, I agree with that statement.

1 Then, we heard some presentations by the FDA about
2 which post-donation issues, or at least some distribution of
3 the reasons for post-donation notification of problems.

4 So, we are trying to balance risk and supply,
5 safety and supply. Why not investigate which of those
6 factors resulted in the--well, kind of the hierarchical
7 analysis, which of the factors resulted in the most recalls
8 or taking product off the market.

9 Maybe only three or four of those actually
10 resulted in having to take product off, so quarantine
11 everything based on any PDI, you know, probably doesn't seem
12 practical or realistic, well, maybe it shouldn't even be
13 considered.

14 So, my suggestion is to go back and look at all
15 those factors and see if you can narrow it down in the
16 interim, number one. Number two, I would strongly suggest
17 that the FDA and the industry and whoever else can get
18 involved do whatever they can to implement these testing
19 procedures earlier.

20 DR. TABOR: Well, first of all the procedure B,
21 which was discussed also in March, that is, the
22 comprehensive GMP evaluation, was an attempt to allow the
23 industry not to have to quarantine product.

24 Secondly, I think the process to get NAT testing
25 on the market is moving forward at such breakneck speed that

1 it could not possibly, even with special resources, it could
2 not possibly be going faster than it is in this country and
3 elsewhere.

4 Parenthetically, HBV is being done last because it
5 is expected to have the smallest benefit in terms of this
6 short a window period compare to the other viruses.

7 There is a question for the committee, as you
8 know, related to this, and before reading that, let me just
9 say that I think it is important for you to follow up on Dr.
10 Epstein's comment. If you do approve the algorithm, you
11 need to--perhaps without going one by one through all the
12 items in footnote i--at least decide whether that list is
13 going to impose an undue burden on the industry in the
14 supply.

15 I would like to also emphasize what Dr. Epstein
16 said, and that is that NAT testing, when it is in place and
17 licensed, will change the whole algorithm and that this is
18 an interim measure.

19 DR. HOLLINGER: Before we go on here, is there any
20 other further comment from the public regarding this? If
21 not, I am going to close the public hearing.

22 MR. BABLAK: Could I make one last statement
23 summarizing?

24 DR. HOLLINGER: Yes, please.

25 MR. BABLAK: I think Dr. Epstein has sort of

1 focused you on what he thinks are important. I would like
2 to at least give my two cents on that.

3 First of all, I think while the number of PDI
4 reports, there are a lot of them, and they end up affecting
5 all product lots, the actual number of window period units,
6 which is really what we are concerned about, is exceedingly
7 small, so you are using, if I can, the shotgun approach to
8 try and determine whether or not you can look for a
9 particular lot that is released going backwards instead of
10 forwards.

11 I think that has been done in the past has been
12 for PDI reports, they haven't been seen to be of a
13 significant risk that we would have to go in and do
14 something once the unit has been pooled, and we are saying
15 for the interim, for the next several months, until we get
16 this GMP review, we can continue on that path based on the
17 safety record that we have had with these products since
18 1987, and then going forward we will enhance what is already
19 being done to add an additional margin of safety to these
20 products which are already very safe.

21 I think I would like to just leave you with that
22 statement.

23 DR. HOLLINGER: Thank you, Mr. Bablak, appreciate
24 that.

25 We are going to close the open public hearing.

1 Go ahead, Dr. Buchholz.

2 DR. BUCHHOLZ: As I listen to this conversation, i
3 am getting more and more confused here. It seems to me
4 there are two separate issues that are being discussed. One
5 relates to NAT testing, which is in the process, but not yet
6 there, and the second relates to documentation of validation
7 of good manufacturing practices, and it seems to me we are
8 blurring NAT testing and documentation of good manufacturing
9 processes into this six-month window.

10 FDA, I have heard it said a couple of times this
11 morning that the current manufacturing processes are safe
12 and, in fact, are very robust, have excess capacity to
13 inactivate, so I think it is important for us to understand
14 whether we are talking about validation of GMP at the
15 manufacturer level or if we are talking about implementation
16 of NAT.

17 My presumption is that one of these, the
18 validation of good manufacturing process could proceed as a
19 significantly more rapid pace than implementation of NAT
20 testing. If, in fact, there is general agreement that the
21 current manufacturing processes, if followed, are more than
22 adequate to inactivate the viruses of concern, it would seem
23 to me that the industry proposal might be a very valid one
24 and especially enhance the safety in that all lots, not just
25 one that happens to come up for examination, are questioned.

1 **Committee Discussion**

2 DR. HOLLINGER: Thank you.

3 Any other comments? Maybe we should put up the
4 question what you want us to deal with here, and then we can
5 ask some more questions at that point, at least maybe see
6 the question.

7 DR. TABOR: The question is very straightforward.
8 It really just asks you whether the algorithm is okay. It
9 is actually the same as Question No. 2 from the March
10 meeting. I would actually like to ask Dr. Hollinger if he
11 could officially designate post-donation information as the
12 wording of choice in place of inadvertent contamination in
13 the question.

14 For post-donation information regarding plasma in
15 which the contaminating unit was found to have come from a
16 donor who, despite having answered in the negative all
17 questions about risk factors for infectious disease and to
18 have had negative assays for HBV, HCV, and HIV at the time
19 of the donations, was later found to have answered the donor
20 questionnaire incorrectly or otherwise to be at risk, does
21 the committee agree that the algorithm provides suitable
22 responses for FDA to take?

23 DR. HOLLINGER: There have been some very good
24 comments here, I think, about what is at stake in this. On
25 the one hand, where there should be at last an evaluation by

1 the FDA of what is going on or whether there should be GMP
2 in place in the first place as validation, I think the key
3 issue is what has been mentioned. We do have a safe
4 product. We should not ignore that issue.

5 The question is to make sure that there is no
6 breaks, I guess, in GMP down the line because if the product
7 is prepared in the proper way, then, we shouldn't have to
8 worry about it. I mean there is nothing to worry about.

9 The issue is clearly, as I think Dr. Epstein has
10 mentioned, is whether or not one can be certain under these
11 circumstances that you don't have some breakdown which would
12 allow a unit to be contaminated and transmit.

13 Dr. Nelson.

14 DR. NELSON: We have been told by the FDA that
15 there have been breaks in GMP. The issue that I am
16 interested in is that there is a lot of donor screening, and
17 there is a huge list of possible markers for the window
18 period. Most of them vary, still quite unlikely that the
19 person is in the window period. So, I don't think the
20 system has been really put to a good test.

21 I don't have a good sense as to what have been
22 the breaks in good manufacturing process and how risky have
23 they been, and how frequently does a really high risk break
24 occur. If some really high risk problems have occurred in
25 retrospect, that were only identified because of a problem

1 donor, then, you know, there is still a theoretical problem.

2 What I am getting at is I don't think the fact
3 that we haven't had transmissions, although probably when
4 the huge pools, there probably have been donors in the
5 window period, yet, I am not thoroughly convinced that the
6 system has really been highly tested, you know, that we
7 would have detected transmissions for sure.

8 But if they are all minor breaks, I mean GMP is
9 very complicated. It is a summation of a complex series of
10 procedures. I don't understand what the level of problems
11 with GMP have been that have been discovered so far.

12 DR. HOLLINGER: Maybe on that same issue about the
13 risk, and so on, of the blood, maybe we should just discuss
14 just for a minute the risk factors that they pointed out
15 here. I think Dr. Tabor wanted you to look at that list
16 under I, Section I, to see if these are the ones that should
17 be used in terms of those which place the product at
18 greatest risk, and if anyone has any comments about those.

19 Dr. Boyle.

20 DR. BOYLE: I just have a point of clarification
21 because part of what has been discussed here is timing, that
22 the industry says NAT will be in effect by the year 2000 or
23 sometime in the year 2000. What I am not clear on is if we
24 go ahead and vote in favor of this today, the FDA would
25 still have to go through a Notice of Proposed Rulemaking or

1 Advanced Notice of Proposed Rulemaking, and my question is
2 if we today said this is a great idea, go for it, how long
3 would it take before this was actually implemented?

4 DR. HOLLINGER: Dr. Tabor.

5 DR. TABOR: If you approve this today, we will
6 begin doing it today. It is essentially a codification of
7 what--it is not that far from what we are doing anyway. We
8 do not propose to issue a Notice of Availability, and so
9 forth, initially. We are going to wait until the NAT tests
10 are approved because the algorithm will have to be changed
11 completely at that time.

12 The same goes for the algorithm that you approved
13 at the last meeting. So, these will be for FDA use.
14 Whether they can be made available stamped Draft or not, I
15 don't know, but so far they have generally not been.

16 DR. KAGAN: Is there an issue of whether or not we
17 are considering whether an algorithm is needed before we
18 discuss the contents of such an algorithm, or is there an
19 algorithm in place already, and it's just a question of do
20 we want to modify that algorithm? I am unclear on that.

21 DR. HOLLINGER: It is my impression they are
22 asking about the algorithm that was presented here under the
23 risk factor plasma primarily, and then I think probably they
24 could ask about what are some of the issues within the
25 algorithm.

1 DR. KAGAN: So, there is currently no such
2 algorithm in place, is that correct?

3 DR. EPSTEIN: Well, FDA has been involved with
4 this set of evaluations since the 1980s. We have done most
5 of the investigations or mandated them ad hoc. We have
6 developed in the past some matrices that were used as
7 guidance for reviewers, and those were presented at previous
8 meetings of the BPAC.

9 There was a sense that we needed to move from that
10 ill-defined position to codifying our current thinking on
11 the subject, and that is what led to the development of
12 algorithms which have been vetted publicly because one of
13 the complaints of the industry has been that there was lack
14 of clarity what FDA's expectations were for further
15 investigation or mitigating circumstances or the triggers,
16 et cetera.

17 So, it is not that there has been no effort to
18 codify internally what we are doing. It is just that we
19 have moved from some internal exercise to having a public
20 discussion about what should be the expectation, what should
21 be the trigger, and what should be the mechanism of
22 resolution.

23 So, I would say that again we have presented in
24 the past, and perhaps you weren't on the committee at the
25 time, the current state of play as it existed within the

1 agency, and what has changed is that we have elevated it to
2 the level of public debate and advice from the committee,
3 and that is why you are looking at algorithms.

4 DR. HOLLINGER: Dr. Verter.

5 DR. VERTER: I know I am not going to get the data
6 today, but at some point it would seem to me that--well,
7 that is not being facetious, I just know that it hasn't been
8 done probably--but Ms. O'Callaghan's report I think to me is
9 one of the more important things in my reasoning, and that
10 is, in Fiscal Year '98, you reported 3,200 and some-odd
11 PDIs.

12 Of those 3,200, I just kind of looked at the
13 distribution pretty quickly, and 60 percent are due to three
14 things: the needle category, I.V. drug use, and who is a
15 sex partner. What I don't know from here is of those 3,200
16 or those 60 percent, how many resulted in destruction of
17 units or recall of units or sending it out to a user and
18 saying, "Quick, get to your doctor, there is a big problem,"
19 was it zero, was it 10 percent, was it 100 percent?

20 The answer to that question to me personally would
21 sway how I vote on something like this. In light of not
22 having that, you know, i am pretty sure I know how I am
23 going to vote, but that is a key element it seems to me.

24 DR. EPSTEIN: Historically, there have been no
25 plasma derivative withdrawals on the basis of these risk

1 factors. That is what Mr. Masiello was stating a little bit
2 earlier.

3 One of the questions here is whether there should
4 be. With respect to individual unit recalls, however, there
5 have been many, but that is not really the point of
6 contention here. I mean no one is arguing that we shouldn't
7 retrieve and destroy unpooled units, whether for further
8 manufacturing or for transfusion.

9 So, really, the issue does focus on implications
10 for plasma derivatives.

11 DR. VERTER: Maybe I didn't state it right, Dr.
12 Epstein. What I am trying to get at is--and I think I know
13 the answer based on the data you and others have provided--
14 whether anything got out there because of this, from the FDA
15 perspective, I guess, lack of a complete algorithm that
16 affected any individual adversely.

17 DR. EPSTEIN: Well, none that we know, but you get
18 into the question of how adequate is surveillance. I think
19 that we can state on statistical grounds that there must
20 have been derivatives distributed that contained units that
21 were fractionated, that were obtained from persons who get
22 post-donation information on risk history.

23 That must be true, and it has been true for years.
24 There are no validated reports of transmission of hepatitis
25 B or C or HIV from any plasma derivative with the exception

1 of the Gamma Gard incident, which had unrelated causes.

2 So, I think it is fair to say that there are no
3 validated reports of transmission in the face of the fact
4 that such unsuitable units must have been fractionated over
5 the years.

6 DR. HOLLINGER: Also, Jay, in addition to the fact
7 that there hasn't been any more GMP validation requirements
8 up to this point other than what is being currently done.

9 DR. EPSTEIN: The GMP requirements have not
10 changed, but the agency has become aware of breaches of GMP
11 which do lie in the domain of either validation of the
12 purification or viral clearance and inactivation steps or in
13 the adequacy of investigation of deviations in
14 manufacturing, and it is those observations that have driven
15 this concern, and I would be inclined to agree with Mr.
16 Bablak, that if we were all assured that there was complete
17 and adequate resolution of manufacturing deviations against
18 a background of full validation of the processes, that this
19 problem would go away. It is just that it is my contention
20 that we are not there yet.

21 DR. HOLLINGER: Dr. Khabbaz.

22 DR. KHABBAZ: In looking back and forth, I am
23 looking at this algorithm, and I am struck that despite all
24 the complexities, it is quite simple and it really relies on
25 comprehensive GMP evaluation. If you go down A, and you

1 have a sample and you can test, then, the test is positive.
2 Then, you go to B, and there is a comprehensive GMP
3 evaluation is adequate, then, the pool or the product is
4 released.

5 So, basically, this algorithm and the proposal are
6 one and the same. What this does is require a fractionator
7 to send reports to FDA of the GMP evaluation for those pools
8 where you have a risk factor. So, it is kind of a first
9 step towards No. 2. I just wanted to comment on that.

10 DR. EPSTEIN: I think the debate is over the up-
11 front quarantine. What the industry is saying is that if
12 the triggers are as broad as proposed, that a very large
13 number of product lots would become subject to quarantine,
14 and I think that that is a legitimate observation by the
15 industry and very important for the committee to focus on.

16 DR. KHABBAZ: But if you had a comprehensive GMP
17 evaluation ahead of time, and that was sent to FDA, and it
18 clears a product, and quarantine is really limited. Would
19 you have to quarantine if you can do it within 24 hours?

20 DR. EPSTEIN: Again, we asked the committee that
21 question at a previous meeting, and I don't remember if it
22 was September or March, whether an adequate GMP status in
23 all manufacturing steps which could impact on product safety
24 could obviate a recall of the product or withdrawal of the
25 product, and FDA's proposed view was yes, it should, and the

1 committee concurred.

2 What we are really just debating is when and how
3 that happens

4 DR. HOLLINGER: Dr. Stroncek.

5 DR. STRONCEK: I think this protocol is
6 straightforward and clear. I think that it is realistic to
7 expect the FDA to confirm that cGMP was done. There is just
8 no way that they cannot do that. It is like saying a center
9 swears to abide by all the rules and they won't be inspected
10 annually.

11 Concerning what the industry, about this pooling
12 quarantine issue, they have not been very clear with what
13 they wanted, and I would recommend the committee vote in
14 favor of this protocol and get on with it, and if there is a
15 problem, they can come back to us.

16 DR. HOLLINGER: Dr. Fitzpatrick.

17 DR. FITZPATRICK: I just need to ask two points
18 for clarification. On A, when we talk about a validated
19 NAT, does that mean that there is the possibility of using a
20 non-IND-approved NAT?

21 The other is, is the FDA going to go back through
22 the IND approved NATs now because there is a least one that
23 I know of that prohibits retesting of the pool?

24 DR. HOLLINGER: Does anybody from FDA want to
25 respond to that? Dr. Tabor.

1 DR. TABOR: I think we are going to get into an
2 issue of semantics unfortunately, because really, none of
3 the NATs are fully validated yet because they are not
4 licensed.

5 I think what we are talking about is an NAT for
6 which the information filed in the IND satisfies FDA as to
7 its sensitivity, specificity, and reproducibility.

8 DR. FITZPATRICK: Wouldn't it be simpler just to
9 say IND approved?

10 DR. TABOR: I am sorry, i can't hear you.

11 DR. FITZPATRICK: Wouldn't it be simpler to just
12 say IND approved?

13 DR. TABOR: Well, IND approved has too much
14 ambiguity. We can take out the word validated if you like
15 and say FDA sanctioned or--I mean the point being that you
16 can't just take a homebrew test that hasn't been seen by FDA
17 and test it. I don't know of a better word, but I agree
18 that there are weaknesses in the word validated.

19 DR. FITZPATRICK: Are you going to review current?

20 DR. TABOR: You can't ask a manufacturer to test
21 in conflict with the approved IND.

22 DR. FITZPATRICK: The FDA staff who reviewed the
23 INDs are very familiar at least with what has been submitted
24 to FDA regarding validation before the clinical trials of
25 the NAT tests begin. So, for us to obtain the answer to the

1 question is this an adequate NAT test to use for this
2 algorithm is quite easy.

3 DR. HOLLINGER: So, you could say either an NAT
4 done under IND or a licensed NAT.

5 DR. TABOR: Well, if there were a licensed NAT,
6 yes. I think that answer to your question is yes.

7 DR. HOLLINGER: Dr. Chamberland.

8 DR. CHAMBERLAND: A couple of things. There have
9 been several FDA comments, and I would concur that I think
10 there is a need for some interim strategy or rearticulation
11 of what currently is being done, but I am sort of following
12 along the same path that Dr. Verter is, which is if I have
13 interpreted Ms. O'Callaghan's data correctly, in Fiscal Year
14 '98, there were 618 instances in which post-donation
15 information that is in sync with footnote i were reported,
16 so that we overnight would be going from a situation in
17 which industry is moving from zero to, in a given year,
18 potentially 600 instances in which they have to proceed
19 along this algorithm of which the largest impact seems to be
20 quarantine.

21 We have heard from industry that in their view at
22 least at this point in time, not a lot of these would be
23 resolvable in 72 hours. I am trying to balance that also
24 with what I view the items in footnote i are again, as has
25 been stated previously, are very insensitive predictors of

1 window period.

2 So, I am struggling with is there any sort of
3 compromise along the way in which I think FDA is wanting to
4 move from just acting on test data, as Dr. Epstein
5 explained, the current triggers are really based on test
6 information, you know, a donor testing positive or a pool
7 testing positive to acting on post-donation information
8 reports, which again, as BPAC members have really had some
9 concerns that that is not currently really being done, but I
10 have to say I have a concern of moving so quickly from
11 nothing to a fairly comprehensive albeit insensitive list of
12 triggers and worrying where we might be with respect to
13 product supply and keeping that flowing, because we know for
14 certain products at least we are already in a shortage
15 situation.

16 I don't know if the committee feels there is any
17 point discussing how this current list of triggers might be
18 modified, if that is even doable.

19 DR. HOLLINGER: Dr. Mitchell.

20 DR. MITCHELL: I, in fact, do like the list of
21 triggers that are listed there, but I understand and I agree
22 that there has to be some kind of an implementation period,
23 and I would expect that FDA would come up with some kind of
24 a date. If we are only talking about reassuring that GMP is
25 being followed correctly, it seems to me that that should be

1 able to be done in less than six months, and I hear industry
2 saying that it might be able to be done in less than six
3 months. However, I think it would be unreasonable to say
4 that by tomorrow that we would expect this to be done.

5 I think there has to be some kind of
6 implementation period, and I think that it should be up to
7 FDA to sort of judge what that should be.

8 The only thing that I would change, like I said,
9 in the algorithm, is I would adopt the industry's term of
10 under the first one, instead of destroying units, that the
11 units be removed from use for therapeutics.

12 DR. HOLLINGER: I think we will go ahead and vote
13 on the question, and then if there is issues that have to do
14 with the algorithm, if that is what is voted, then, we can
15 deal with those, but I think we first ought to find out if
16 everyone on the committee agrees with the question for the
17 committee, which is whether or not the algorithm provides
18 suitable responses for the FDA to take as such.

19 Dr. Tabor has already read the question. A yes
20 vote would mean that you agree that this algorithm should be
21 adopted, and then we can discuss about the algorithm itself,
22 and a no vote would be no, that you do not agree with that,
23 and people have to go back to the drawing board again.

24 Dr. Smallwood wants to indicate who is going to be
25 voting.

1 DR. SMALLWOOD: I would just like to clarify for
2 the voting process that our advisers, Drs. Chamberland and
3 Fitzpatrick, will not be voting. Neither will our non-
4 voting consumer and industry representatives.

5 DR. HOLLINGER: Dr. Boyle wanted a question. I
6 meant to call on him. I am sorry I didn't. So, this will
7 be the final.

8 DR. BOYLE: The only issue I have is in some
9 sense, the relationship of the two questions. I want to
10 sort of basically agree with Dr. Chamberland's comments.
11 Number one, we believe that the product is safe only because
12 good manufacturing practices are followed, if they aren't,
13 it is not going to stay a safe product. So, that is very
14 important.

15 It is not good when people say that the data
16 doesn't exist to be able to verify whether good
17 manufacturing products have been done, and it is appropriate
18 to have as a trigger to investigate that, things that appear
19 to be greater risks.

20 On the other hand, if there are 600 and some of
21 these cases which may involve half of all the products
22 shipped, that 600, given the absence of NAT, these 600
23 immediately have to go through these good manufacturing
24 practice investigations. That is an average of two a day
25 across the industry.

1 So, if we go forward with voting in favor of it,
2 you know, my concern is we are running the risk of basically
3 shutting down availability or dramatically reducing it. On
4 the other hand, I think the basic algorithm is a good idea,
5 and if we went forward with, for instance, the category AIDS
6 related signs or symptoms, of which there were six reported
7 cases last year, or something on that order, then, you have
8 an opportunity to see how well it works before moving to the
9 next step.

10 If I were, as I am going to be asked to vote, if I
11 were asked to vote on a very limited scale where we were
12 taking one or two of these items and seeing how the process
13 worked, how long it took to do these things, what the burden
14 to the industry was, how many quarantines occurred, and then
15 had that reported at the next session, I could vote in favor
16 of that.

17 If, on the other hand, we are voting across the
18 board on implementing a process that basically could shut
19 down product availability or dramatically reduce it, then, I
20 would have to vote against it.

21 So, that is why the order of these two questions
22 becomes of some significance.

23 DR. HOLLINGER: The order of the algorithm versus?

24 DR. BOYLE: What is in the algorithm, what the
25 triggers are.

1 DR. HOLLINGER: I will give an issue, if anybody
2 has any comments about that where they think that is an
3 issue.

4 Dr. Stroncek.

5 DR. STRONCEK: It is my opinion it doesn't do any
6 good to have product out there that is not any good. I
7 would be appalled if half the products in the country didn't
8 meet good cGMP. I think that would be a disaster in itself.

9 DR. HOLLINGER: I am going to call for the
10 question.

11 All those in favor of the question, agree that the
12 algorithm provides suitable responses for the FDA to take as
13 such, please signify by raising your hand.

14 [Show of hands.]

15 DR. HOLLINGER: All those opposed?

16 [Show of hands.]

17 DR. HOLLINGER: Abstaining?

18 [One hand raised.]

19 DR. SMALLWOOD: According to my count, it doesn't
20 come out right, so I am going to need you to vote again.
21 We are one short.

22 DR. HOLLINGER: I voted yes. Sorry.

23 DR. SMALLWOOD: The Chairperson explained to me
24 that he is voting with the yes votes. All right.

25 The result of voting. There were 7 yes votes, 5

1 no votes, 1 abstention. There are 13 members here who are
2 eligible to vote.

3 DR. HOLLINGER: Dr. Buchholz, you were going to
4 comment how you--

5 DR. BUCHHOLZ: I would vote no.

6 DR. HOLLINGER: Ms. Knowles?

7 MS. KNOWLES: I am going to abstain.

8 DR. HOLLINGER: Are there any questions, then, in
9 regards to the algorithm then or the contents of the
10 algorithm? Yes, Dr. Verter.

11 DR. VERTER: I will just quickly reiterate what I
12 said before, and also comment again on what Dr. Chamberland
13 said. I think someone needs to go through the items in i
14 and rank them in some order, both in the numerical reports,
15 as well as what happened when they investigated those
16 reports.

17 I am concerned, as Dr. Boyle indicated, of the
18 balance between risk and supply. I mean this is a good
19 example of where we might have a problem.

20 DR. HOLLINGER: Dr. Ohene-Frempong.

21 DR. OHENE-FREMPONG: My concern was also with the
22 list. I imagine that the list was compiled based on some
23 scientific data or maybe the best suppositions that could be
24 made, but I see some inconsistencies in them, and unless
25 there is some full explanations for some of the broad

1 sweeps, it is hard for me to, for instance, see whether
2 there is a difference between a risk of incarcerated females
3 and female prisoners versus incarcerated men in male
4 prisons, and whether somebody incarcerated for contempt of
5 court in a minimum security prison is different from
6 somebody in the maximum security prison.

7 I think there are some issues and some brushes
8 have been cast very wide, and maybe there are data to
9 support them.

10 DR. TABOR: May I answer that question? The way
11 it was compiled was with the data presented by Sharon
12 O'Callaghan. A small committee of us went through that list
13 and tried to eliminate the things on the compliance list
14 that we thought were of minimal significance.

15 Obviously, we are willing to modify that list
16 further, and we welcome your suggestions. In answer to Dr.
17 Verter's comment, you said to rank them. Do you really mean
18 to rank them or do you mean to reduce the list to a smaller
19 list?

20 DR. VERTER: I meant to rank them based on data
21 which may not be possible to get. In other words, if you
22 could--I forget how many items--say there were 8 items, and
23 you could start by ranking them in the frequency that they
24 occurred, but more importantly it seems to me, what
25 percentage of those resulted--I mean was the result of every

1 one of those PDIs that something was brought back and
2 destroyed?

3 DR. TABOR: I am not the one to answer that, but
4 my question about the ranking is if we rank them, do you
5 want us to drop the ones that are low ranked?

6 DR. VERTER: My suggestion was that in the ranking
7 and the further investigation, if you could determine that
8 some of those PDIs resulted in no action, that it was not
9 worthwhile doing a recall, that those would be dropped, yes.

10 DR. HOLLINGER: I think it is important. This is
11 a very close vote here. Obviously, there is a lot of
12 concern about the algorithm and what it might mean both for
13 the industry and otherwise in a product that is fairly safe.

14 Does anyone want to respond? We know why the yes
15 votes are there, but does anyone want to respond who voted
16 no or abstained, because I think the FDA needs--I think they
17 have heard this anyway under comments, but I think they
18 probably need to find out what the real issues are here with
19 the other major group.

20 Yes, Dr. Nelson.

21 DR. NELSON: The reason I abstained was I was
22 confused. One of the things that I was confused about is
23 that I guess this algorithm for inadvertent contamination or
24 post-donation information has not been used, but there has
25 been used the test result, and I didn't see any data

1 presented about how the review of GMP practices on the test
2 results has affected the industry and how many units have
3 ended up being quarantined or not used.

4 Therefore, even though there are a lot of
5 instances, maybe half of the pools, I couldn't really tell
6 whether or not these could, in 90 percent, be resolved
7 within 72 hours with no problem or if two-thirds of them
8 would be quarantined for six months.

9 Therefore, I didn't have the data to project what
10 would be the impact of this even on the short term. I don't
11 know if there is any answer to that question. I mean since
12 it hasn't been used in this number before, you maybe don't
13 know, but there is some experience with the test results.

14 Can anybody enlighten me about this?

15 DR. EPSTEIN: Well, first of all, in terms of
16 frequency, it has only been a handful in any given year,
17 like two or three. Second of all, the resolutions of the
18 GMP concerns have ranged from resolving the matter of hours
19 to days to remain unresolved after years.

20 So, there has been no consistency. I would say
21 that it is also sorted out differently by product, that most
22 of the time when there has been potentially affected
23 albumin, for example, the validation records on heating in
24 the liquid state have been adequate, and there hasn't been a
25 problem, that with some of the more recently introduced

1 practices related to solvent detergent processing or column
2 purifications, there has been a wider spectrum as to the
3 adequacy of the validation data as it could bear on
4 interpreting the significance of the deviation, and it has
5 just been much harder.

6 So, there really has not been a consistent
7 experience. It has simply varied from manufacturer to
8 manufacturer, and product to product. But I would say that
9 the majority of cases have been resolved within weeks. It
10 has been rare to resolve it within the course of a day or
11 two, and there have been some outliers that have been
12 extreme.

13 MR. BAKER: Don Baker, Baxter Health Care. I
14 would like to give the committee a couple of pieces of
15 information that I think is relevant to some of the numbers
16 that we have heard.

17 With respect to the inadvertent contamination for
18 source plasma units, roughly two-thirds to 75 percent of the
19 proximal units are interdicted in the 60-day period. So, by
20 that I mean of that 610 that we heard thrown out, roughly
21 two-thirds to three-quarters of them, of the proximal unit
22 to the report, will be caught in our 60-day period. That is
23 our industry experience.

24 However, I should say of the remainder that aren't
25 caught, and of those ones that are caught, on average, a

1 source plasma donor gives something in the neighborhood of
2 10 to 14 units a year. So, of the nonproximal units, there
3 is a significant multiplier. So, the number doesn't come
4 out to 600 in terms of these post-donation information
5 reports, and I think that is what you have to understand.
6 That is what is driving the industry concern.

7 I also want to point out Baxter Health Care
8 manufactures for the American Red Cross, and I am sorry
9 there was no Red Cross spokesman here, but for those of you
10 that are looking at the numbers, you should, of course, keep
11 in mind that there is a difference in the volume of donation
12 by a source plasma donor versus recovered plasma donor,
13 i.e., it's about a quarter.

14 So, there are four times as many units roughly in
15 a liter of recovered plasma versus a liter of source plasma.
16 This algorithm will have a disproportionate effect on
17 products manufactured from recovered plasma in terms of the
18 number of PDI reports that are going to have to be reviewed,
19 and you saw that there was, I think 8,000-odd in the
20 recovered plasma side. The American Red Cross accounts for
21 about 50 percent of the plasma collected, so you can say
22 just for the American Red Cross manufactured product, there
23 is going to be some 4,000-odd PDI reports.

24 So, when you start thinking about the workload,
25 that is what is driving the industry concern.

1 DR. HOLLINGER: Dr. Tabor.

2 DR. TABOR: I think it is worth emphasizing we are
3 dealing with a low risk situation here. We are dealing with
4 units that are test-negative, donors who are in risk groups,
5 most of whom are not in the window period, and with products
6 that despite some of these problems that have occurred over
7 the years have not been known to transmit these viruses
8 since 1987.

9 But we are also dealing with a society that
10 expects zero risk from things made from blood, and I would
11 say that some of what we are doing today is driven by the
12 fear or anxiety that at some point, a window period donation
13 will get into a pool, and due to GMP failures, will infect a
14 large number of people, and then there will be a lot of fur
15 flying.

16 I think this concern was very vehemently raised at
17 previous BPAC meetings by BPAC members. If all GMPs could
18 be met, it would be a different situation.

19 DR. HOLLINGER: If there are no other comments, we
20 are behind, but I think it is important that these issues be
21 discussed. We had a lot of important issues this morning.

22 We are going take an hour break. We are going to
23 come back at 2 o'clock for the session this afternoon.

24 Thank you.

25 [Whereupon, at 1:00 p.m., the proceedings were

1 recessed, to be resumed at 2:00 p.m.]

AFTERNOON PROCEEDINGS

[2:00 p.m.]

DR. HOLLINGER: The first session this afternoon is on strategies for insuring compliance in the plasma fractionation industry.

Mr. Masiello, Director of the Office of Compliance and Biologics Quality is going to talk to us about it.

**II. Strategies for Insuring Compliance in the
Plasma Fractionation Industry**

Steven Masiello

MR. MASIELLO: Thank you.

[Slide.]

We have a little bit of a change of pace from this morning's discussion. The purpose of this particular presentation is really to give you some insights into how we are regulating the fractionation industry in terms of GMPs, and we are going to talk mostly about the injunctions and consent decrees that we have in place and what the elements of are.

There will be a few things before we get there.

[Slide.]

I would like to thank Larry Fenner for, first of all, creating or making up the slides and then for helping me out here today.

We are talking about inspectional frequency is one

1 of the things that is a manner in which you might provide
2 mechanisms for insuring the compliance with GMPs. Enhanced
3 training of investigators is another thing we will mention.
4 Communications, really talking about communications with the
5 industry, and post-inspectional issues, and that is when we
6 will really talk about the consent decrees.

7 [Slide.]

8 In terms of inspectional frequency, right now we
9 are conducting annual inspections. I put down here that
10 there is an exception for pulling back a little bit from the
11 frequency of the inspections if you have certain conditions
12 that exist.

13 The fact of the matter is we are really not at
14 that position at this time. I think we would be willing to
15 consider doing that, but certain conditions have to exist
16 first, namely, have NAI, which is no action indicated, or
17 VAI, which so voluntary action indicated conditions. The
18 VAI is a broader category, and you may have some flexibility
19 in there.

20 In terms of "as needed" basis, we certainly do
21 conduct inspections to follow up on complaints, recalls, and
22 to verify corrective actions under certain circumstances.

23 [Slide.]

24 In terms of investigator training, Dr. Lynch
25 covered a little bit this morning in terms of the specific

1 comprehensive training that some of our ORA investigators
2 undergo before they go out to the fractionation industry.

3 A few words of explanation. The Team Biologics,
4 that is a whole lecture in itself talking about Team
5 Biologics, but the Team Biologics is made up of core team
6 members and the cadre.

7 The core team are those individuals--and there are
8 about 12 to 15 ORA investigators that receive specific
9 training for each of the product areas that they will be
10 inspecting--fractionation is one of those, IVDs,
11 allergenics, and in October, the vaccines will be going over
12 to the Team Biologics investigators.

13 So, they receive this training and as Tom
14 mentioned, there are product specialists, which are those
15 CBER personnel that have expertise in the product, in the
16 process, that will either accompany the Team Biologics
17 inspectors, or be available in some other capacity, either
18 by phone or however.

19 So, that is the core team. The blood cadre are
20 the inspectors, the ORA investigators who do the blood and
21 plasma inspections. At one time I believe there were
22 somewhere in the area of 200-plus individuals who at one
23 point or another during the year or during the cycle might
24 do an inspection of a blood or plasma center.

25 When Team Biologics was developed, the idea was to

1 reduce that number, so that we could enhance consistency in
2 terms of inspections. I believe now--I don't know whether
3 there is anyone from ORA here or not--there are
4 approximately 125 FDA investigators that will be doing blood
5 and plasma.

6 I think that is really all I need to say on the
7 investigator training.

8 [Slide.]

9 Communications. I will just mention very briefly
10 that meetings, such as this, provide hopefully opportunities
11 to communicate on key and important issues, public meetings,
12 such as the one noted that dealt with plasma product
13 withdrawals and recalls, and liaison meetings that occur
14 with industry are ways that we can and do communicate
15 current issues.

16 [Slide.]

17 In terms of post-inspectional issues, I really
18 want to talk about just two here, and that is warning
19 letters and consent decrees. Just to briefly mention the
20 warning letters, in the next chart we will have a view of
21 the warning letters that have been issued over the last
22 couple of years.

23 [Slide.]

24 What I want to be careful here is not to mislead
25 you as data can sometimes do in terms of the slope of this

1 change. There were, of course, a peak number of warning
2 letters that were issued in '97 following some fairly
3 intensive inspections conducted in '96 and '97, and there
4 are, as you can see, fewer warning letters that have been
5 issued since that time.

6 This is not meant to imply that the industry is
7 fully complying with GMPs, but I think you can look at this
8 and see that there has been some progress and that we are
9 not in the same situation perhaps that we were in, in Fiscal
10 Year '97.

11 [Slide.]

12 In terms of the consent decrees, it is certainly
13 not any secret that there are two primary manufacturers in
14 the fractionation area that are under consent decree at the
15 moment, Centeon and Alpha, and just to mention that Parkdale
16 Pharmaceuticals, which is owned by King Pharmaceuticals, is
17 also under injunction that was initially a Warner
18 Lambert/Parke-Davis facility, that also might in the future
19 make blood products.

20 [Slide.]

21 What Larry has done for us here is identified a
22 number of the elements of the consent decrees that would be
23 useful for you to see what kinds of things are being
24 addressed in the consent decrees.

25 On top on that list, of course, is the reworking,

1 reprocessing of returned and rejected products, and this is
2 an area that over the years there have been some different
3 interpretations that have taken place, and consistent with
4 current GMP, these are being addressed and identified for
5 follow-up.

6 We also as part of the consent decree, in all
7 consent decrees, there is an element for expert consultants,
8 and really the purpose here is to assure that manufacturers
9 develop the systems in conjunction with experts in the area
10 that will not therefore be reliant on FDA to find problems.
11 The consultants working with the industry will develop the
12 audit systems, quality assurance programs, what have you,
13 where they will have the ability, the capacity to find and
14 correct problems independently.

15 Management and personnel controls, these are areas
16 where the agency always stresses the importance of having
17 strong management and control over the various kinds of
18 personnel, how they operate, and the type of people that are
19 in place, whether they are appropriately trained for that
20 position.

21 Quality assurance and quality control programs.
22 We certainly always insist on a consent decree that the firm
23 develop and have in place quality assurance and quality
24 control programs.

25 [Slide.]

1 As I mentioned, training is obviously an important
2 element in all consent decrees, and there is a high degree
3 of emphasis in that area. Internal audits, again, it is
4 important for us to have that level of assurance that the
5 company, as it works through its problems, is also
6 developing sufficient expertise, so that they will be able
7 to identify the problems, and not rely on FDA.

8 Production and process controls. It is obviously
9 important to have these in place, laboratory controls, and
10 building and facilities have to be adequate, and these areas
11 have to be addressed, and there may be sufficient attention
12 on that, and a fair sum of money that would be spent.

13 [Slide.]

14 Equipment, of course, you have to have the
15 appropriate equipment in place, records and reports. I
16 think there is a great deal of emphasis on assuring that the
17 manufacturers have in place adequate records and reports, so
18 that they can make the appropriate decisions.

19 I think this morning there was some discussion
20 about out of spec findings, inadequate investigations, and I
21 think it is in that area and others that we would expect to
22 see the records complete, so that they can make adequate
23 decisions and that we can verify those.

24 Recall procedures have to be in place at the
25 manufacturer, so that should there be a need, those can be

1 implemented, and post-compliance audits and reports.
2 Ordinarily, what we are asking for from firms is periodic
3 reports to the FDA, so that we can measure their progress in
4 certain areas.

5 [Slide.]

6 In addition, there are certainly specific
7 procedures in most of the consent decrees that deal with
8 issues where there may have to be a revision or a
9 modification of any reports, so if we get reports and in
10 conjunction with either inspectional information or other
11 reports, find that these are inadequate for us to assure
12 compliance, then, these can be modified in some way to
13 assure that.

14 At anytime, additional information can be
15 requested and reports requested for submission to the
16 agency, and, of course, if there are findings where it is
17 apparent that a change has been made or changes need to be
18 made or an existing condition is not acceptable, then, you
19 may have to require the submission of supplements to the
20 license.

21 [Slide.]

22 In addition, the agency can, and does, often look
23 at the facility and make a determination that there are
24 sufficient problems that manufacturing needs to cease or
25 processing or any aspect of manufacturing may come into

1 question, and the agency has the power and the authority to
2 require that the manufacturing or any of those areas cease.

3 That is something that perhaps doesn't come across
4 clearly if you look at a consent decree and you see the
5 titles of management controls and records, and things like
6 that. This is a very important and powerful tool for the
7 agency in terms of bringing firms into compliance.

8 The agency can also order specific recalls under
9 the consent decree, so if there is evidence that points to
10 problems with a particular lot or lots, the agency can order
11 a recall.

12 Now, of course, all of these issues can be
13 challenged by the firm in court, so if there is a belief
14 that the agency is acting arbitrarily or capriciously, the
15 firm can go to the court and request relief from that, but
16 that has not occurred yet.

17 Lastly, the agency essentially can take any
18 action, can request or order any other corrective action
19 that is appropriate to the situation.

20 [Slide.]

21 Just a listing pretty much of the kinds of things
22 that, in fact, we have done over the last couple of years.
23 You probably are well aware that the agency has ordered
24 manufacturers to cease manufacture and distribution of
25 products based on inspectional findings, based on noted

1 examples of contamination, and things of that sort.

2 We have ordered recall of products,
3 requalification of products via inspections, and additional
4 testing, so that if there is a situation where there is a
5 need to essentially enhance our level of assurance that
6 these products are safe, we can ask or require that
7 additional testing be done.

8 FDA has also requested the submission of data for
9 us to review prior to the release. This, of course, is
10 above and beyond lot release provisions where there is a set
11 protocol for what comes into the agency and what the agency
12 would do. There may be instances where we need to go beyond
13 that.

14 [Slide.]

15 We have certainly had issues of validation and
16 requested that firms cease reprocessing until the system
17 that is currently in place has been validated, and a lot of
18 that, as you can imagine, goes back a number of years.

19 There is the request for third-party reports in
20 terms of lot release issues or lot release requests, so that
21 in addition to the specific testing that was done, these
22 particular circumstances, there was a review conducted by a
23 third party and then that review was submitted to the agency
24 with the lot release.

25 Again, that goes back to the concept of the firm

1 developing the procedures and the capability of making these
2 kinds of reports and findings without the FDA having to go
3 out on site and conduct inspections.

4 There have been requests for submission of things
5 such as environmental reports and requests for increased
6 frequency of media fills.

7 [Slide.]

8 I bring this up on insofar as it impacts on the
9 fractionator industry. There are of course, as we mentioned
10 this morning, the suppliers of plasma, source plasma and
11 recovery plasma. Insofar as a fractionator uses material
12 from the American Red Cross or Blood Systems or New York
13 Blood Center, there are things that are done in the consent
14 decrees that exist with these firms that have what we
15 believe is a positive impact.

16 [Slide.]

17 There is a similarity here. You can see that in
18 the consent decrees with the blood banks, we have
19 requirements for management control, quality assurance,
20 quality control programs.

21 A few different items here that are unique to the
22 supply side, or course, are the computer systems and the
23 databases. If there is a problem with deferrals and things
24 of that sort, they are going to usually be associated with
25 the databases.

1 Records management, part of that, and, of course,
2 making sure that establishments have the systems in place
3 for reporting errors and accidents, adverse reactions,
4 lookback cases, et cetera.

5 [Slide.]

6 Also, we have again the need for internal audit
7 systems, employee training and education, donor suitability
8 determinations, and the testing areas. There is a great
9 deal of time that is spent on assuring that these particular
10 establishments have these systems in place and have the
11 capability of auditing their own systems and making sure
12 that they carry through with that.

13 There are in these particular consent decrees also
14 provisions similar to the ones in the fractionation consent
15 decrees which really allow the government to pursue whatever
16 else really needs to be done if there is a finding of
17 problems in terms of GMPs or testing, what have you.

18 I believe that is it. If there are any questions,
19 I would be glad to address them.

20 DR. HOLLINGER: Questions? Yes, Dr. Mitchell.

21 DR. MITCHELL: You had mentioned OSRs, I believe.

22 MR. MASIELLO: OSRs. Tell me what I meant by
23 OSRs.

24 DR. MITCHELL: You said that the groups that were
25 doing the inspections--maybe I had the initials wrong.

1 MR. MASIELLO: There is the core group--the ORA,
2 sorry about that, the Office of Regional Affairs. These are
3 the field investigators.

4 DR. MITCHELL: Also, on the orders issued, you had
5 said something about monthly environmental reports and
6 increased frequency of media fills. What does that mean?

7 MR. MASIELLO: In terms of the manufacturing
8 process, the manufacturers generally have in place
9 procedures for monitoring the environment.

10 DR. MITCHELL: The outside environment? I don't
11 understand.

12 MR. MASIELLO: No, the manufacturing environment,
13 I am sorry. Those areas within the manufacturing suite
14 where it makes a difference what the quality of the air is,
15 so that it prevents contamination, and so we may ask for
16 additional monitoring or media fills, which are a way in
17 which a manufacturer essentially tests their aseptic
18 processing abilities to make sure that they can manufacture
19 material in an aseptic way. I am sure Dr. Lynch or others
20 can say that so much better.

21 DR. HOLLINGER: Dr. Koerper.

22 DR. KOERPER: What are NAI and VAI?

23 MR. MASIELLO: The NAI is No Action Indicated and
24 the VAI is the Voluntary Action indicated, so that if a firm
25 has had an inspection that we classified as NAI,

1 essentially, that is either a no 483 or very minimal 483
2 that has been issued to them, the list of observations.

3 The VAI is a little broader. You may have issues
4 that come up during the inspection that a firm can address
5 and fix without a need for the agency to take any action,
6 and that is a voluntary action.

7 DR. KOERPER: So, if they have two of these
8 consecutive inspections, you then will only go to see them
9 every other year?

10 MR. MASIELLO: Well, there is under consideration
11 right now a decision that we need to--again, we have 12 to
12 15 Team Biologics core investigators, and they are doing all
13 of the biologics, fractionator work, IVD work, allergenics,
14 and they will be doing the vaccines.

15 So, the resources are somewhat limited, and what
16 we will do is insofar as we can do that, we will cut back in
17 areas that have demonstrated ability to be in compliance.
18 We are really not there yet, but hopefully, we are
19 approaching that.

20 DR. KAGAN: In this particular industry, is there
21 any other monitor of compliance other than FDA? Are there
22 any organizational accrediting bodies, voluntary accrediting
23 bodies, or are you the only level of monitoring for this
24 particular type of industry?

25 MR. MASIELLO: Well, certainly we are the last

1 ones. Of course, all the firms that I am aware of have
2 internal procedures, and I think the vast majority, if not
3 all, have outside consultants that conduct audits of their
4 facilities. I would defer to someone in the industry to
5 answer if there are other bodies that are inspecting.

6 Certainly, there might be states or other
7 government agencies. I am not hearing that there are others
8 out there.

9 DR. LYNCH: I am not sure that you would consider
10 it relevant, but many of these firms have licenses in other
11 countries and inspectional authorities from Europe, for
12 example, will also be performing similar, but not identical
13 investigations.

14 DR. HOLLINGER: Do they share information? Let's
15 say they inspected and they found something wrong, do they
16 share it with you?

17 DR. LYNCH: It may not be routine, but in
18 exceptional circumstances, yes, we communicate with each
19 other.

20 MS. WAYS: This is Judy Ways from Centeon.
21 Actually, Dr. Lynch said exactly what I was going to say.
22 Many of us have European licenses, and we are inspected by
23 the regulatory authorities of those countries, for example,
24 the Paul Erhlich Institute in Germany, Medicine and Control
25 Agency in the UK.

1 DR. HOLLINGER: Thank you.

2 Dr. Mitchell.

3 DR. MITCHELL: Can you tell me what percentage of
4 the products from the fractionators is currently under
5 consent decree?

6 MR. MASIELLO: I am sorry, I missed the first
7 part.

8 DR. MITCHELL: I am trying to figure out what
9 percentage of the fractionators or what percentage of the
10 market is currently under consent decree.

11 MR. MASIELLO: Domestically, what is generally
12 viewed as the Big 4, I guess there are two, so that would be
13 about 50 percent of the larger facilities.

14 DR. HOLLINGER: I am sorry, there are four
15 fractionators?

16 MR. MASIELLO: No, four large, considered
17 generally large.

18 DR. HOLLINGER: How many fractionators would come
19 under all this--

20 MR. MASIELLO: There is somewhere in the area of
21 24.

22 DR. HOLLINGER: Twenty-four.

23 MR. MASIELLO: Yes, because I think some have
24 discontinued manufacture, but internationally, 24 or so.

25 DR. HOLLINGER: Thank you.

1 There were two individuals who asked to speak on
2 this topic in this session, and I will call on them to do so
3 now under the Open Public Hearing. The first is Jan
4 Hamilton from the Hemophilia Foundation.

5 **Open Public Hearing**

6 MS. HAMILTON: I am Jan Hamilton with the
7 Hemophilia Federation of America. I want to thank you once
8 again for allowing me to address you with some of our
9 concerns regarding consent decrees.

10 We would like to pose a few questions about them
11 and their effects upon industry, product shortages, and
12 other results.

13 First of all, it is our understanding, and now we
14 know for sure, that there are a set of standards generally
15 referred to as general manufacturing practices and that each
16 manufacturer has access to these standards.

17 We would like to know how they are regulated, are
18 they viewed on a regular basis similar to the way hospitals
19 go through JAACO accreditation? I understand that it is
20 annually by the FDA. Is the only monitoring done by the
21 FDA? Somebody else just asked that question. If so, is it
22 on a regular basis or just when a problem occurs?

23 We appreciate the plethora of information that we
24 have received this morning regarding GMP, but we had a few
25 additional questions, many of which were just answered by

1 Mr. Masiello, but I will go ahead and review them anyway.

2 We wanted to know who conducts the GMP review, how
3 long does it take to do an entire facility, and is
4 everything in the facility covered, such as records and
5 procedures and equipment and products, the whole nine yards?

6 What is the structure of such a review, is it
7 conducted by just one person or a multidisciplinary team or
8 what is the makeup of it, and what are the checks and
9 balances?

10 Can consumer groups get results of these reviews?

11 We are now getting supply reports through IPPIA.
12 We would also like to get the GMP reports.

13 In the same light, if each of the manufacturers
14 have those standards, and they know they must adhere to them
15 or face a consent decree, which must prove to be costly for
16 everyone, then, to use a borrowed phrase, this is a
17 puzzlement.

18 Why would a manufacturer slip out of compliance?
19 Why wouldn't good working quality improvement, quality
20 assurance practices prevent a situation that would result in
21 a consent decree?

22 In the last couple of years, as we saw in the
23 chart a few minutes ago, there have been several consent
24 decrees issues. In a couple of situations, the companies
25 voluntarily closed facilities to correct a problem.

1 We hear about the consent decrees being issued.
2 Perhaps there are others who, like our group, would like to
3 see reports on the correction along with what plans have
4 been made to prevent recurrences of these situations.

5 Are there levels of severity of consent decrees?
6 What is the cutoff between a consent decree and suspension
7 or removal of licensure? What if the reasons for the
8 issuance of a consent decree are of a repetitive nature,
9 same cause, same company?

10 If there is a suspension or removal of licensure,
11 then, is there a formula to determine the length of that
12 suspension or removal, and what must be done to accomplish
13 reinstatement?

14 We have been observing shortages in supply over
15 the last several months, and how much of these shortages
16 result from plants being under consent decrees versus other
17 problems?

18 We have been pleased to see the increase of
19 information from industry regarding recalls and/or
20 withdrawals through the IPPIA and the establishment and
21 operation of the patient notification system through IPPIA
22 and the National Notification Center.

23 In addition, we appreciate the efforts of IPPIA as
24 presented this morning by Jason Bablak, but we have some
25 concerns similar to those already stated here today, and

1 would hope for a solution that would allow forward progress
2 without leaving any gaps in surveillance.

3 We would like to see similar data to the recall
4 withdrawal information made available surrounding consent
5 decrees and other sanctions.

6 Those of use who lives depend on obtaining safe,
7 reliable products to keep us healthy, safe, and leading
8 productive lives are concerned about the effects of consent
9 decrees upon the supply of quality products and wonder what
10 are the reasons a company would allow themselves to create a
11 situation that would call for these measures.

12 Once again, we thank you for the opportunity to
13 express our concerns.

14 DR. HOLLINGER: Thank you.

15 I next want to call on David Cavanaugh for the
16 Committee of Ten Thousand.

17 MR. CAVANAUGH: Thank you, Dr. Hollinger.

18 We also are very pleased to have this presentation
19 when two of the four producers of the products we consume
20 are under legal sanction for some years, and no further
21 feedback is available on the results of that process, if you
22 will. It is scary and it makes us wonder how the product is
23 doing in terms of becoming improved, algorithm or no
24 algorithm, if you will, if I can borrow from the earlier
25 presentation on a different matter.

1 There were 16 warning letters in the last half
2 decade, and I don't know if those covered whole blood as
3 well as fractionators alone, but although it is a large and
4 important industry to us and the four fractionators that are
5 the largest make up a huge piece of industry, and the 24
6 being on there, perhaps another if you do add whole blood,
7 that seems like not so many in terms of warning letters.

8 Obviously, when you move to legal decrees, your
9 scope vastly increases in terms of the enforceability of
10 various provisions and the kinds of provisions you can
11 impose, but it looks almost like you write a few letters and
12 then you slam the industry under legal control, and then you
13 don't say anything about how it is going with it.

14 We are confused. We don't know how it is going
15 with obtaining GMP. In Mr. Masiello's comments on the
16 overview and insuring compliance, he talked about frequency
17 of visits and some of it is an ad hoc basis and training.
18 Sometimes the CBER people go along and sometimes they don't
19 in terms of expertise on site.

20 He talked about communication at BPAC meetings and
21 at special meetings with industry. I would like to add
22 consumers into your thinking, so that there is more--I grant
23 you these meetings are open, but they are for the members of
24 the committee, and general reporting on consequences of
25 legal actions would be appreciated by this regulatory