

1 rural, a large major resort.

2           Clearly, when you probe on the phone, there must  
3 be some general characteristics of these resorts that you  
4 are asking about, be it size, how long they have been in  
5 existence, are they in the middle of a forest, something  
6 like that. So I just would wonder if that is something that  
7 might be helpful and would ask my CDC colleagues if that is  
8 something that is practical or doable.

9           DR. PARISE: I think that we could work on that  
10 somewhat. Our main criteria there is that some of these  
11 resorts are really cities. They have built a lot of hotels  
12 in them. But they really are urban areas. Whereas, others  
13 are resorts that have been pretty much plunked down in a  
14 rural area.

15           The problem there is that when a resort is plunked  
16 down in a rural area, we consider that the rural resort. If  
17 it has been there for years and becomes very urbanized,  
18 until the map changes, we don't know. We play it  
19 conservative and we defer. Maybe if we went and inspected  
20 that resort and saw it, we wouldn't defer. But, again, we  
21 don't have any data and so we do the best we can.

22           But we probably could come up with large urbanized  
23 resorts that have come in urban areas.

24           DR. NELSON: The urban versus rural only applies  
25 to Latin America, not to Africa.

1 DR. PARISE: Largely. There are a few African  
2 cities that don't have transmission, but there are very few.  
3 And Southeast Asia. Southeast Asia and the Americas; yes.

4 DR. NELSON: So Africa is pretty easy. SubSaharan  
5 Africa is a problem.

6 DR. KOERPER: I am just curious how long this  
7 process takes. Does the prospective donor sit and wait in  
8 Seattle or San Francisco while the medical director calls  
9 the CDC?

10 DR. PARISE: That you would have to ask the blood  
11 banks.

12 DR. KOERPER: Or do they ever walk out the door  
13 and never come back again.

14 DR. HOLLINGER: The answer is yes from several of  
15 the blood-banking people here.

16 DR. PARISE: We place it as a priority. If we  
17 have chemoprophylaxis calls and the person there isn't right  
18 in her office, we will take a message. For cases of malaria  
19 and for blood-bank calls, if the person is not right there,  
20 they will page somebody. So we do, on our end, try to do  
21 them as fast as possible and interrupt what we are doing to  
22 go look at the atlas. But I am sure there can be many  
23 delays in the process.

24 DR. HOLLINGER: On the other hand, what I am  
25 hearing here, if this is an issue, here, in this computer

1 world, not to have this computerized--the same questions you  
2 are going to be asked, you obviously have answer for right  
3 now. To put that on a website that is computerized and  
4 could be changed in an instant would allow them, and the  
5 blood bank, to then pull that information or put that issue  
6 in there and receive a piece of information back. I can't  
7 understand that.

8 DR. PARISE: The Yellow Book is on the Web.

9 DR. HOLLINGER: And it has those sites on it.

10 DR. PARISE: Right, but at a mobile site, you  
11 don't even have an atlas so the Web isn't going to help you  
12 at a mobile site. Many of the calls we get are at mobile  
13 sites that don't have an atlas in front of them and they  
14 can't look. So they are relying on us.

15 We are amenable to dialogue on what else we could  
16 put on the Web besides the Yellow Book. That is all we use.

17 DR. KOERPER: Can't you put the atlas on the Web?

18 DR. PARISE: We didn't publish that, but I guess  
19 we can look into that.

20 DR. KOERPER: Color code the atlas on the Web.

21 DR. PARISE: We can look into that.

22 DR. HEINTZELMAN: Since we have this opportunity,  
23 we are talking about dusk-to-dawn. If that is implemented,  
24 or at least one scenario for implementation would be  
25 anywhere in the world. I want to make sure that people

1 aren't thinking just about Mexico or Caribbean. We are  
2 talking about global when we talk about dusk-to-dawn.

3 That is the sense of this. With the emphasis on  
4 Mexican resorts, because of the number of travelers, that's  
5 fine. But a change in policy would be all over Africa, all  
6 over Asia, any of the really, truly highly endemic areas.

7 Monica did a great job of presenting the malaria  
8 number of cases that have been reported. When you think  
9 about that, you may have noticed that there is an increase  
10 in '95 which is the last report. There is a 15 percent  
11 increase, according to the CDC's reports. That is imported  
12 malaria. It is important to consider the number of malaria  
13 cases coming into the United States and then to also think  
14 about transfusion-transmitted malaria because the donor base  
15 comes from the base population which includes the imported  
16 cases.

17 The fact that transfusion-transmitted malaria is  
18 running at a very low percentage--it is about 1 in 4 million  
19 donations--reflects a certain trend in screening and  
20 distribution and disease infectivity. But when you look at  
21 the amount of malaria that is coming into the country, you  
22 see that that is really a fairly significant number, very  
23 different from what you are seeing in the transfusion cases.

24 If you open up the door, I believe, to a broad  
25 proposal of allowing donors that have traveled anywhere in

1 the world, to the best of their recollection, during broad  
2 daylight hours, to donate, we may find that there is a  
3 potential for a change in that distribution.

4 DR. HOLLINGER: Do you view the dusk-to-dawn  
5 question as compared to what is currently being done now as  
6 something that will keep more donors eligible or lose more  
7 donors, at least for a period of time?

8 DR. HEINTZELMAN: We know where we are right now.  
9 While they may get approximately a dozen calls a day at CDC,  
10 if you look at 14 million units of blood collected in the  
11 United States over a year, roughly that is 40,000 units a  
12 day. I am not sure that everybody calls CDC when they have  
13 a gray area and are unsure about somebody's travel.

14 I think that the conservative issue is usually  
15 they just defer these people. I believe that if we go to a  
16 dusk-to-dawn, we may not decrease the donor base. We may  
17 increase the donor base. Increasing that donor base would  
18 be from within the population where imported malaria is  
19 evident. In that group, the trends have been roughly  
20 consistent but within the Americas there was 100 percent  
21 increase in 1995 for imported malaria.

22 DR. OHENE-FREMPONG: Just a couple of things.  
23 One, the dusk-to-dawn question, I can't see that applying to  
24 Africa, for instance. I can't see anybody traveling on a  
25 day trip to any part of Africa and being out to a non-

1 endemic area before nighttime.

2           The second question, though, just for  
3 clarification, the transmission through platelet  
4 transfusion, is that believed to be from red-cell  
5 contamination of the platelet pool and not from plasmodium  
6 in the platelets?

7           DR. PARISE: That's correct. It is felt to be  
8 from red-cell contamination in the platelet pool. The  
9 question in Africa--you're right. There might be a few  
10 selected areas such as taking a day trip out of Nairobi and  
11 Kenya or a day trip out of one of the cities in South Africa  
12 to a game park and coming back. But it would be unusual.

13           The other thing is, when we look at the vectors,  
14 the African vectors tend to bite very late. So if you say  
15 there was a fuzzy area, it is probably going to hit you more  
16 in the Americas when it is an early-biting vector in general  
17 than these Anopheles gambia which bites at 2:00 a.m.

18           DR. EPSTEIN: First, to clarify the issue of  
19 impacts, I think we are hearing two contradictory things and  
20 I want to try to sort that out. Current policy does not  
21 provide an exemption from deferral for daylight exposure or  
22 for being at a resort. So if the current guidance were to  
23 be followed, the donors would be deferred.

24           What I am hearing several blood-bank organizations  
25 say is that they have been querying the CDC and they have

1 been advised on many occasions that they can exempt daylight  
2 exposure and that they can exempt travel to specific  
3 resorts. I don't have a problem with that where it has been  
4 based on good science, but that is not the current FDA  
5 recommendation.

6           The current FDA recommendation would be to defer  
7 based on those exposures because we do not make those  
8 distinctions in our guidance. So we see the proposal to the  
9 advisory committee as a relaxation of the stringency of  
10 deferral.

11           The second point I would like to make is that that  
12 relaxation either does or does not add risk whether you  
13 believe that the history you get about the nature of the  
14 exposure is accurate. There is a distinction to be made  
15 about the accuracy of the history, and I would like Dr.  
16 Parise to comment on this.

17           In the case of chemoprophylaxis, you are dealing  
18 with the traveler just at the time of travel. You are not  
19 asking them a year later or three years later whether they  
20 ought to have been prophylaxed. They are telling you their  
21 travel plan and you are advising them based on current  
22 information.

23           The problem that we have in the donor selection  
24 process is that you may be asking that donor that question  
25 as much as three years after the travel where it would

1 affect their deferral. So the FDA's concern is how accurate  
2 is that information going to be. You are potentially asking  
3 a donor who was in a malarious area whether they were  
4 exposed only during hours of bright daylight and you are, in  
5 essence, asking them did they go jogging shortly before or  
6 after dawn? Did the cruise boat dock before dawn? Do they  
7 remember whether they stayed over that night?

8           You are asking that as much as three years later.  
9 That is part of what is concerning the FDA. So, you see, we  
10 are really not begging the question of the underlying  
11 science. If Drs. Ruebush and Parise tell me that mosquitos  
12 don't bite, well, I believe that. But the question is the  
13 accuracy of the histories.

14           So that is why I have suggested that a way out is  
15 we could accept the scientific principle but put the burden  
16 of proof on the medical director to basically decide how  
17 confident they can be in that history.

18           DR. HOLLINGER: But, Jay, just to clarify  
19 something. Although the question is asked for three years  
20 out, in essence, for travelers from the U.S., it is really  
21 one year that you are really looking at the history and that  
22 is the only thing that they are going to have to remember.

23           If I traveled three years ago, that would not  
24 exclude me.

25           DR. EPSTEIN: You are correct. I am being



1 technically precise because we have the semi-immune traveler  
2 where we are changing the policy to recognize that some of  
3 the delayed cases of malaria have been in semi-immune  
4 individuals who have, then, briefly traveled. So yes, the  
5 majority of travelers would only have an applicable history  
6 of one year.

7 But, even so, w donor you recollect nine months  
8 later was it broad daylight. Did you take that sunset walk?

9 DR. NELSON: Given the nature of the risk and the  
10 geographic distribution and real risk of malaria of a  
11 Caribbean resort versus Africa or some places in Asia and  
12 the much greater likelihood of falciparum, a potentially  
13 fatal infection, being acquired from Africa, could we limit  
14 this dusk-to-dawn recommendation or could the vote be on the  
15 Americas rather than the world.

16 DR. HOLLINGER: Or anything except Africa?

17 DR. NELSON: Right. Well, there are certain  
18 places in India that I think have a high risk, and certainly  
19 New Guinea. I would say limit it to the Americas.

20 DR. HOLLINGER: Dr. Ruebush or Dr. Parise, can you  
21 tell me a little bit about--since, we are talking about  
22 dusk-to-dawn, where do the anopheles rest during the  
23 daytime? The reason for asking that, in my previous life, I  
24 was an arbovirologist so I am very well aware of mosquitos  
25 and the issues.

1 I remember when we were looking for a site of  
2 *Culex quinquefasciatis* in Corpus Christi and couldn't  
3 determine why these cases were occurring until we went down  
4 in the storm sewers and found the mosquitos all resting down  
5 in the storm sewers during the day. Of course, anyone  
6 working in the storm sewers clearly ran the risk of being  
7 bitten by a female mosquito.

8 So the issue has to do with where they are resting  
9 and some of their feeding habits and so on. Could you  
10 enlighten us a little bit about that.

11 DR. RUEBUSH: During the day, most anopheles  
12 mosquitos rest inside houses, inside some sort of buildings,  
13 in relatively humid, dark, quiet, undisturbed environments.  
14 Some may rest outdoors under bridges or things like that,  
15 perhaps under leaves of bushes where it is shaded and they  
16 are not disturbed.

17 But most of them, at least the ones that the  
18 mosquito collectors find, are generally within buildings.

19 DR. HOLLINGER: Thank you.

20 DR. FITZPATRICK: I have two questions and, for  
21 your discretion, I have what might be construed as a  
22 statement. First, for CDC, how often is the Yellow Book  
23 updated and how do you update the world that the Yellow Book  
24 has been updated. The other one, you mentioned a river  
25 cruise in a shaded area. So are we going to have to ask our

1 donors if they left the beach and took a walk in the  
2 tropical forest in the dark shade?

3 DR. PARISE: In answer to your first question, the  
4 Yellow Book is updated every one to two years. Previously,  
5 it had been every year. The last update was two years ago  
6 and I believe the Division of Quarantine, who is responsible  
7 for the Yellow Book at CDC, is planning to do it on an  
8 every-two-year basis. The changes that we make in the  
9 Yellow Book, we put on the Web. They are based on the WHO.

10 The second question about the mosquitos in the  
11 forest, that's true. It is possible that, in a dark forest,  
12 that person could be more at risk if they went there during  
13 the day than somebody who went to somewhere that is not  
14 shaded. I actually think Jay's idea to--because this will  
15 increase donor availability. I don't have an idea of how  
16 many donors it is going to be because we don't measure that  
17 here, but I think that allowing for this exposure criteria,  
18 it is the blood bank director's discretion to prove that  
19 that really was a day trip is a very reasonable cause.

20 I agree that the recall bias that is present in  
21 what we do with travelers versus blood donors who would  
22 mainly fall into your category is a problem. But there are  
23 some people that we talk to that are just very clear about  
24 the timing of their trip.

25 DR. HOLLINGER: You said you had a statement?

1 DR. FITZPATRICK: Whenever you--

2 DR. HOLLINGER: Oh; okay. Why don't you go ahead  
3 and answer this.

4 DR. HEINTZELMAN: I will be very quick. Everyone  
5 is interested in having clear, concise guidance. I had  
6 posed this to our field investigators, one of the biologics  
7 experts, who does blood banks and asked her how she felt  
8 about this. And it is intriguing to note that she reflects  
9 the same concerns that everyone else has here. They want  
10 clear, implementable guidance that can be documented so that  
11 they can inspect for compliance with the regulations and do  
12 so in a fashion that doesn't lead to confusion.

13 It is the same wish that the blood banks have and  
14 I am sure that it is the same that the government agencies  
15 have. Trying to get to that point so it can be inspected  
16 and verified is a bit of a challenge.

17 DR. KHABBAZ: I want to get back to a suggestion  
18 or the question from Dr. Nelson, and that question is to the  
19 FDA. Is it possible to make a distinction between the  
20 Americas and either Asia and Africa or Africa with regard to  
21 the dusk-to-dawn question?

22 DR. NELSON: I think there is really a risk,  
23 quantitative--qualitative, almost--difference in the risk  
24 between travel to Africa and Asia. I have seen students, et  
25 cetera, that have gone to visit their home town in Africa

1 and have come back with malaria. It is a real risk and the  
2 risk is falciparum.

3 I wouldn't feel comfortable taking blood from a  
4 donor who said that he can't remember when he got back to  
5 Nairobi from the game park. To me, I think that that is a  
6 different kind of a risk than a cruise ship.

7 DR. OHENE-FREMPONG: I just want to say, as a  
8 frequent visitor to Africa, that the ultimate solution to  
9 this problem is to eradicate malaria.

10 DR. PARISE: I would just speak that we would not  
11 favor a distinction between the Americas and Africa for a  
12 few reasons. One is, as I mentioned, the vector biology  
13 doesn't really support the need although there is much more  
14 malaria transmission in Africa. The vector doesn't support  
15 it as well as the fact that--the bottom line, here, is when  
16 you look at our data, the problem is really not in the cases  
17 that are coming from these travelers.

18 It has mainly been in the immigrants or the people  
19 that go to visit. There hasn't been a case due to one of  
20 these U.S. travelers who would mainly be the people doing  
21 these day trips for the last over fifteen years.

22 So my impression is that it will add a level of  
23 complexity that we are already trying to simplify things and  
24 make clear.

25 DR. HEINTZELMAN: Are you referring to

1 transfusion-transmitted malaria or imported malaria, when  
2 you say there hasn't been a case in the last fifteen years.

3 DR. PARISE: Transfusion.

4 DR. HEINTZELMAN: Not all of the reported malaria  
5 cases.

6 DR. PARISE: In a person who was one--I mean, it  
7 is typically going to be your U.S. resident who lives in the  
8 United States that goes somewhere and takes one of these day  
9 trips. That is mainly the population that we are talking  
10 about that is going to be affected by the dusk-to-dawn.  
11 There hasn't been a case in one of those people for many  
12 years.

13 DR. MACIK: I guess is my confusion a little bit.  
14 What are the numbers we are talking about? What real impact  
15 is this on people who donate if you defer--outside the  
16 military, say, if you have traveled outside the states in a  
17 year and if you have, then it falls to the discretion of the  
18 blood bank director to apply certain rules to those people.

19 It seems like we are spending a lot of time  
20 talking about stuff that--what is really the magnitude of  
21 people that this is involving. I know that I have donated  
22 blood recently and I know that it is quite irritating to sit  
23 through all of these questions and go on and do these things  
24 that keep getting asked to you.

25 It seems like there should be some bright points

1 that fairly easily break out. So what is really the  
2 magnitude. And then the second point would be isn't there a  
3 way to educate the public beforehand about some of this  
4 because what we are talking about is asking the public to  
5 remember, a year later, did you go out for this or that.

6           Shouldn't there be some kind of thing that if you  
7 know that you are going to Africa, if you are going even to  
8 South America, you get all this information you can possibly  
9 get about where to go and what to do. One little stand  
10 should say, "Just remember for the next year, when you get  
11 back, you need to know whether you went to this place or  
12 that place and you may not be able to donate blood," so that  
13 those people have some education of the public beforehand  
14 and not just when they are sitting down at the time of  
15 answering out a blood-donation form.

16           DR. VERTER: I wonder if you could just clarify  
17 for me the review you made. You said there were 91 cases  
18 that were reviewed from '63 to '95 or '98 and you had data  
19 on 58. Of the 58, if I recall correctly, 36, if the current  
20 guidelines would have been followed, would have been  
21 deferred and the other 22, the current guidelines were  
22 irrelevant because the period of exposure was more than  
23 three years.

24           So, using the current system, if I can use the  
25 phrase, we had GMP, there would have been no change. This

1 addition or modification would not have changed anything for  
2 the cases that you could follow, the 58 of the 19.

3 DR. PARISE: I didn't quite understand. I'm  
4 sorry.

5 DR. VERTER: I am just asking if I am correct in  
6 what I just presumed.

7 DR. PARISE: About two thirds are because the  
8 process that we already have didn't work. Those questions,  
9 although we think--I mean, even in this very last case,  
10 "Were you outside of the U.S. or Canada in the last three  
11 years," to me, that is a very straightforward question. But  
12 it doesn't always work and in the '98 case, that specific  
13 question didn't work.

14 And then, in the other one-third, it is basically  
15 because the parasite lasted longer than we have set up in  
16 those criteria.

17 DR. VERTER: Therefore, any modification in the  
18 current guidelines wouldn't have changed anything for those  
19 58 cases.

20 DR. PARISE: The questions, if we talk about the  
21 questions, those could potentially impact on those two-  
22 thirds that failed in that screening process.

23 DR. VERTER: Then I misunderstood how you were  
24 interpreting the screening process. I thought you meant  
25 that if the screening process, as it now stands, had been



1 accurately followed completely, that those two-thirds would  
2 have been deferred.

3 DR. PARISE: That's right.

4 DR. VERTER: Which means that this question  
5 wouldn't have added anything to it.

6 DR. PARISE: This dusk-to-dawn.

7 DR. VERTER: Right.

8 DR. PARISE: That's right. The dusk-to-dawn;  
9 right. Sorry.

10 DR. NELSON: But put the current recommendation as  
11 a relaxation, not a stringent thing.

12 DR. RUEBUSH: I just wanted to respond to the  
13 comment that was made about perhaps doing a better job from  
14 our standpoint at CDC when we answer a phone call from a  
15 physician or someone who is travelling overseas, something  
16 like that in the materials that we produce to remind people  
17 about the fact that yes, they would need to be deferred for  
18 a year.

19 We don't routinely do that and yes, we could do a  
20 better job in that both in terms of our direct-voice  
21 communications with travelers or physicians and what we  
22 publish.

23 DR. HOLLINGER: Also, just to state the obvious,  
24 the donors are lost for one year. That is not, as with so  
25 many other things, where they are lost indefinitely. So we

1 are talking about, basically, a year deferral. Many people  
2 will not return to these areas.

3 Dr. Ohene-Frempong I don't think would ever be  
4 able to donate, but so many of them will be coming back.

5 DR. KHABBAZ: I have a question and a comment.  
6 Actually I have two questions, maybe. One, the question  
7 that we are dealing is one of relaxation based on how long  
8 they have stayed in the area. There is also a proposed  
9 addition in the FDA guidance which deals with the partial  
10 acquired immunity, basically, which proposes adding three  
11 years after a visit for people who were born or have lived  
12 an extensive period of time.

13 My question is what is the impact of that addition  
14 in terms of numbers that might be additionally deferred.  
15 That was the first one. Do we know? We don't?

16 DR. HEINTZELMAN: We have no hard numbers, if you  
17 are looking for number of donors that would be deferred.  
18 There are no hard numbers for that.

19 DR. KHABBAZ: The other comment or question; I  
20 note that the FDA is proposing a change of order of  
21 questions starting with, "Were you born in the United  
22 States?" and then querying about the last three years. The  
23 AABB statement brought to my attention basically these  
24 questions.

25 If somebody who was born outside of the United

1 States, I can see a point of asking that question. I cannot  
2 donate blood for other reasons, but just saying, "Forget it;  
3 you don't want my blood." So, the sensitivity of how the  
4 question--if there is a reason to ask this question ahead of  
5 recent travel, fine. But I am missing the rationale for  
6 changing this order.

7 DR. PARISE: Actually, there was one point from  
8 before that I had forgotten. That is what I wanted to  
9 clarify and didn't. In terms of relaxation, this is a  
10 relaxation in terms of dusk-to-dawn. But, from our  
11 standpoint, this isn't a relaxation in terms of the resorts  
12 because the previous 1994 memo referred to what we define in  
13 our Yellow Book as malarious areas.

14 We have always said, in the Yellow Book, that the  
15 major resorts are okay. So that is not going to change.

16 I think the issue that I can respond to from my  
17 perspective and then, if anyone from FDA or anyone else  
18 wants to comment on the questions, where we failed in some  
19 of these recent cases--we don't have hard data on what  
20 happens in that interview. Sometimes, it is a "he said, she  
21 said," situation where the donor can say one thing and the  
22 blood-collection agency says another and we really don't  
23 know.

24 But it is our impression that one problem that  
25 might be happening is that, although the question is in the

1 last three years, people who have moved here within the last  
2 three years don't consider that if they--no, they haven't  
3 gone anywhere because, since they came, they haven't gone.  
4 But they came within three years.

5 Because most of the recent cases have been in  
6 people from other countries, we are trying to get at a way  
7 to know about that up front and then probe more. There may  
8 be better ways to word that and I think FDA is open to that.

9 DR. KHABBAZ: I think that is fine, but I would  
10 support piloting these questions and field testing and  
11 seeing how they work.

12 DR. OHENE-FREMPONG: It is really not a question  
13 of where you were born. It is where you lived. I just  
14 wonder if the question could be, "Were you born outside of  
15 the United States or have you lived outside of the United  
16 States."

17 DR. MITCHELL: I did want some more information  
18 about the impact of this and particularly the post-donation  
19 information. We had asked before, there are 1200 cases of  
20 post-donation information. I still don't have a good sense  
21 of what percentage of those are due to what kinds of answers  
22 and I was wondering if there was someone who can provide  
23 that.

24 DR. HOLLINGER: I don't think so, Mark. I don't  
25 think anyone could provide that information for you.

1 DR. MITCHELL: As to what those questions are?

2 DR. HOLLINGER: Yes.

3 DR. MITCHELL: Then, getting back to the  
4 discussion that is under way right now, I think that it  
5 would be more sensitive to ask whether someone has traveled  
6 or lived outside of the U.S. within the last three years and  
7 just leave that as a question.

8 DR. HOLLINGER: I saw someone start to stand up  
9 out there, maybe to answer. Do you have an answer to the  
10 question?

11 MS. JETT: Anecdotally, I know that some of the  
12 cases I have looked at in my own center are, the donor comes  
13 in at one visit and says, "I traveled here and there." And  
14 then the next time, when they come in, they have a slightly  
15 different take on where they have been and what they did.  
16 So it is the donor giving different information on the next  
17 interview that would be a post-donation information report.

18 DR. MITCHELL: So the information is more on where  
19 they went.

20 MS. JETT: Yes. One time, they will report a  
21 visit and it may be the details of if it was rural or urban  
22 or maybe just having to mention this country or not that  
23 they visited on the trip.

24 DR. MITCHELL: The reason I am asking that, then,  
25 is because a day trip may make a big difference. It is my

1 impression that people are less likely to remember if they  
2 didn't spend the night there. If they went on a cruise ship  
3 and they stopped at two places at day, it is hard to  
4 remember all of those places.

5 MS. JETT: My subjective impression is when you  
6 are sitting in the donor chair, whether you are going to  
7 give enough detail to make a good judgment on them just  
8 depends on how they feel that day or who is interviewing or  
9 if they feel like being chatty or not.

10 DR. BUSCH: Comments on two issues; in terms of  
11 country of birth, we do, in the REDS group, ask donors--it  
12 is not a required question but we have been eliciting  
13 country of birth along with other special questions of  
14 donors. I think about 3 percent of donors sort of refuse or  
15 don't answer that question.

16 We have looked extensively at predictors of risk,  
17 particularly incidence of infections. Country of birth is  
18 not an independent predictor of risk of HIV or other  
19 seroconversion so there is no evidence, perhaps independent  
20 of this malaria issue, that would justify deferring. So I  
21 would agree with the comments about residents in as opposed  
22 to country of birth probably being a more sensitive approach  
23 to that issue.

24 The other general point--I wasn't here yesterday  
25 but I heard feedback in terms of all of the implications of

1 post-donation reports. Many, certainly, of these post-  
2 donation information reports are these subtle deferral  
3 issues such as visiting areas or things like tatoos or  
4 piercing and things like that.

5           You can argue whether or not those deferrals are  
6 very effective and justified in the first place. Certainly,  
7 I would suggest that it might be appropriate to make a  
8 distinction as to whether such deferrals warrant  
9 investigation after the fact. For example, I think my  
10 understanding is FDA is not going to require, if you have  
11 lived in Britain during the period that is now being  
12 implicated as potential risk for new-variant CJD, you may be  
13 deferred prospectively but that will not be a basis for a  
14 post-donation report.

15           You will not have to retrieve product or  
16 investigate product donations. So the same kind of  
17 distinction, I think, should be considered for many of these  
18 soft, if you will, deferrals, that so many of these recalls  
19 and potential investigations are driven by donors  
20 acknowledging later that they maybe had a body piercing or  
21 tatoos or something. It may be a basis for prospective  
22 deferral, but I think it shouldn't be a basis for post-  
23 donation information investigation.

24           DR. HOLLINGER: I think one of the more important  
25 things that you have provided us with, as I look at this

1 information, was the fact that, at least recently, if you  
2 are a U.S.-born traveler, there is little danger of  
3 transfusion-transmitted malaria if the guidelines are  
4 followed or if they answer the proper questions. Is that a  
5 correct statement basically, that most of the cases of  
6 transfusion-transmitteds are occurring in individuals who  
7 have lived in an endemic area or immigrants or refugees  
8 that, perhaps, have P. malariae or something else or  
9 perhaps, even, falciparum that might be persisting longer  
10 than the time limit.

11 DR. PARISE: Let me tell you the data because I am  
12 not sure--if the guidelines were not followed, actually,  
13 about half of those are U.S. travelers. Even our U.S.  
14 travelers are not answering the questions right all the  
15 time, and half of them are in the immigrant category,  
16 refugee, et cetera.

17 Of the other cases that happen because of these  
18 long exclusionary periods, those, there are very few in U.S.  
19 travelers. That is sort of our aggregate data. When we  
20 look at our sort of analysis in recent years, the U.S.  
21 traveler falls way down in, say, the '80s and the '90s.

22 DR. HOLLINGER: For transfusion-transmitted  
23 malaria.

24 DR. PARISE: That's right.

25 DR. HOLLINGER: Which is what we are really



1 dealing with here today.

2 Dr. Linden, do you have any comments?

3 DR. LINDEN: I guess I am a little concerned with  
4 what appears to be a discordance of the information that CDC  
5 has been giving to people that isn't really consistent with  
6 the FDA recommendations. I think that situation needs to be  
7 reconciled so that all of the federal agencies are giving  
8 uniform information. So, whichever way we go, I think we  
9 should get together.

10 But, otherwise, it seems that this change is  
11 reasonable. It sounds like, in part, it is sort of have  
12 been implemented already. But I agree with Dr. Epstein that  
13 it should be only if you can fully document that you know  
14 this is the case and if there is any question, you certainly  
15 defer.

16 DR. BOYLE: The travel exclusion questions that we  
17 are talking about are among the very few items, I believe,  
18 in terms of risk factors that are relatively easy to  
19 validate because you can get samples of people by  
20 destinations very easily and you can test your questionnaire  
21 to see what the error rates are, whether or not they are  
22 reporting what we suppose them to be.

23 The question is has been done?

24 DR. HOLLINGER: I would guess not.

25 DR. BOYLE: Then I will make my statement. Since

1 it is one of the few things that is relatively easy to find  
2 out what the error rate is in terms of reporting,  
3 particularly because you can pre-identify pretty much, and  
4 then those are discordant, obviously, you can follow up  
5 with, that it would be a good first step of learning a  
6 little bit about how good these screening questionnaires  
7 are.

8 DR. HOLLINGER: Dr. Fitzpatrick, you had a  
9 statement.

10 DR. FITZPATRICK: I will make this brief. Jay  
11 already brought up that the current policy is to defer and  
12 that there is no dusk-to-dawn exclusion. And I am not sure  
13 what percentage of donor centers are making exceptions or  
14 calling CDC.

15 In twenty-one years, I have managed six major and  
16 minor Army facilities that are donor centers and I was  
17 responsible for policy in all of Europe. I don't disagree  
18 with the science at all. The science is valid. The science  
19 is sound. But we have an implementation problem. We  
20 discussed last meeting the problem between the interviewer,  
21 the screener and the donor and perceptions and understanding  
22 and the need for better questions and questionnaires.

23 We have data that says 62 percent of the  
24 transmissions were due to a failure in the screening  
25 process. AABB and the ABC have, in both their statements,

1 said that the donor history interview is critical and very  
2 complex.

3 I think adding complexity to the interview will  
4 increase the error-accident rate. I believe that we are  
5 adding complexity to the interview. In order to not add  
6 complexity to the interview and if current policy, as  
7 dictated by the FDA is being followed, we are not impacting  
8 the donor supply. If we enact this, you are loosening the  
9 restrictions and increasing the donor supply. But, if  
10 current policy is being followed, you are not decreasing the  
11 donor supply.

12 And I have a problem with what Jay initially told  
13 us in his interview. In the IOM study, he stated that the  
14 precautionary paradigm is the one the FDA is following.  
15 What we are going to hear about at TSE and British donor  
16 deferral is an enactment of the precautionary paradigm. Yet  
17 this, to me, is in conflict with enacting the precautionary  
18 paradigm.

19 So Jay's suggestion of a blanket deferral with  
20 possible exceptions by the medical director may be the best  
21 approach.

22 So, in short, I would say that I think the dusk-  
23 to-dawn exclusion, while valid scientifically, increases the  
24 complexity of implementation, increases an already very  
25 complex difficult interviewer-donor situation, perception,

1 understanding, communication area and I don't see that the  
2 gain is worth what we are talking about.

3 DR. HOLLINGER: Thank you.

4 I think I am going to call for the question. If  
5 somebody could put it up.

6 DR. NELSON: The current policy allows the medical  
7 director of the blood bank to make an interpretation based  
8 on CDC advice? What is the current recommendation? What is  
9 it that we are going to change or vote to change?

10 DR. STRONCEK: Practically speaking, if you are  
11 going to make exceptions, you really have to have your SOPs  
12 written very carefully. You don't want to make a lot of  
13 exceptions. You want it well documented. That is not  
14 practical. As a medical director, I would want something,  
15 at this level of detail, that is very well spelled out.

16 DR. EPSTEIN: The current guidance makes no  
17 distinction regarding risk exposure based on time of day.  
18 We do not recommend that that issue be further explored with  
19 the CDC, although we have always respected the need and  
20 value to consult CDC when there were questions about  
21 geographic exposures because the FDA does not monitor areas  
22 for risk of transmission. The CDC does.

23 But, really, what has happened is that blood  
24 centers have gone beyond the existing guidance and asked  
25 more subtle questions such as resorts, such as time of day.

1 But it is not in the current FDA guidance.

2 DR. BUCHHOLZ: Jay, if I look at the current  
3 guidance and I have a donor that answers that question, and  
4 I call CDC and, based on being educated or based on  
5 something I know, I say, "Okay; you are in an urban area,"  
6 or, "You were only in the daylight." And FDA inspectors  
7 come in. Do I get a GIG then if I let that donor donate,  
8 because I got advice from CDC or things I knew such as the  
9 basis of science that have said, no problem. Yet, I would  
10 guess that represents a compliance situation in terms of an  
11 inspector coming in and reviewing my records.

12 DR. HOLLINGER: Dr. Chamberland?

13 DR. CHAMBERLAND: I will ask Monica or Trent to  
14 comment or correct me but I think, and perhaps we are well  
15 aware of feedback that we have gotten that there has been  
16 disparity in information that CDC and FDA have advised on.  
17 I think, from henceforth and maybe it has already been your  
18 practice, the appropriate technical question to CDC is is  
19 this person-donor, non-donor, traveler at risk for  
20 acquisition of malaria and does this require institution of  
21 chemoprophylaxis.

22 I don't think, at all, that, as an agency, we  
23 should be making decisions for medical directors of blood  
24 banks determining donor suitability. Clearly, we don't want  
25 to be in conflict with FDA guidance or regulation in this

1 area, so I think, as an agency, we have to be very careful  
2 as to our responses in this area, that it is probably not  
3 appropriate for us to be making decisions about donor  
4 suitability.

5 I think this is what is going to be the problem is  
6 that CDC, as a public-health agency will be telling  
7 individuals or medical directors, "No; this person is not at  
8 risk for acquisition of malaria. Prophylaxis is not  
9 needed." Blood bankers and CDC will then be faced with  
10 confusion and complexity. That is not to say we can't work  
11 through it but, in talking with some of the blood bankers  
12 who also work as travel-clinic directors, they are going to  
13 be giving two sets of information out to people when the  
14 traveler shows up in their travel clinic, "You are not at  
15 risk for malaria based on what you told me. You don't need  
16 to take prophylaxis. But when you show up in my blood bank,  
17 I am not going to let you donate. You will be deferred  
18 because you pose a risk of malaria transmission to a  
19 potential recipient."

20 So Trent and Monica, again, I just wanted to make  
21 sure that you were in agreement with what I just said and  
22 after the vote today and the subsequent guidance, that was  
23 probably a better way to cast our role.

24 DR. RUEBUSH: We agree wholeheartedly. I think,  
25 many times, the question comes to us from a blood bank or

1 something like that, "Is there a risk of malaria in this  
2 area or in this situation?" And we have based our response  
3 on the scientific knowledge and we feel a daylight trip to a  
4 malarious area when you are spending the night in a non-  
5 malarious area, doesn't represent a risk.

6 I think if the FDA guidance is different, we need  
7 to be very careful in the future to make a distinction when  
8 we talk with someone on the phone that, for prophylaxis  
9 purposes, we would not consider a risk, we would not  
10 recommend chemoprophylaxis, but you are calling us from a  
11 blood bank, in which case, the guidance is to do the  
12 following. And we are prepared to do that.

13 DR. EPSTEIN: Speaking to Dr. Buchholz' question  
14 what the compliance approach would be if a medical director  
15 exempted a donor when that was not in the FDA guidance. I  
16 guess the answer is a little bit complex. The field  
17 investigators do recognize the role of enforcement  
18 discretion.

19 They generally would give a fair amount of  
20 consideration to exercise of good medical judgment,  
21 especially if it was well-documented what the basis of the  
22 decision was.

23 Generally, we look askance at exceptions other  
24 than those that are provided in the regulations based on  
25 medical need. So, outside of the documented medical need

1 which is, of course, particularized to a patient, we sort of  
2 look very carefully. These kinds of inconsistencies, when  
3 they are noticed by the field, usually would surface back to  
4 the center as a policy question, which is exactly how we get  
5 here.

6 So I think it is not so straightforward as that  
7 they would simply take enforcement action or cite on a 483.  
8 More often than not, they would then call us and say, "What  
9 do you expect us to do. Your guidance is unclear."

10 DR. HOLLINGER: I am going to have just two more  
11 comments and then we are going to call for the question.

12 DR. CHAMBERLAND: Before the question is called, I  
13 wondered--Jay, you had mentioned the possibility of  
14 potentially allowing for some flexibility in override or  
15 whatever for medical directors to have some discretion about  
16 deferral. I wondered if you had crafted that in language  
17 that could be voted upon because I am also keeping in mind  
18 the statement from America's Blood Centers which represents  
19 half of the blood collectors in the United States which are  
20 also asking for that flexibility.

21 Yes; we all have heard comments on both sides of  
22 the question that there are people that want us to be very  
23 black and white with no flexibility, but there are others  
24 that would like that discretionary flexibility. So I will  
25 leave it to the chairman, certainly, to decide on how the



1 voting should proceed, but you did raise this as an option  
2 and I was just curious as how that translates into a  
3 statement that could be considered by the committee.

4 DR. McCURDY: I have got a little bit of confusion  
5 that I thought had been cleared up but I am not sure that it  
6 has, now. first, I think that difference between whether a  
7 traveler should take prophylaxis or not or whether a  
8 traveler should be a donor or not when they come back are  
9 different.

10 I don't think they can be answered by the same--I  
11 think you have to differentiate between those two. The risk  
12 of transfusion malaria appears to be of the order of two to  
13 three cases per year. This is the same order of magnitude,  
14 not quite as large, but it is the same order of magnitude as  
15 the likely transmission of HIV infection by blood  
16 transfusion in the present milieu.

17 I think transfusion-transmitted malaria is  
18 frequently fatal. It is probably fatal because there is a  
19 delay in diagnosis because nobody thinks about it. It is  
20 probably fatal because transfusions are given to sick people  
21 who will not tolerate an additional illness.

22 I think it is likely under-reported so the two to  
23 three cases per year probably doesn't represent the total  
24 cases. I want to be sure that CDC is differentiating in  
25 their advice between the risk of being a donor and the risk

1 of chemoprophylaxis where you must balance the potential  
2 problems of the drug versus the risk of malaria.

3 I think those are vastly different.

4 DR. HOLLINGER: I think Dr. Ruebush did comment  
5 about that. I think you are right, Paul. They need to be  
6 distinguished.

7 I am going to call for a vote on the question.  
8 The question is written up there. "Do the committee members  
9 support a change in the current blood-donor policy to allow  
10 for travel to areas endemic for malaria when travel exposure  
11 was limited to hours of bright daylight?"

12 As we said before, a vote of yes means to allow  
13 travelers to malarious areas during daylight hours to serve  
14 as blood donors and a vote of no means to stay with the  
15 current proposals for deferral of travelers to malarious  
16 areas regardless of the time of day. It is fairly  
17 straightforward.

18 All those in favor of this change in the current  
19 blood policy raise your hands.

20 [Show of hands.]

21 DR. HOLLINGER: All those opposed?

22 [Show of hands.]

23 DR. HOLLINGER: Anyone abstaining?

24 [No response.]

25 DR. HOLLINGER: Dr. Buchholz?

1 DR. BUCHHOLZ: I vote no.

2 DR. HOLLINGER: Ms. Knowles?

3 MS. KNOWLES: No.

4 DR. SMALLWOOD: The results of voting are as  
5 follows: there were 5 "yes" votes, and that includes the  
6 vote left by Dr. Koerper. There were 9 "no" votes. No  
7 abstentions. The consumer representative agreed with the  
8 "no" vote. And the industry representative agreed with the  
9 "no" vote. There are 14 members eligible to vote including  
10 the vote that was left by Dr. Koerper.

11 DR. HOLLINGER: I think the issue that Dr.  
12 Chamberland brought up is very important regarding what one  
13 has in the guidance regarding the role of the medical  
14 director or others to make some decisions regarding this and  
15 what the ABC had indicated. I don't know how we are going  
16 to deal with that, or if we should deal with it or anything.  
17 I think the comments were made.

18 We have got a few minutes here before we break  
19 here for lunch. But does anybody have any comments about  
20 what Dr. Chamberland mentioned or any thoughts about it?

21 DR. ELLISON: I would have preferred to see some  
22 kind of a discretion. It would have certainly have  
23 influenced by vote. I agree with Dr. Fitzpatrick's comments  
24 as far as that question which we are asked. I don't think  
25 the question allowed the leeway, the medical-director

1 discretion, that I think is essential.

2 DR. HOLLINGER: Right now, I think it is pretty  
3 clear. It has clarified the issue, I think, for both the  
4 concerns that they have.

5 MS. KNOWLES: I do have a suggestion in terms of  
6 maybe a tool to help with the Yellow Book being on the Web  
7 and that is that there is a really good computer program via  
8 the Internet called Mapquest. If the two of those could be  
9 integrated, that probably would help solve a lot of those  
10 questions.

11 DR. HOLLINGER: Thank you.

12 DR. NELSON: I know there are a lot of travel  
13 clinics that advise people on, often, malaria prophylaxis  
14 and vaccines. I think they rarely mention the issue of  
15 deferral from blood donation afterwards based on travel and  
16 how the risk that would lead to prophylaxis might be  
17 different from the lower risk but much greater consequence  
18 of a transfusion-transmitted infection.

19 I think of travel clinics, and there is now an  
20 organization of travel clinics. They have meetings, et  
21 cetera--I think that there should be an effort to educate  
22 travel clinics about this issue. My sense is that it has  
23 not been done very much to date.

24 DR. HOLLINGER: I think we will take a break now  
25 until 1 o'clock. We will return here at 1 o'clock for the

at

137

1 next meeting which will be on HTLV.

2 [Whereupon, at 12 o'clock p.m., the proceedings

3 were recessed to be resumed at 1 o'clock p.m.]

## 1 A F T E R N O O N P R O C E E D I N G S

2 [1:05 p.m.]

3 DR. HOLLINGER: We will begin the afternoon  
4 session on Development of HTLV Supplemental Tests. Those  
5 speaking, we will keep you to your assigned times. If you  
6 were not assigned a time, then we will assign you one.

7 This is an important issue, the development of  
8 HTLV supplemental tests so we want to have plenty of time to  
9 have some discussion if we need to. We are going to start  
10 out with an introduction and background by Dr. Cowan, Senior  
11 Staff Scientist, Laboratory of Molecular Virology, DTTD.

12 **Development of HTLV Supplemental Tests**13 **Introduction and Background**

14 DR. COWAN: Thank you very much.

15 [Slide.]

16 I would like to begin by presenting to you the  
17 goal for this last session of the meeting which is HTLV  
18 supplemental testing.

19 [Slide.]

20 That goal is, to promote to the best of our  
21 ability, the submission of INDS for HTLV supplemental tests.  
22 There are currently no licensed supplemental tests for HTLV  
23 to follow up specimens that are repeatedly reactive on HTLV  
24 screening tests.

25 It is our intention to work with manufacturers of

1 research-used tests to remedy that situation. To that end,  
2 you will hear the following presentations.

3 [Slide.]

4 You will first hear a series of presentations on  
5 HTLV testing of U.S. blood donors. I will present an  
6 overview addressing some of the issues related to HTLV  
7 supplemental testing. Next, Dr. Susan Stramer will speak  
8 about the resolution of reactive HTLV screening test results  
9 with estimates on the number of specimens that would require  
10 supplemental testing. Dr. Michael Busch will then speak  
11 about clinical experience with HTLV supplemental tests.

12 After this discussion of clinical HTLV testing,  
13 you will hear two presentations that may offer funding  
14 opportunities to support licensure of HTLV supplemental  
15 tests by Susan Pucie from NHLBI and Pat Robuck from the  
16 Office of Orphan Products Development at FDA.

17 [Slide.]

18 To begin with the overview, why are we concerned  
19 about HTLV. There are two reasons. First, HTLV is  
20 associated with disease. By HTLV, of course, I am referring  
21 to HTLV I and HTLV II, retroviruses that are closely related  
22 to one another. HTLV I is known to be the etiologic agent  
23 of primarily two diseases, adult T-cell leukemia and HTLV-I-  
24 associated myelopathy, tropical spastic paraparesis,  
25 although other disease associations have been described as

1 well.

2 HTLV II also appears to be associated with a  
3 HAM/TSP-like disease. The second reason that we are  
4 concerned about HTLV I and HTLV II is that they are  
5 transmitted very efficiently by transfusion with the rate of  
6 approximately 63 percent for HTLV I.

7 As a result, on November 29, 1988, FDA issued a  
8 memorandum to blood establishments recommending testing of  
9 donations of whole blood and cellular components for  
10 transfusion for antibodies to HTLV I with licensed FDA  
11 tests.

12 [Slide.]

13 Subsequently, on August 15, 1997, FDA issued the  
14 guidance to industry on donor screening for antibodies to  
15 HTLV II recommending that blood establishments implement  
16 donor screening for antibodies to HTLV II using licensed  
17 tests.

18 [Slide.]

19 The testing algorithm for donor screening for  
20 antibodies to HTLV introduced in the 1988 document is shown  
21 here characterized colloquially as a "two strikes and you're  
22 out" algorithm. Primary screening of blood donors is  
23 performed using an EIA. A donation that tests repeatedly  
24 reactive by EIA is destroyed but the donor is not notified  
25 of the test result.



1           The donor is also eligible for future donations  
2 and non-reactive donations may be used until another  
3 repeatedly reactive test result is obtained on a subsequent  
4 donation even if there had been a series of intervening non-  
5 reactive test results.

6           At this point--that is, the second repeatedly  
7 reactive test result, the donor is indefinitely deferred  
8 from donating blood.

9           [Slide.]

10           An additional testing algorithm was introduced in  
11 the August 1997 guidance document to industry on donor  
12 screening for antibodies to HTLV II. In this case, a  
13 specimen from a single donation that tests repeatedly  
14 reactive using the primary screening test may be tested by a  
15 second licensed screening test of a different type for HTLV  
16 II and HTLV II antibodies.

17           However, if the specimen tests repeatedly reactive  
18 using the second screening test, then the donation is  
19 destroyed and the donor is indefinitely deferred and  
20 counseled on the basis of test results on this single  
21 donation.

22           If, on the other hand, there is a non-reactive  
23 result using the second screening test, then the unit is  
24 destroyed but the donor remains eligible for future  
25 donations as in the single EIA testing algorithm.

1 [Slide.]

2 However, there is an important piece of the  
3 testing algorithm that is missing. As stated in the August  
4 1997 document as well as an earlier statement from FDA,  
5 donors with repeatedly reactive donations should be  
6 permanently deferred whenever additional, more specific  
7 tests confirm that the donor has antibodies to HTLV I or  
8 HTLV II and utilization of investigational additional more  
9 specific tests may be useful in notification and counseling  
10 of donors with repeatedly reactive screening tests for  
11 antibodies to HTLV I or II.

12 Having said that, we are now at the issue of the  
13 matter at hand, and that is, at the present time, there are  
14 no FDA-licensed additional more specific tests for  
15 antibodies to HTLV I or HTLV II. This statement is taken as  
16 a quote from the 1997 guidance to industry on donor  
17 screening for antibodies for HTLV II and it still holds true  
18 today.

19 [Slide.]

20 As you are aware, supplemental tests provide more  
21 specific information about EIA reactivity, defining that  
22 reactivity in terms of antibodies to particular viral  
23 proteins as opposed to general reactivity to various  
24 populations of antibodies or false positive reactions.

25 These tests are typically immunoblots such as

1 Western Blots or strips continuing viral peptides or  
2 recombinant antigens. The lack of availability of licensed  
3 HTLV I-II supplemental tests impacts at least two sectors  
4 which you will hear more about later.

5 First, blood banks are suffering the indefinite  
6 loss of valuable donors who cannot be reentered into the  
7 donor pool. Second, blood donors cannot be counseled  
8 appropriately following a repeatedly reactive screening  
9 test. Dr. Stramer and Dr. Busch will speak directly to this  
10 issue. The impact of a false-positive test result on a  
11 blood donor cannot be ignored.

12 [Slide.]

13 Why are there no licensed supplemental tests for  
14 HTLV I and HTLV II? One historical reason is that research  
15 use, or only RUO tests, have been used for donor counseling.  
16 However, I must emphasize here, as I have in a previous  
17 presentation before this committee, that RUO tests should  
18 not be used for donor or patient-testing or counseling  
19 except under the terms of an IND exemption, this according  
20 to the Code of Federal Regulations.

21 Another reason for the absence of licensed HTLV I-  
22 II supplemental tests is communicated to me by a number of  
23 individuals in industry on numerous occasions is that the  
24 number of samples that would require supplemental testing  
25 may simply be too small to justify the expense of licensure.

1 This is due to both a low incidence of HTLV I and  
2 HTLV II in the U.S. and to the specificity of the currently  
3 licensed HTLV I-II screening tests. Dr. Stramer will be  
4 addressing this point next.

5 [Slide.]

6 I would like to end with the following request  
7 from the committee; as you listen to the various  
8 presentations in this session, I would ask that you please  
9 provide us with general comments on strategies to promote  
10 the development of licensed supplemental tests for HTLV.

11 Thank you very much.

12 DR. HOLLINGER: Thank you.

13 The next presentation is going to be Dr. Stramer  
14 from the American Red Cross.

15 **Presentation**

16 DR. STRAMER: Thank you.

17 [Slide.]

18 This is the topic that I was asked to cover today,  
19 resolution testing of HTLV screening tests repeatedly  
20 reactive blood donor samples. I will take you through an  
21 evolution of a process leading to where we are today with  
22 the dual EIA algorithm.

23 [Slide.]

24 As far as background, as Elliott Cowan just  
25 reported, currently there are no routinely available

1 supplemental tests for anti-HTLV I-II confirmation. Data I  
2 will show you shows that those that are available under IND,  
3 which includes one test, has indeterminate rates greater  
4 than 70 percent.

5 As Dr. Cowan also mentioned, an HTLV I-II  
6 algorithm is proposed in which repeat-reactive donations  
7 from the primary screen are then tested using a second  
8 licensed EIA of a different type and the use of this dual  
9 EIA algorithm was coincident with the implementation of HTLV  
10 I-II screening in February, actually February 15, of 1998.

11 According to this dual EIA algorithm, concordant  
12 repeat reactives are then tested by an investigational  
13 Western blot and the investigational Western blot is  
14 manufactured by Cambridge Biotech.

15 [Slide.]

16 The American Red Cross notified FDA of its intent  
17 to perform this algorithm on February 10, 1998 and, again, I  
18 said it was meant to be coincident with the implementation  
19 of HTLV I-II screening. We received verbal approval and  
20 then received written approval on March 15 of this year but,  
21 within our approval letter and relevant, as you will see, to  
22 some of the results I will show you, is FDA asked us to  
23 change the interpretation, the interpretive criteria,  
24 recommended by the manufacturer of the IND reagent.

25 The IND reagent states that you need to have two

1 gene products of HTLV reactive; that is, one from core, p24,  
2 and one from envelope which can be recombinant GP21e which  
3 is a recombinant protein or the viral lysate protein  
4 directly which is gp46.

5 This is relevant because p21e has high rates of  
6 nonspecific reactivity and that will come through in the  
7 data. But what FDA requested is that we maintain the Public  
8 Health Service criteria which is not what the IND requires  
9 but what uses a positive interpretation requiring p24 and  
10 gp46.

11 [Slide.]

12 For the dual EIA data that I will show you--that  
13 is, data subjected to the dual EIA algorithm--approximately  
14 \$7 whole-blood donations were screened using an anti-HTLV I-  
15 II kit and we used Organon Teknika as our primary kit.  
16 Repeat reactives were then tested by a second licensed HTLV  
17 I-II kit, Abbott. Concordant repeat reactives were tested  
18 by the investigational Cambridge blot, as I stated.

19 When we initially implemented this test, we worked  
20 with Community Blood Center of Greater Kansas City to also  
21 qualify the reverse of this algorithm; that is, for blood  
22 centers who begin with Abbott and then would use, as their  
23 reflex test, Organon. So I will show you a small amount of  
24 data showing how the reverse algorithm looks.

25 Then, in a larger study that we just completed,

1 looking at what is the supplemental test reactivity of  
2 discordant EIAs, we have looked at that in the presence of  
3 both directions of the algorithm; that is, we took 200  
4 samples from blood-systems laboratories in collaboration  
5 with Mike Busch and Sally Caglioti and they were tested  
6 first by Abbott and then by Organon.

7           With Red Cross samples, we took 128 Organon repeat  
8 reactives and then tested them by Abbott. All samples were  
9 tested, whether they were concordant EIA-reactive or  
10 discordant EIA-reactive, by both the Cambridge  
11 investigational western blot and also by a research test-kit  
12 referred to as a strip immunoassay or recombinant immunoblot  
13 assay RIBA, which is the same technology that was recently  
14 licensed for hepatitis C.

15           [Slide.]

16           To give you first some historical perspective on  
17 what our expectations are for HTLV, this shows you Red Cross  
18 data that I have shown at BPAC committees previously. It  
19 covers the year-and-a-half period of time between 1996 and  
20 1997 before the Red Cross made some changes in their  
21 screening test methodology.

22           Here you can see what the prevalence of HTLV that  
23 we would expect in a blood-donor population is relative to  
24 10,000 donations. So our expectation is 10 per 100,000  
25 donations. You can see here how that fits relative to other

1 viral markers we screen for.

2 [Slide.]

3 When we made a switch from the Abbott HTLV I kit  
4 to the Cambridge HTLV I kit, we noticed a dramatic change.  
5 Now, we changed vendors in June of 1997 and both algorithms  
6 involved a screening test followed by the Cambridge Biotech  
7 western blot. The issue with our change was the Cambridge  
8 EIA contained the exact same antigens as the western blot.  
9 Therefore, one would infer that what is repeat-reactive by  
10 the antigens of I would still be repeat-reactive by the  
11 antigens of the second test.

12 In fact, that is exactly what happened. So, if  
13 you compare two three-month periods of time, the three  
14 months just before we stopped the test and the three months  
15 when we introduced the new test, what is really compelling  
16 here are two points; one, that no matter what we do on  
17 western blot, we always see high rates of indeterminate.

18 Secondly, when we went from the Abbott test to the  
19 Cambridge test, all of a sudden, the number of positives we  
20 were reporting doubled. So that is a significant problem  
21 because we can't ignore a positive test result.

22 [Slide.]

23 Looking at the quality of the blot, you can see  
24 why the indeterminate rate is so high. What I am going to  
25 show you are manufacturing defects in the blot that occur



1 during the electrophoresis in construction of a western  
2 blot.

3 Here you can see what is referred to as smiling.  
4 That is when the glass plates generally aren't clean and we  
5 see antigens not electrophoresing completely linearly. The  
6 other thing you see on this blot are a tremendous number of  
7 viral bands that indicate not true positivity in these cases  
8 but, in fact, false positivity. There are HLA bands here.  
9 There is just background on the blot. Generally, they are  
10 poor quality.

11 [Slide.]

12 Here you can see another blot batch. Here you  
13 actually see a hole in the gel so this is on the positive  
14 control although it doesn't affect the area that you read.  
15 Again, non-specific viral bands--this is probably the only  
16 true positive of all the strips that I have shown you. Here  
17 is the p21e recombinant reactivity.

18 [Slide.]

19 The next three graphs are the ones that have the  
20 line that is very important to see. It is in pink. This  
21 shows you historically what has now happened when we  
22 switched EIAs. One would expect, under CGMP testing, using  
23 an FDA-licensed product on a relatively stable population,  
24 that, week-to-week, lot-to-lot, our numbers should be  
25 consistent and should be not fluctuating.

1 With the Abbott product, during each fall, we  
2 would see a rise in initial and repeat reactivity due to  
3 cross-reactivity of flu-shot vaccines so that would occur in  
4 the fall. Then, when we switched to the Cambridge EIA, our  
5 repeat-reactive rates skyrocketed as well as the initial  
6 rates. We were pleased when we switched, then, to Organon  
7 because, on the first two months, the rates went down.

8 But then what happened, in response to a request  
9 from FDA to increase the sensitivity of the kit based on a  
10 lot-release number, we saw a tremendous increase in repeat  
11 reactivity here. You can see a mean during these weeks of  
12 greater than 0.2 percent. As Elliott said, without  
13 supplemental testing, we have to tell donors something about  
14 these results.

15 Then they were allowed to recalibrate their kit to  
16 not exceed the levels that FDA requested it to on the lot-  
17 release panel and we saw a decrease. But now, what is  
18 happening, is we are seeing another increase. So the point  
19 in showing you this graph is that the screening repeat-  
20 reactive rates are not stable product-to-product.

21 [Slide.]

22 When we switched to the Organon HTLV I-II kit, we  
23 wanted to make sure that the sensitivity of our primary  
24 screen and the reflex screen, the second EIA, were  
25 comparable. So we asked the manufacturer for some

1 assistance. What the manufacturer did was provide us their  
2 most weekly reactive samples out of their clinical trial.

3           You can see this from S/COs of 1 to 2. Then, when  
4 tested on the Abbott kit, they showed very comparable  
5 sensitivities so we could see out of the FDA validated  
6 clinical trials the most weekly reactive confirmed-positive  
7 samples had equal strengths on both kits. So we felt  
8 confident that we could proceed forward.

9           [Slide.]

10           The data to date, for 7 million whole-blood  
11 donations is as follows with the dual EIA. I will now show  
12 you its stability over time. The Organon Teknika; again, we  
13 screened 7 million blood donations with a repeat-reactive  
14 rate of 0.12 percent. So this is the number that we start  
15 with.

16           Over this period of time, which is over a year,  
17 that includes 8,661 blood donors, we then tested those donor  
18 samples by Abbott. Only 35 percent were concordant repeat-  
19 reactive. So, just by doing the second EIA, we eliminated  
20 over half and, in this study, 65 percent of samples from  
21 unnecessarily being tested by western blot.

22           If you look at this as a concordant repeat-  
23 reactive rate, that is about a 0.94 percent repeat-reactive  
24 rate which is really what we would like to see for the  
25 reactivity of the primary screen. Those samples were then

1 taken on to western blot and here you see, if you look at  
2 the percent concordant repeat-reactive, what the outcome of  
3 the blot results were.

4           Again, 73 percent indeterminate. So it is this  
5 blot that is generating a lot of problems regarding high  
6 numbers of indeterminate. The non-reactives, as Elliott  
7 also said, are not tested further by the second EIA and  
8 those donors remain eligible for a second donation, although  
9 their repeat-reactive donation is destroyed.

10           [Slide.]

11           I wish this were clearer because this slide speaks  
12 a thousand words. These represent samples per week that are  
13 required for confirmatory testing. That sample, incoming  
14 samples into my lab--I run a confirmatory lab to the Red  
15 Cross--represents the fluctuation that we see week-to-week  
16 from product-to-product with HTLV.

17           This represents, initially, what we started with  
18 with Abbott. This represented the increase with Cambridge.  
19 Then we went to Organon. We saw a dip. Then we saw the  
20 increase and then another dip and now another increase.

21           [Slide.]

22           To look at the impact of the second EIA--and,  
23 again, I apologize regarding the colors--the blue, again,  
24 represents the number of incoming samples. The green line  
25 represents those samples that are non-reactive by the second

1 ELISA or ones that we do not have to do supplemental testing  
2 on. So, again, this represents 65 percent and it represents  
3 probably false positives from the primary screen.

4 The red or pink line down here represents the  
5 total number of concordant repeat reactives that go on to  
6 western blot. You can see that this line is relatively  
7 stable and that is because we are screening out most of the  
8 false positives with the second EIA.

9 [Slide.]

10 Looking at true positives following western blot,  
11 just western blot positives, this is what we see over the  
12 three tests. You will see the impact of the false positives  
13 on the supplemental or Cambridge western blot. With the  
14 Abbott test, over time, we generally saw, as I said, 10 per  
15 100,000 donations as confirmed positive.

16 When we converted to Cambridge, that number  
17 skyrocketed to 23 per 100,000. Again, we believe that is an  
18 artifact using the same kit for screening and confirmatory.  
19 Now that we are back with Organon using the dual EIA  
20 algorithm and the western blot, we are back now to 10 per  
21 100,000. You can see that relatively stable over the  
22 Organon product.

23 [Slide.]

24 As I said when we first started this testing, we  
25 also qualified the reverse algorithm. These are the data

1 from Community Blood Center of Greater Kansas City in  
2 collaboration with Gary Tegmire. These were the Red Cross  
3 data from the first five months.

4 We initially saw a primary screening repeat-  
5 reactive rate of 0.10. Then, at that time, only 45 percent  
6 of those were repeat-reactive on the second EIA for an  
7 overall repeat-reactive rate of 0.05 percent. This is a  
8 little bit lower than what I have shown you on the previous  
9 slide of 35 percent.

10 But, going from Abbott to Organon, in the  
11 beginning when Abbott was first licensed, the specificity  
12 wasn't so good. So the importance of the second EIA was  
13 very, very needed because it eliminated 90 percent of the  
14 false positives, a much higher number than here, and yielded  
15 an overall repeat-reactive rate by both directions of the  
16 algorithm that was relatively comparable.

17 [Slide.]

18 Looking at this now in a more recent study, also  
19 qualifying both sides of the algorithm, it is going from  
20 Abbott to Organon and Organon to Abbott, what we tested were  
21 200 samples from BSL, Blood Systems Laboratory. They were  
22 Abbott repeat-reactive, Organon nonreactive. We actually  
23 tested all of the discordant EIAs by supplemental testing so  
24 we could see if there were any positives not detected.

25 Of 150 that were discordant, or 75 percent, none

1 of them were Western blot positive.

2 [Slide.]

3 Looking at a similar study from the Red Cross, we  
4 had three samples here out of 128 total screened that  
5 included 93 that were discordant EIA-reactive. We,  
6 unfortunately, had three that were RIBA positive. This is  
7 the RUO test. A certain explanation of this is that they  
8 are false positives so we investigated further. These  
9 samples, actually, by western blot had only very strong p21  
10 reactivity according to PHS criteria but extremely strong  
11 p21 reactivity.

12 We tested them by another construct of p21e that  
13 has a piece of the recombinant protein truncated that is  
14 supposed to represent the non-specific region. All three  
15 samples were nonreactive with the more specific 21e  
16 construct. They also were negative by immunofluorescence  
17 and by RIPA in the state of California.

18 [Slide.]

19 So if you put all the data together for BSI and  
20 Red Cross in this first study of 200 screened in 128, only  
21 about 25 percent were concordant repeat-reactive similar to  
22 the overall Red Cross number I showed you of 35 percent.  
23 Similar results were obtained by RIBA and western blot for  
24 confirmatory testing also similar to those that I showed you  
25 from Red Cross experience total, which included about

1 24 percent being positive.

2 Now, when we looked at the Red Cross samples,  
3 there was one sample here that was western blot  
4 indeterminate and RIBA positive. Is that a false negative  
5 or a false positive. That sample is undergoing further  
6 study.

7 [Slide.]

8 This now combines a larger dataset of 200 Red  
9 Cross samples and 200 BSI samples, just to look at a two-by-  
10 two table comparing the performance of western blot to RIBA.  
11 When we did a statistical analysis to say, are these methods  
12 similar?" the statistics said no, they were significantly  
13 different.

14 The reason that these were significantly different  
15 was because of this cell that represented 47 percent of the  
16 data that is western-blot indeterminate, those problem  
17 samples that I showed you that were all RIBA negative. This  
18 would all be well and good if we had concordance on  
19 positivity for both assays, but, on this testing, we have 12  
20 western-blot-positive samples that were RIBA negative and we  
21 also had one western-blot-indeterminate sample that was RIBA  
22 positive.

23 So, in order for us to assess the sensitivity of  
24 these methods, further testing needs to be done because  
25 there appears to be some discordance. But the methods were



1 primarily not related because of the high numbers of  
2 western-blot indeterminates.

3 [Slide.]

4 So, summary and conclusions; the unavailability of  
5 HTLV supplemental tests having validated sensitivity and  
6 specificity have forced alternate strategies to be examined.  
7 Fluctuating repeat-reactive rates and screening test-kit  
8 performance have placed further pressure on the need for  
9 HTLV supplemental tests.

10 Screening and supplemental tests should not  
11 contain the same manufacturer antigens. The dual EIA  
12 strategy reduces the number of samples requiring western  
13 blot by at least 65 to 75 percent, consequently greatly  
14 reducing indeterminate rates.

15 [Slide.]

16 And even with the use of the dual EIA algorithm,  
17 high numbers of samples require further supplemental  
18 testing. The availability of HTLV I supplemental tests is  
19 limited and the quality of the one available is poor and  
20 RIBA may offer a more specific alternative to western blot  
21 once sensitivity has been fully qualified.

22 Thank you.

23 DR. HOLLINGER: Thank you, Dr. Stramer. Any  
24 questions for Dr. Stramer regarding the information she  
25 presented? So the percentage of positives that are EIA--I

1 mean, with a different test, the number that you are left  
2 with, finally, how does that make. How many donors? What  
3 percent of the donors would, therefore, under this  
4 circumstance, be ineligible?

5 DR. STRAMER: From the small study we just did  
6 with BSI and with our small number of samples, that was  
7 25 percent. So it is 25 percent of the repeat reactives  
8 which is 0.12 percent. 25 percent is 0.12 percent of  
9 0.04 percent.

10 DR. HOLLINGER: Okay; so the 0.04 percent.

11 DR. STRAMER: Which is really what I reported for  
12 the concordant repeat-reactive rate. So it is about  
13 0.04 percent is the total demand for supplemental testing.

14 DR. HOLLINGER: If you had supplemental tests, how  
15 many would that further reduce?

16 DR. STRAMER: The supplemental test would then  
17 divide the samples into negative indeterminate and positive  
18 and the answer to your question would be it would depend on  
19 the performance of the supplemental test. We would hope  
20 that all of them would be negative with very few positives,  
21 but we know that is unrealistic.

22 DR. HOLLINGER: Thank you.

23 DR. KHABBAZ: I have a question for Sue. Clearly,  
24 from the time that I knew HTLV better than now, and was  
25 involved with HTLV, things seem to have deteriorated with

1 regard to supplemental tests.

2 My question is what happened with the western blot  
3 that had I- and II-specific glycoproteins whose performance,  
4 as I recall, and I don't have the numbers, was much better  
5 than what you have now.

6 DR. STRAMER: You are referring to the Diagnostic  
7 Biotechnology blot which is now referred to as the GeneLabs  
8 blot. In your era of HTLV, there was the version 2.3 which  
9 is now the 2.4 soon to be the 3.0. But, anyway, that  
10 product was distributed in the United States under RUO  
11 labeling and it was being used for blood-donor counseling  
12 which is against the CFR that Dr. Cowan replied, so the  
13 product had to be removed from circulation.

14 They weren't following the guidelines as Cambridge  
15 was. They never filed an IND.

16 DR. NELSON: Will these supplemental tests--will  
17 the western blot or RIBA differentiate HTLV I and II?

18 DR. STRAMER: The one problem with the Cambridge  
19 blot and actually reported in the U.S. Public Health Service  
20 guidelines is it says that one guide to differentiate HTLV I  
21 from HTLV II is p19 or p24 reactivity. That is really not  
22 the way to do it.

23 The RIBA does have, and I should have mentioned  
24 this but didn't, HTLV I and, separate, HTLV 2 enveloped  
25 glycoproteins. So you can confirm and distinguish HTLV I

1 from HTLV II by specific peptide which the blot does not  
2 have. The blot that Rima referred to did differentiate but  
3 that is no longer available in the United States.

4 This western blot that I showed you is probably  
5 absolutely first-generation.

6 DR. KHABBAZ: The other one had a I- and II-  
7 specific glycoprotein recombinant or peptide--

8 DR. STRAMER: Right.

9 DR. OHENE-FREMPONG: Is there a nucleic-acid test  
10 in development?

11 DR. STRAMER: HTLV is a cellular-associated virus.  
12 It doesn't circulate freely in a viremic phase the way HIV  
13 does or HCV, so we can't do a plasma-based PCR. You can do  
14 cellular-based PCR but that requires cells and it is not  
15 something that is conducive to routine supplemental testing

16 There have been reports of serum-based HTLV PCR,  
17 but that has not been very well reproduced.

18 DR. NELSON: Have the donors been followed? Are  
19 most of the HTLV donors from endemic areas and the IIs are  
20 drug users?

21 DR. STRAMER: I think the epidemiology of HTLV has  
22 been pretty consistent. We see a predominance--and I don't  
23 want to use Red Cross data because that is based on p19 and  
24 p24 and I have zero confidence in that reporting methods.  
25 But, from REDS data, and I think Michael may hit on this, we

1 believe most of them are HTLV IIs still and risk factors,  
2 drug use. I think the epidemiology for HTLV has been pretty  
3 consistent.

4 DR. HOLLINGER: The next presentation will be by  
5 Dr. Busch on the clinical experience with HTLV supplemental  
6 testing. Dr. Busch represents Blood Centers of the Pacific,  
7 Irwin Center.

8 **Clinical Experience with HTLV Supplemental Testing**

9 DR. BUSCH: Thank you.

10 [Slide.]

11 I am glad to be able to address this topic. It  
12 has been one that has really been a problem for ten years or  
13 more and it is a more general problem than just HTLV. The  
14 issue of adequate supplemental assays for donor infectivity  
15 screening tests has continued to be a problem for all of the  
16 markers where, basically, as the companies bring forward  
17 more sensitive screening tests, there is not much incentive  
18 financially or from a regulatory perspective for them to  
19 invest in the appropriate supplemental test to complement  
20 the screening test.

21 So we have really been handcuffed in terms of the  
22 donor notification site of this for a number of years for  
23 all of the viruses.

24 [Slide.]

25 So I am going to slightly more generalize my

1 comments and, unfortunately, I had distributed some papers  
2 to FDA that I thought would be distributed and they weren't.

3 But, in any event, I would refer you to an  
4 editorial I wrote several years ago that really tried to  
5 focus on the adverse impact of the lack of adequate  
6 supplemental tests on our blood donors. As you can imagine,  
7 from all these tests put together, well over a percent of  
8 blood donations are detected as reactive on one or more of  
9 these screening assays and the donors are usually deferred  
10 and have to be notified and counseled and, often, in the  
11 absence of adequate--and, certainly, adequate FDA-approved  
12 supplemental assays.

13 A couple of just general, fundamental problems.  
14 One is that as the companies, again, bring forward enhanced  
15 screening EIAs, the lack of capacity to bring forward  
16 complementary enhanced supplemental tests to adequately  
17 notify these donors.

18 What we have been documenting over the last few  
19 years is a fairly high rate of false-positive supplemental-  
20 test results. This have been well-documented now in the  
21 context of HIV where, in the range of about 10 percent of  
22 all donors who were being notified that they are HIV western  
23 blot-positive in fact have patterns that are indicative, and  
24 a high proportion of those represent non-infected donors who  
25 have non-specific patterns on HIV western blot.

1           On HTLV western blot, again, in the range of  
2 probably 10 to 20 of donors who are scoring positive on the  
3 Cambridge biotechnology blot are actually false-positive  
4 blots. I will get into that as well.

5           On both HIV and HTLV immunofluorescence assay,  
6 false positivity has now been well documented. For  
7 hepatitis B surface antigen and p24 antigen neutralization  
8 assays, there is a fairly high rate of false positivity.  
9 For HIV, there are probably 20 false neutralization tests  
10 for every true neutralization positive that has been  
11 reported.

12           Even with some of the earlier recombinant tests,  
13 like HCV RIBAs, there was interpretive criteria that  
14 resulted in false-positive notifications and, indeed, as we  
15 have begun to evaluate the new-generation HTLV supplementals  
16 such as the Biotechnology blot or the HCV HTLV I-II RIBA,  
17 those do have much lower rates but still have some problems  
18 with false-positive results.

19           You can just imagine the impact on donors, many of  
20 whom are told they are false positive and only years later  
21 do we really understand this issue and the dilemma of going  
22 back to these donors who were told they were infected with  
23 these viruses and coming back, years later, and trying to  
24 tell them that we made a mistake, if we ever get to the  
25 point of retesting all those samples and sorting it out.

1 Another big area that has been just a chronic  
2 problem is, as Sue alluded to, the very high rates of  
3 indeterminate results using these viral lysate-based assays.  
4 So we are running in the range of 30 to 50 percent of blood  
5 donors who are repeat-reactive for HIV are reported out as  
6 indeterminate on the FDA-licensed western blots and, using,  
7 again, viral-lysate assays such as the Cambridge or the  
8 spiked blots from Biotechnology GeneLabs, we see 70 to  
9 80 percent of these donations are reactive on one band or  
10 another and the donors are being notified that they have an  
11 indeterminate test result.

12 Then, in terms of discrimination of viral  
13 subtypes, for none of the viruses, HIV, HTLV or hepatitis C  
14 are there approved methods for detecting subtypes which, in  
15 some cases, have great clinical relevance.

16 Now, the problem here really has to do with sort  
17 of the economics and regulatory issues around supplemental  
18 assays. There is a fundamental problem simply economically  
19 in that the market for supplemental tests is much, much  
20 lower than for screening assays.

21 So the companies focused their resources on  
22 getting better competitive screening tests developed,  
23 manufactured and licensed and tend to minimize the focus  
24 just enough to get them through the trials. And test like  
25 HTLV, where the market is relatively low, where the whole



1 world doesn't screen, the numbers of screened donations that  
2 require supplemental testing are so low that the companies  
3 basically are not willing to go through the continued  
4 developmental and regulatory channels.

5           As a result, in addition, the FDA's policies, I  
6 think, have been somewhat misguided in this area. Usually,  
7 the FDA looks at licensing a supplemental test in concert  
8 with approving a donor reinstatement protocol. So they are  
9 very critical of the assays requiring that they have head-  
10 to-head or improved sensitivity compared to the screening  
11 assays and, also, obviously, good specificity.

12           So that has resulted in very intense scrutiny of  
13 the assay sensitivity during the regulatory process which  
14 takes years and during the time that a company is trying to  
15 get approval for a supplemental test, there is a new  
16 screening test that comes along that has to build in type O  
17 or has slightly better window-phase sensitivity.

18           So a supplemental test that really was excellent  
19 and still is a great improvement over what we have is not  
20 able to get through the regulatory hoop. So we are  
21 basically, at this point, in terms of many of these agents--  
22 really, there are, to my knowledge, no really state-of-the-  
23 art supplemental tests going to FDA for HIV, HTLV, even  
24 though these assays are being widely used elsewhere in the  
25 world and they have been developed and are much better than

1 the current viral lysate or no approved assays that we are  
2 dealing with today.

3           So I think, to my mind, one important  
4 consideration to FDA would be to look at approving these  
5 assays for donor notification and counseling as an initial  
6 step independent of the issues around donor reinstatement  
7 that have implications for blood safety because what we  
8 really need--in fact, none of the large blood programs  
9 reinstate donors because it is such a regulatory risk that,  
10 if the FDA comes in and you reinstated a donor  
11 inappropriately, you really get nailed.

12           So most of the blood programs are not reinstating  
13 even though there may be an acceptable algorithm. So we  
14 would rather see the focus on getting methods for donor  
15 notification and counseling and potentially, downstream,  
16 through later validation studies, could the reinstatement  
17 issues be addressed.

18           The other issue, again, is that the supplemental  
19 tests become obsolete as a type-O antigen detection or other  
20 improvements in the screening test come forward. This leads  
21 FDA to put reinstatement programs on hold and so a test that  
22 might have been approved for donor reinstatement is no  
23 longer adequate. So FDA cancels the reinstatement program.

24           The other issue is that blood banks, as a  
25 consequence, some of them have taken a very, I think, donor-

1 adverse approach to the notification and are not even doing  
2 confirmatory testing for some agents although, as Jay said,  
3 there has been somewhat of a mandate and I think we are  
4 waiting for an FDA regulation to require supplemental  
5 testing.

6 At this point, it is not required and, given the  
7 absence of FDA-approved assays, some blood banks are  
8 actually notifying donors based on repeat reactivity which I  
9 think is totally unacceptable.

10 [Slide.]

11 Specific to HTLV, actually we did a study early on  
12 in the REDS group that looked at a very large number, I  
13 think around 500 or 600 HTLV repeat-reactive donors by PCR  
14 and a number of other assays. In fact, what we discovered  
15 was that the routing supplemental algorithms that were kind  
16 of built when the tests were first licensed, by Abbott in  
17 particular, were really extraordinarily accurate.

18 What they were doing is they were actually doing  
19 parallel western blot and radioimmunoprecipitation which is  
20 a labor-intensive sort of research-mode assay. And then  
21 many blood banks were supplementing that with early  
22 available peptide-typing assays.

23 What this study showed was that those methods were  
24 99.9 percent sensitive to detecting true infection. A small  
25 fraction of indeterminates were really infected and none of

1 the negatives were infected. So, really, the early  
2 supplemental-test algorithms that were available through the  
3 company reference lab channels were very accurate.

4 Over the subsequent years, companies did begin to  
5 develop and market RUO tests that were improvements. I will  
6 show some examples of those. There were peptide and  
7 recombinant p21 EIAs available. There were very enhanced  
8 antigen spiked western blots as well as what we will see in  
9 a few minutes, both Chiron and Innogenetics have been built  
10 completely recombinant peptide-based strip immunoassays.

11 Roche, actually, for a three or four-year period  
12 did have an RUO HTLV I-II PCR assay out on the market. But  
13 what happened was that none of these companies were willing  
14 to pursue the regulatory channel because, again, of these  
15 cost issues downstream, that there simply wasn't enough  
16 recovery envisioned downstream and the regulatory issues  
17 were problematic, as I alluded to.

18 So this has led people to develop the strategies,  
19 such as Sue described, doing alternative EIA-type strategies  
20 or using these older, first-generation viral lysate western  
21 blots and trying to report type differentiation based on  
22 p19, p24 band intensity which we now know is very  
23 inaccurate.

24 [Slide.]

25 I will show you a little bit of data about how

1 these results have resulted in artifactual observations of  
2 false positivity. I just wanted to show you a few of these  
3 newer blots. This is the one that Rima was addressing, the  
4 GeneLabs Diagnostic Biotechnology western blot that was  
5 first developed and reported back in the early '90's and has  
6 since gone through a few generations of improvement.

7 [Slide.]

8 Let me show you a representative figure. This  
9 assay, in addition to a viral-lysate-type western blot and  
10 the recombinant p21e antigen, which is a very sensitive  
11 antigen that cross reacts with all the virus, they added  
12 type-specific antigens for a particular envelope region.

13 So this allows one to differentiate whether the  
14 donor harbors HTLV I or HTLV II in the same assay. In the  
15 early-generation studies, the antigen that was the p21e  
16 antigen actually had nonspecificity, the same as the  
17 Cambridge blot.

18 [Slide.]

19 So we and others reported on problems with that  
20 assay reporting out a low rate of false-positive western  
21 blots. And the GeneLabs group actually went on to identify  
22 within that HTLV I p21e antigen the immunodominant-specific  
23 epitope versus the non-specific region. And they later have  
24 modified the blot to include a new revised antigen that is  
25 called GD21e which is very specific and does not have false

1 positivity associated with it.

2           So, in the background, companies have improved and  
3 these assays are manufactured actually overseas and are  
4 widely distributed worldwide.

5           [Slide.]

6           A Belgian company has developed an assay called  
7 the INNO-LIA test which is a complete recombinant peptide  
8 base supplemental test for HTLV.

9           [Slide.]

10           It has control bands and then it has HTLV I-II  
11 generic antigen so a p19, p24 and several enveloped antigens  
12 that react with HTLV specifically but do not define the  
13 type.

14           But then there are three type-specific antigen, a  
15 gag and two types of specific enveloped antigens which give  
16 you the type of the donor, be that I or II, among the  
17 seropositives.

18           [Slide.]

19           There has been a series of recent papers. This is  
20 just one of them I won't go through but the critical value  
21 of these tests is really that they eliminate the  
22 indeterminate results that were such a problem.

23           They are very accurate in terms of sensitivity and  
24 typing and they reduce the rate of indeterminate results by  
25 80 percent compared to what one sees with a lysate western

at

1 blot.

2 [Slide.]

3 This is the Chiron assay which we have been  
4 beginning to collaborate with them on that similarly to  
5 INNO-LIA assay has a series of strips. It is very similar  
6 to the HCV RIBA. There is also an HIV one to RIBA that has  
7 been in development. But basically it uses the same p21e  
8 antigen and then uses type-specific subsets of the p21e  
9 antigen to type the individuals or peptides and then a  
10 combined HTLV I-II gag test.

11 [Slide.]

12 So just to point out that these improved assays  
13 have been under development but they have not been  
14 implemented. In fact, if anything, we have gone backwards  
15 on HTLV. This became apparent to the REDS group as we were  
16 monitoring the epidemiology incidence prevalence of virus in  
17 the donor pool, all the other viruses, the incidence and  
18 prevalence has declined whereas what we observed for HTLV  
19 was an actual increase in the prevalence among first-time  
20 donors of HTLV over the period '93 to '95--

21 [Slide.]

22 --as well as a sudden increase in the point  
23 estimate of the incidence, although not significant.

24 [Slide.]

25 We wondered whether this was real or not. What we

1 did was to look back at the confirmatory assays and  
2 criteria. What we documented is what you have heard, that  
3 the Red Cross, in part because of some regulatory issues,  
4 moved away from using the p21e EIA and the RIPA assays going  
5 back to the only assay that has been submitted under IND,  
6 the Cambridge assay, in 1993, late '93, whereas the non-Red-  
7 Cross centers actually went the other way. We started to  
8 use this Diagnostic Biotechnology blot which has good  
9 specificity, supplemented by RIBA.

10 [Slide.]

11 So then we looked at the REDS data separating it  
12 by the Red Cross and non-Red-Cross centers. What we could  
13 see was that that increase in prevalence was limited to the  
14 three Red Cross regions and really probably coincided with  
15 this change to inferior, less specific supplemental tests.

16 [Slide.]

17 To further validate that, we did a study where we  
18 took 260 donations that the blood centers had called  
19 confirmed positive over this period of time. Those were  
20 tested by PCR, by peptide EIAs, and by the HTLV RIBA test.  
21 Using the very stringent criteria that all of these test had  
22 to be negative or the RIBA could be indeterminate, we  
23 identified 30 percent of these samples as false positive.

24 When we look at the frequency of false-positive  
25 results over time, we see that they really only began to be



1 reported by the blood centers in '94 and '95. Now, as I  
2 say, about 30 percent of what blood centers are reported out  
3 are false-positive results until the most recent change  
4 which is now the Red Cross is precluded from using the p21e  
5 antigen. So, now, nobody is being told they are positive.  
6 Everybody is an indeterminate.

7 So it is really a haywire situation.

8 [Slide.]

9 The last thing I wanted to mention is some  
10 comments about the impacts of false-positive and other test  
11 results on donors. This is an area that I think we have all  
12 kind of talked about and waved our hands about but REDS,  
13 over the last several years, has tried to do some formal  
14 surveys to try to quantitate the impact of false  
15 notifications and true notifications on donors.

16 We actually published a paper several years ago  
17 that looked at the psychological impact on notifying donors  
18 who truly were seropositive for HTLV I and II compared to  
19 normals and could document significant psychosocial impact  
20 on infected donors who were being told they had a virus that  
21 probably would never get them sick, that maybe they could  
22 transmit to others but we couldn't do anything about it, so  
23 kind of the problems about telling somebody they have one of  
24 these infections.

25 [Slide.]

at

1 But recently we have done another survey of about  
2 4,000 donors who were told they had various test results  
3 including various false-positive patterns. I just want to  
4 share a few slides of preliminary data from that survey.  
5 These surveys are organized mostly by Allen Williams.

6 What this summarizes is for some of the more  
7 common false-positive patterns, HIV indeterminate, HTLV  
8 indeterminate, HCV indeterminate, HCV RIBA-negative and  
9 anti-core reactive donors who were notified that they were  
10 deferred due to anti-core reactivity, what the impact was on  
11 these donors in their answers to questions such as this:  
12 "Were you confused when you were informed of these test  
13 results?"

14 You can see that 80-plus percent of these donors  
15 were confused with about half of them being very confused  
16 and half somewhat confused.

17 [Slide.]

18 Are they still confused? These surveys were  
19 actually administered about a year after these donors were  
20 notified. You can see that, still, a high proportion of  
21 these donors remain confused over the test results a year or  
22 more after the notification event.

23 [Slide.]

24 Did the notification emotionally upset them?  
25 Again, about 80 percent of these donors who were told that

1 they had what we, in fact, think are mostly non-specific  
2 results and try to reassure the donors--and, of course, for  
3 many of these viruses, we really can't help them further, or  
4 their doctors don't know anything about HTLV, so these  
5 donors are basically anxious over these test results.

6           Again, this was a persistent finding in a high  
7 proportion of those donors.

8           [Slide.]

9           Many of them went on to seek--even though our  
10 counseling messages say, "You are not infected; it is okay,"  
11 many of them on to see physician follow up after these  
12 notifications.

13           [Slide.]

14           Finally, within the REDS group, we have tried to  
15 address this problem and talk to FDA about potential  
16 strategies. So, over the last year, we have compiled some  
17 panels, large panels, of pedigreed samples from donors with  
18 well-characterized HTLV infection status.

19           We have begun to develop collaborations. We have  
20 been working recently with Chiron, one of the manufacturers  
21 of the peptide EIAs although this company has now decided to  
22 discontinue making that test so they are no longer  
23 interested in studying this, it seems.

24           And Innogenetics, we have now started to  
25 collaborate with them to try to do preliminary evaluations

1 of their tests and then, together with a company after we  
2 felt that the test that they have is adequate, try to file  
3 and IND because the companies are not willing to file this  
4 on their own accord or fund and pursue a clinical trial.

5           So we have pursued the strategy somewhat analogous  
6 to NAT to try and file and IND with the company and then  
7 continue to test under IND doing some additional clinical  
8 studies but with an understanding with FDA that  
9 realistically this may not evolve to a full application but  
10 will at least allow donor notification while testing under  
11 IND and the concept of probably broadening the testing to  
12 several of the larger testing labs to allow the availability  
13 of these results for all donors.

14           Thank you.

15           DR. HOLLINGER: Thank you, Mike. Any questions of  
16 Dr. Busch?

17           DR. TABOR: I really regret that I have to correct  
18 a number of very careless comments, or comments that appear  
19 to be very careless in the early part of your talk.

20           First of all, you gave the impression that  
21 confirmatory tests or supplemental tests for several of the  
22 viruses that are tested for in blood have a very high rate  
23 of nonspecificity quite apart from the indeterminate  
24 results. I don't believe that that is really connotatively  
25 verifiable.

1           Second of all, you gave the impression that  
2 companies are beating down the door at FDA to try to get us  
3 to approve supplemental tests that are new and improved.  
4 That is certainly not the case.

5           Third, you gave the impression--in fact, you  
6 stated--that the development of new screening assays for  
7 things like HIV group O, that was a specific example you  
8 gave, immediately invalidated confirmatory tests that had  
9 already been approved by FDA.

10           First of all, we are still not testing for group O  
11 because the companies have been slow to develop screening  
12 tests for group O and, even if you had a screening test for  
13 group O, it would certainly not invalidate a validated  
14 confirmatory test or supplemental test for the vast majority  
15 of the HIV that is detected in this country.

16           Finally, you made the careless mistake of  
17 referring to RUO tests that are "on the market." RUO, in  
18 case anybody missed it, stands for research use only and the  
19 companies are not supposed to have those on the market, even  
20 though they do, in fact, get cost reimbursement for them.  
21 They have to have an FDA license or approval in order to be  
22 on the market.

23           DR. BUSCH: I am sure my mother will disagree with  
24 you. The first point, I think I could share with you a  
25 series of manuscripts published from the REDS group and

1 others in terms of the false-positive problems with the  
2 current supplemental tests. Obviously, they are most  
3 serious in the donor setting. In any kind of high  
4 prevalence, high-incidence setting, they are fairly trivial.

5 But, in the blood-donor setting, I can show you  
6 published papers for HIV, HTLV, p24 antigen, surface  
7 antigen, that document what I think are unacceptable 5, 10,  
8 15 percent rates of false-positive results coming off these  
9 assays in the blood-donor setting.

10 In terms of beating down the doors, I think what I  
11 said was that there are no tests in the pipeline or, if  
12 there are, they are stalled. I discussed these issues  
13 extensively with the companies and, basically, they won't  
14 bring tests in because they can't afford the cost of these  
15 trials to them get to the market that is so small and the  
16 unlikely regulatory path that is reasonably likely to be  
17 aborted because of the third issue which is that, although  
18 group O may not have invalidated the license status of the  
19 licensed western blots, any test for supplemental assays, it  
20 is my understanding, that would come forward now for  
21 supplementing donor screening would have to have group O  
22 represented.

23 So, if a company had a test well in development or  
24 through clinical trials, I think they would have to go back  
25 and, it is my understanding, incorporate group O into those

1 to get them licensed downstream. I am not certain about  
2 that.

3 The last issue, RUO; I guess it is the definition  
4 of market. We were able to purchase and run the  
5 biotechnology blot several years ago for research use only.  
6 The preclusion became that we were told, "Yeah; you can buy  
7 it and do research with it but you can't tell people the  
8 results." It is a definition of market.

9 DR. NELSON: Are these supplemental tests--would  
10 they classify as an orphan drug?

11 DR. BUSCH: I think you will about potentially  
12 that.

13 DR. NELSON: As I understand it, that category of  
14 drug was used to deal with the disincentives to develop  
15 important diagnostic and therapeutic reagents based upon  
16 only economic considerations. Here it seems to me to be  
17 pretty remarkable.

18 The other issue with some of the supplemental  
19 tests is that--and it may be based, and I guess it is, on  
20 economics--the costs of, for instance, the RIBA for the  
21 hepatitis C that the company cites make it impossible to use  
22 this for research. It is like \$100 an assay or something  
23 ridiculous.

24 I don't know what the blood banks are paying or if  
25 this is part of the equation or part of the problem, but I

1 know for use in research in a non-blood-bank situation, it  
2 is just not feasible. They are important, obviously,  
3 because false positives in a research setting can generate  
4 data that is not--

5 DR. BUSCH: I agree. I think strategies such as  
6 Sue has developed of using alternative EIAs to save that  
7 cost on a large number of samples is great. I think FDA  
8 early on wasn't terribly supportive of using alternative EIA  
9 strategies, but I think recently they have been receptive to  
10 that.

11 DR. KHABBAZ: It is a comment and not a question,  
12 Mike, but if my memory serves me right, screening for HTLV I  
13 a decade ago, as I remember, was pushed because the tests  
14 were there. The manufacturers made them. They were there.  
15 We weren't sure about the disease and what we were  
16 preventing but they made these tests, screening tests, and  
17 pushed and we did.

18 It is easy for us to sit here in the era, as Jay  
19 eloquently defined, the precautionary paradigm and say it is  
20 good because we are preventing disease with one little bit  
21 and with two possibly very little. I won't get into that  
22 argument but, at the time when they pushed these tests, as I  
23 recall, they offered--part of the deal was to offer  
24 supplementary tests.

25 You mentioned that, Mike. That was done. To get



1 to the economic incentive, clearly the incentive--the  
2 screening assays is where the economics come into play.  
3 Somehow it seems that we have had, over the years, a  
4 separation whereas the screening tests--and you have  
5 improved tests--are there and where the money is to be made.

6           Yet, the manufacturers of these screening tests  
7 have kind of divorced themselves from the supplemental  
8 assays and you are left with what we are left with, talking  
9 about orphan tests. They are, in a way, but they are not if  
10 you link them to the bigger picture of screening.

11           DR. EPSTEIN: I agree with what Dr. Khabbaz just  
12 said. The agency, however, was criticized for, if you will,  
13 holding up the pace of development of screening tests by  
14 holding the companies to the standard of having available  
15 supplemental tests, at least as in-house services, at the  
16 time of approval of screening tests. So the arguments cut  
17 both ways.

18           On the question of whether we could change the  
19 approval standard to approve HTLV or other tests as  
20 diagnostics independent of the whole question of donor  
21 reentry, well, of course we could. But our approvals  
22 process is geared toward the product claim. The question is  
23 what is the intent to market?

24           The problem isn't that we couldn't approve them in  
25 their own right as diagnostic, it is that if they are being

1 used to follow up donor screening by EIA, then, in order to  
2 understand the false-negative rate, you have to compare it  
3 to the sensitivity of the EIA.

4           So it is more a scientific issue than a policy  
5 issue. In other words, we could approve with different  
6 labeling tests that had lower sensitivity than EIAs but what  
7 exactly do we say in the labeling claim if we don't have a  
8 requirement to know the answer?

9           And then I would make one other observation about  
10 trials which is that there is a paradox here because it has  
11 been stated repeatedly, well, we had these great RUO tests.  
12 But, if they were that great and people believed it--  
13 presumably, they believed it because of data, and the  
14 question is why couldn't those data be brought before the  
15 agency. If they are so convincing as all that, why can't  
16 they be compiled?

17           So we always get into this conundrum where the  
18 test that is not studied through official mechanisms, legal  
19 routes, is always touted to be better than sliced bread.  
20 But somehow no one can show the agency the data. I think  
21 that we ought to ask that question, that if those tests are  
22 that good, what prevents them from that being demonstrated  
23 under IND or the data otherwise provided.

24           I think that is important issue. Then one last  
25 point about the economics. As you showed, the positive

1 rates of screening, the repeat-reactive rates, aren't all  
2 that different for some of the other markers compared with  
3 HTLV. But there is a big difference in the size of the  
4 market for the supplemental tests.

5           The reason for that is sort of external to the  
6 blood system. It is because there is a public-health  
7 testing role for the other screens whereas, for HTLV, there  
8 is no mandate for routine public-health screening in  
9 essentially any context for HTLV. That is why there is not  
10 a collateral market. It is not that the blood system better  
11 supports the economic profitability of the supplementals for  
12 some of the other agents, it is just that there is a larger  
13 global market that has very little to do with blood  
14 screening.

15           These are just additional observations. I am not  
16 really criticizing anything one way or the other, just that  
17 these are some of the factors that the committee needs to be  
18 aware of.

19           DR. KHABBAZ: Just for the record, my comments  
20 were not meant as criticism to FDA.

21           DR. HOLLINGER: Thank you, Mike.

22           The next speaker is Susan Pucie from NHLBI on  
23 funding opportunities for small business. That will be  
24 followed by Patricia Robuck.

25           **Funding Opportunities for Small Business**

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

**Presentation**

MS. PUCIE: Thank you. As I am getting the slides set up, I just to let you know this will be noncontroversial because I am here to show you the money.

[Slide.]

Thank you for inviting me to talk about the small-business funding opportunities at NIH. We appreciate every chance to publicize these programs. In the next few minutes, I am going to give you a lot of information but don't worry about taking notes because hard copies of the slides are available. I tried to hand them out and then there are some on the chair in the front.

The National Institutes of Health has two programs reserved for small business. They are the Small Business Innovation Research Program or, for short, the SBIR Program, and the Small Business Technology Transfer Program, or the STTR Program.

[Slide.]

Briefly, the SBIR Program sets aside 2.5 percent of the NIH extramural budget to support innovative research conducted by small business that has potential for commercialization. We do anticipate that that percentage will increase over the next year. The STTR Program sets aside 0.15 percent of the extramural budget to support innovation through cooperative R&D carried out between small

1 business and research institutions.

2 [Slide.]

3 In Fiscal Year 1999, the NIH expects to award  
4 \$1,520 SBIR and STTR grants for approximately \$325 million.

5 [Slide.]

6 To qualify for an SBIR or STTR award, the small  
7 business must meet these four criteria. You must be an  
8 independently owned, controlled and operated for profit U.S.  
9 business. You have to have a principal place of business in  
10 the U.S., the control of the research facilities where the  
11 research will be conducted and you must have 500 or fewer  
12 employees.

13 [Slide.]

14 In addition, to qualify for an STTR award, the  
15 small business must be a partner with a research institution  
16 and at least 40 percent of the STTR work must be performed  
17 by the small business and at least 30 percent by the  
18 research institution.

19 [Slide.]

20 Why pursue SBIR or STTR funding? Because over  
21 \$300 million are available; because this is seed money to  
22 fund high-risk projects; and this is not a loan. There is  
23 no repayment of the awards. The company retains the  
24 intellectual property rights. You get recognition and  
25 visibility. This is a potential leveraging tool to attract

1 capital. And NIH is interested in doing business with you.

2 [Slide.]

3 Here is a little information about program  
4 mechanics. There are three phases to the SBIR and STTR  
5 programs. Phase 1 is to evaluate the scientific and  
6 technical merit and feasibility of an idea and the awards  
7 are for six months for up to \$100,000. Phase 2 is to expand  
8 on the result of and further pursue the development of phase  
9 1. SBIR awards are for two years for up to \$750,000 and  
10 STTR awards are for two years for up to \$500,000.

11 Phase 3 is for the commercialization of the  
12 results of phase 2 and it requires the use of private sector  
13 or non-SBIR federal funding. I just want to mention here  
14 that the numbers that I quoted, \$100,000 for phase 1 and  
15 \$750,000 and \$500,000 for phase 2, NIH is flexible about  
16 those figures. If you need more time and dollars, if you  
17 justify that in your proposal, that will be considered.

18 [Slide.]

19 There are three receipt dates for each of these  
20 programs. Applications for the SBIR Program are due on  
21 April 15, August 15 or December 15. Applications for the  
22 STTR Program are due April 1, August 1 and December 1.

23 [Slide.]

24 For projects with a very clear development path  
25 that have already attracted outside interest, there is also

1 a fast-track parallel review option. This allows for  
2 concurrent submission and review of phase-1 and phase-2  
3 proposals. The funding gap between phase 1 and phase 2 is  
4 eliminated or reduced.

5 The key requirements are that the phase-1  
6 application contain clear measurable milestones and the  
7 phase-2 application contains a product-development plan.

8 [Slide.]

9 Now, I would like to say a few words about my  
10 institute which is the National Heart, Lung and Blood  
11 Institute and our SBIR Program. The NHLBI Program fosters  
12 research on pharmaceuticals, medical devices and implants,  
13 biologics, informatics and biotechnologies for the causes,  
14 prevention, diagnosis and treatment of heart, blood-vessel,  
15 lung, blood diseases and sleep disorders.

16 [Slide.]

17 In Fiscal Year 1998, the NHLBI awarded 162 SBIR  
18 and STTR grants for over \$35 million.

19 [Slide.]

20 Now, more specifically, the NHLBI is very  
21 interested in receiving strong proposals from small business  
22 in technologies and methods to improve the safety of the  
23 nation's blood supply. The following four slides highlight  
24 some of these areas. But I will just mention, for example,  
25 our interest in assays or agents that cause transfusion-

1 transmitted disease.

2 [Slide.]

3 Equipment and procedures for the collection,  
4 separation, processing, preservation, storage, distribution  
5 of blood and blood components, computer-assisted systems to  
6 improve the blood-donor screening process, management and  
7 education systems for more effective and appropriate use of  
8 blood products, and methods and technologies for  
9 inactivation or removal of microorganisms from blood, blood  
10 components and plasma derivatives.

11 [Slide.]

12 If you are interested in learning more about the  
13 SBIR and STTR Programs, or if you would like to discuss a  
14 specific application, please call, fax or E-mail my office  
15 and I will be glad to help you or put you in touch with  
16 program staff with the right expertise to discuss your  
17 ideas.

18 [Slide.]

19 I would also like to encourage you to visit the  
20 NIH Small Business Funding Opportunities page which is on  
21 the Web. Let me mention there is also a model or a sample  
22 application there if you are new to writing one that is very  
23 helpful to new applicants.

24 [Slide.]

25 Finally, you can obtain hard copies of the



1 official solicitation documents for these programs at this  
2 address. The SBIR Program is sort of a fuchsia colored book  
3 and the STTR is a blue book. All of this is on the Web but  
4 it is easier to see in hard copy.

5 I hope this information has sparked your interest  
6 and I thank you for your attention.

7 DR. HOLLINGER: Thank you very much.

8 The next presentation, then, is by Patricia  
9 Robuck, Office of Orphan Drug Production.

10 **Presentation**

11 MS. ROBUCK: I have to say that Susan took one of  
12 my lines, but I have a couple more. The next one is, "I am  
13 here from the government and I am here to help you." That  
14 always gets a chuckle.

15 And Dr. Nelson, are you a plant?

16 DR. NELSON: No; I am an animal. [Laughter.]

17 MS. ROBUCK: Oh, no; as in planted in the  
18 audience.

19 [Slide.]

20 I am from the Office of Orphan Products  
21 Development. The one thing that I don't have on here that I  
22 should have put on here is my phone number, so any of you  
23 that are interested. You should have copies of this as  
24 well. My phone number is 301 827-0984. That will get you  
25 right to my phone. Or 301 827-3666. That will get you to

1 the office.

2 [Slide.]

3 As some of you, obviously, already know, we were  
4 brought into existence in 1983 to try and help industry and  
5 the academic community to make products available to persons  
6 with rare diseases and disorders. This was signed into law,  
7 as I said, in 1983.

8 [Slide.]

9 The definition of this; there are two definitions.  
10 The original definition was for products with little or no  
11 commercial value. It took less than a year for us to  
12 realize that that was a very difficult definition. And,  
13 therefore, the definition was amended to include disease or  
14 disorders that affect fewer than 200,000 persons in the  
15 United States.

16 This is a prevalence figure. It is not an  
17 incidence figure. But, for example, in the subject that we  
18 are talking about today, incidence would be appropriate use  
19 of this and we would be looking at yearly incidence in this  
20 particular case.

21 So we are not looking at the number of people  
22 that, perhaps, are HTLV-positive in the United States. What  
23 we are looking at is the population of intended use. So, if  
24 the population of intended use for this product is fewer  
25 than 200,000, then you would qualify.

1 [Slide.]

2 Of importance today--I hear people saying that you  
3 need some incentives and you need some help with money--is  
4 that our office supports only clinical trials. We can't  
5 help you, but the SBIR can, in the beginning stages. But we  
6 will give money for clinical trials only. These include  
7 studies of drugs, biologics, medical foods and medical  
8 devices.

9 The incentives, and there are other incentives  
10 under the Orphan Drug Act, are limited to drugs and  
11 biologics but the Grants Program goes further. They are to  
12 determine safety and efficacy. And the data that is derived  
13 from these clinical trials is intended for potential use by  
14 the agency.

15 So what that means is that they must be done under  
16 an IND or, in the case of a device, and IDE. We,  
17 unfortunately, do not fund basic research. I was intrigued  
18 by the amount of money that the SBIR Program has. We have  
19 about, I think, \$11.1 million this year. But maybe it will  
20 be up next year.

21 [Slide.]

22 So, as I said, the goals of the Grants Program are  
23 to accelerate products getting to the marketplace. We want  
24 availability of products under the whole guise of the Orphan  
25 Drug Act, but we want to get these products to the market as

1 fast as we can. As I said devices and medical foods are part  
2 of this.

3 [Slide.]

4 So what is the process? The first thing we do is  
5 we put out a Federal Register Notice. I will tell you at  
6 the end, so you have to pay attention when that is coming  
7 up. Our office does a review of the application. One of  
8 the most important parts of the RFA and the application is  
9 the IND or the IDE. It will state very clearly in the RFA  
10 that your study must be clinical trial, it must be done  
11 under an IND or an IDE that has been submitted to the  
12 appropriate division of the FDA at least 30 days prior to  
13 the application deadline.

14 This is a change that has taken place over the  
15 last few years. The reason is that we need to make sure  
16 that you are ready to start your trial when the funding  
17 begins. We also need to make sure that when we review the  
18 application that it is a study that can go forward.

19 The 30 days is so that you are not calling the  
20 appropriate division of the FDA every day saying, "When is  
21 it going to be approved?"

22 Once we get the applications in, we get category-  
23 specific reviewers and we form ad hoc panels to review these  
24 grant applications. Typically, we get about 100  
25 applications. Sometimes, we get a few more, but it

1 guarantees that the applications, for example, that have to  
2 do with blood and blood products will not be reviewed with  
3 applications that have to do with other disorders,  
4 orthopedics or neurology.

5 We will do literature searches and we will find  
6 the experts in these fields so that even if a product does  
7 not get funded that particular year, we will prepare a  
8 summary statement and tell you what you need to do to get it  
9 funded, perhaps, in the future, where your science is off.

10 Then we take it to a national advisory council and  
11 the grant is awarded.

12 [Slide.]

13 We award approximately 20 to 25 new studies a  
14 year. It really depends on how much money we have in a  
15 given year and where we are going with that, how much money  
16 has to go to continuing studies.

17 The program review criteria that we do, just so  
18 that you know exactly what we are looking for in the  
19 application is, of course, we have to be sure that your  
20 prevalence is under 200,000. We ask you to document that.  
21 I have already told you that you have to have an active IND  
22 or an IDE.

23 [Slide.]

24 And then, when it goes to ad hoc panel review,  
25 this is a scientific and technical-merit criteria that we

1 ask for. It is important to note that we get all of our  
2 reviewers from the academic community. We have no one from  
3 business on our committee. It is an anonymous process that  
4 we never reveal the names of our reviewers for any ad hoc  
5 panel.

6 We do this so that we get the best review and  
7 because, a lot of times, there are only a handful of experts  
8 in that field. We don't want to discourage anyone. If we  
9 are asked to reveal the names of our reviewers, we will give  
10 you the names of everybody in our reviewer database, which  
11 is presently about 600 reviewers. But it changes. Every  
12 year when we have a new panel, we make it up of new people.  
13 And we have a rule that we cannot have the same panel twice.  
14 So there will always be new members that will be added to  
15 this.

16 These are just the normal things that you would  
17 expect to see.

18 [Slide.]

19 We look for adequacy of the resources. This is  
20 where something like an SBIR other sources of funding take  
21 place. I haven't told you yet. The dollar amounts are not  
22 great for funding a clinical study. They are \$200,000 per  
23 year for up to three years but you are also allowed indirect  
24 costs. So it can be substantially more than that.

25 So it is perfectly okay and it is very much

1 encouraged that you seek other sources of funding as well.  
2 Our grants can go to either for-profit or non-profit  
3 organizations. It makes no difference to us. We limit it  
4 to small businesses, however that is defined.

5 We want to make sure that you do have the product,  
6 that you have justified that budget and that, if you tell us  
7 that you need a million dollars and you have only asked us  
8 for \$200,000, where are you going to get the rest of the  
9 money for the study.

10 Of course, you have to have informed consent and  
11 IRB approval.

12 [Slide.]

13 We anticipate that the FY 2000 RFA is going to be  
14 coming out sometime in July. Right before I left here, I  
15 got an E-mail saying that it had cleared general counsel.  
16 So I anticipate that it will be in the Federal Register in  
17 the very near future.

18 As soon as it gets up on the Federal Register,  
19 then we will also put it on our website. So if you are  
20 looking for it, you can call the office. You can E-mail us  
21 and we will send you a copy of that. We will have two due-  
22 dates. The first one is November 15 and the second one is  
23 April 1 of the Year 2000.

24 Our money is tied to fiscal year which means that  
25 we have to spend all of our money by September 29 of the

1 year 2000 when the money becomes available. We will do just  
2 that. So it really makes very little difference if you  
3 apply in November or whether you apply in April of any year.  
4 What it will do, if you have a stupendous score when you go  
5 to the ad hoc panel, you might get funded about two or three  
6 months before the grants that were submitted in April.

7 But we take all of the applications and we put  
8 them all together and we fund until the money goes out.

9 There is one other point that I would like to make  
10 and that is if you do get a grant or if you need any  
11 information about grants or if you have an orphan product,  
12 we have a staff of people--it is not a huge staff--but we  
13 have a staff of people that will help you walk through this  
14 whole process. We are delighted to have all comers and we  
15 would welcome applications or phone calls from anyone that  
16 is interested in developing these products.

17 Thank you.

18 DR. HOLLINGER: Will you write the grants, too?

19 MS. ROBUCK: No; but I might be able to tell you  
20 what to put in there and what not to put in there.

21 DR. HOLLINGER: Thank you very much. Appreciate  
22 it.

23 We are under a little time constraint here and I  
24 really must apologize to the committee and everyone here.  
25 But, apparently, there is something outside, a class reunion



1 or something, that is going to be barging in here before too  
2 long. We have got a little bit of extra time, but I do want  
3 to give everybody a chance to talk and then we will have to  
4 come back to this.

5 So if you will bear with me, let's go through--we  
6 have several speakers who have asked to speak on the HTLV  
7 supplemental test. They have five minutes, each one, no  
8 more than that. The first one is Dr. Michael Ussery from  
9 Innogenetics.

10 **Open Public Hearing**

11 DR. USSERY: I have slides for ten minutes, so I  
12 will cut it. Dr. Busch has actually already mentioned our  
13 product.

14 [Slide.]

15 This product was just approved on the 15th of June  
16 in France on the basis of three studies that I will mention  
17 and that are published. They are retrospective studies of  
18 multiply reactive samples.

19 [Slide.]

20 It is a line immunoblot assay for the confirmation  
21 of antibodies to HTLV. You can use either serum or plasma.

22 [Slide.]

23 There are control lines on the strip as well as  
24 the confirmatory lines that Dr. Busch mentioned to you and  
25 the discriminatory lines that allow a determination of a

1 HTLV I or II infection. I did provide handouts to the  
2 committee. It looks like most of you have them.

3 There are a few slides that are not in those  
4 handouts and I will be happy to E-mail anyone a powerpoint  
5 including those.

6 [Slide.]

7 This is a description of the controls. I think  
8 that might not be in the handout.

9 [Slide.]

10 There is a semiquantitation that is allowed by the  
11 strip but it is certainly not a quantitative assay. The  
12 different antigens, either recombinant proteins or synthetic  
13 peptides that go up to make the materials that are on the  
14 strips.

15 [Slide.]

16 The test procedure involves an overnight  
17 incubation with the sample. Often, that is started as soon  
18 as the reactive result is gained on the initial test. Once  
19 that result is there, the labs often put these on overnight  
20 and then a couple of steps the next morning.

21 [Slide.]

22 This is an example of a result on a strip that  
23 shows that HTLV I pattern. So it is positive in the  
24 confirmation lines in the middle, the last two, and in the  
25 first two, one specific discriminatory line in the bottom

1 panel.

2 [Slide.]

3 This is one of the studies that was used for  
4 approval in France--this is from the Rega Institute in  
5 Belgium--looking at a number of samples, comparing them with  
6 the GeneLabs 2.4 assay. In this case, you can see a  
7 negative that was called positive by the GeneLabs. This was  
8 confirmed negative by PCR. All of the discordant results  
9 that we have here were confirmed by PCR when we had cells.

10 There was a call of a 2 with the GeneLabs. That  
11 was determined to be a 1. And that was additionally  
12 confirmed by serotyping. We didn't have cells to do that.

13 [Slide.]

14 One of the big problems with the 2.4 western blot  
15 as well as the 2.3 is the number of indeterminates. We  
16 determined that 24 of those were negative and confirmed all  
17 of those by PCR. There are a few other differences in the  
18 chart that you have. Those were all confirmed.

19 [Slide.]

20 This western blot of indeterminate was confirmed  
21 to HTLV II both by the INNO-LIA and by PCR. A number of  
22 indeterminates, most of them fall out as negative.

23 [Slide.]

24 Obviously, the LIA is not a western blot. It is a  
25 plastic-bag strip. It can be automatically read as well as

1 manually read with our auto-LIA and our scanning procedures.  
2 There are control lines and antigen lines fixed on the  
3 membrane.

4 [Slide.]

5 Just some characteristics, semi-quantitative.

6 I think that is all the slides. In the interest  
7 of time, there are two other studies that were used and I  
8 only received the publication for one study on Monday that  
9 was from the Journal of Clinical Microbiology in May of this  
10 year. That study was in Brazil. There we had 18,000 donors  
11 that we looked at which 292 repeatedly reactive samples.  
12 And, by western blot, there were 172 indeterminates out of  
13 that 292. And we were able to show and confirm by PCR that  
14 153 of those were actually negatives.

15 There were 54 samples that were nontypable but we  
16 were able to type. There were actually 69 typables. Our  
17 test could type 54 of those 60. There was one other study  
18 that was performed in France, and I have the reference for  
19 that as well, that was done on commercially available  
20 samples.

21 So I think, in the interest of time, I will stop.

22 DR. HOLLINGER: Thank you very much.

23 The next speaker is Ms. Birgit Fleurent from  
24 GeneLabs Technology.

25 MS. FLEURENT: Good afternoon, everyone.