

1 phenotypic reporting.

2           At this time, most of the systems give fairly much  
3 the same results. A 184, you have got 3TC resistance. They  
4 all tell you that. I think the areas where there is a lot  
5 of debate is in those types of drugs I showed you in the far  
6 right-hand corner of the slide where they don't have much of  
7 a phenotype and the mutations that are associated with that  
8 low-level phenotype have not been well worked out. And so  
9 there is a lot of debate, for example, on whether something  
10 is D4T partially resistant or susceptible resistant.

11           But I think for most of the major drugs, there is  
12 a growing consistency across the reporting formats.

13           DR. McCURDY: That would seem to me to be one of  
14 the major potential barriers to switching from so-called  
15 class III to class II is how one deals with new changes as  
16 they come down the pike.

17           DR. BOYLE: Quite frankly, that is probably the  
18 easiest solved problem if there was a barrier. All it would  
19 take would be the desire of industry to get together and do  
20 it because the data is there.

21           DR. McCURDY: So?

22           DR. HOLLINGER: If there are no questions right  
23 now for Dr. Mayers, I think we will go on to Dr. Murray who  
24 is going to speak now.

25

#### CDER Perspective

1 DR. MURRAY: I am Jeff Murray from the Division of  
2 Antiviral Drug Products from CDER, Center for Drug  
3 Evaluation and Research.

4 [Slide.]

5 I am here today to kind of give you what the CDER  
6 perspective, or our division's perspective, is on the  
7 current strengths and weaknesses of resistance testing and  
8 why we are interested in this and, hopefully, to give you  
9 some assurances that it is not only the assay companies that  
10 develop, figure out what is important as far as genotype and  
11 phenotype but, really, a lot of the work goes on during the  
12 development of the drug.

13 [Slide.]

14 What we are not going to use resistance testing  
15 for is as a basis for approval. This is just to go over the  
16 division's current recommendations for approving  
17 antiretrovirals. We have accelerated and traditional.  
18 Accelerated is an earlier approval for drugs that show some  
19 meaningful therapeutic benefit over existing options or can  
20 treat patients who are intolerant or have failed existing  
21 options.

22 For accelerated approval, we base it on 24-week  
23 changes in HIV RNA. For traditional approval which, up  
24 until about two years ago was just based on clinical  
25 endpoints only, it can now be based on 48-week changes in

1 viral load or HIV RNA. Our preferred endpoint now is a  
2 proportion below the assay limit which is 400 or, now 50, or  
3 time to virologic failure above and assay limit.

4 As I said, resistance testing will not change  
5 primary study endpoints but we see it as important  
6 information on how to use the drug much as information on  
7 how to use a drug for renal impairment and that sort of  
8 information, how to characterize a drug.

9 [Slide.]

10 Our interest is that we think monitoring  
11 prevalence of resistance is crucial. Doug showed you that  
12 the prevalence of transmitted HIV that is resistant to  
13 current drug seems to be increasing. We think that it  
14 provides very useful clinical information in the label much  
15 as other drug-interaction information, other safety  
16 information and dosing information would.

17 We think that including the information in the  
18 label will not only help clinicians use a drug but would  
19 stimulate further research in defining clinical resistance  
20 and assay development. We are interested in it to provide a  
21 level playing field for drug sponsors so that a standard or  
22 kind of routine set of data describing how their drug  
23 affects viral mutations and susceptibility.

24 So we see the need for a level playing field and  
25 to aid in negotiation of fair and balanced promotional ads

1 which might use resistance data to promote their drug.

2 [Slide.]

3 For instance, a hypothetical example is a drug  
4 sponsor might say, "Use our drug, Drug X, first because  
5 there is less drug class cross-resistance after failure on  
6 Drug X compared to if you start with Drug W, or Y, or Z."  
7 Sometimes, this is just the supporting data. It might be  
8 just from a retrospective analysis of patients pooled from  
9 several studies and there might be less than 50 patients.

10 So we want to try to have a more uniform standard  
11 of resistance data submitted so we can figure out if these  
12 sorts of label claims and characterizations are valid or  
13 not.

14 [Slide.]

15 We are so interested in this that we are going to  
16 host and advisory committee meeting--it is more like a  
17 workshop--to cover the following issues in four sessions.  
18 We are going to dedicate some time to performance  
19 characteristics and limitations of the currently available  
20 both genotypic and phenotypic assays.

21 Session 2, we are going to evaluate the  
22 relationships between HIV resistance testing and treatment  
23 outcomes. So we will go over some of the same data that  
24 Doug Mayers just summarized. We are going to talk about  
25 practical considerations for the use of resistance testing

1 and clinical trials in drug development. In the fourth  
2 session, we are going to talk about potential roles of  
3 resistance testing in drug development.

4 The purpose of this meeting, really, is to get  
5 feedback on how much, what type of data would the committee  
6 think is necessary for us to fairly characterize resistance  
7 data in the label knowing that this can be pretty important  
8 for promotional claims.

9 [Slide.]

10 There are a lot of available assays commercially.  
11 This is not a comprehensive list but these are some of the  
12 assays, genotypic and phenotypic, that are being used in  
13 clinical trials and that physicians are also getting a hold  
14 of now using it as a research tool to make decisions on  
15 their patients.

16 There are probably more available genotypic  
17 assays. Some use PCR amplification and sequencing  
18 techniques and some use hybridization and there are pluses  
19 and minuses to either of those. There are probably less  
20 available phenotypic assays. The ones that are more  
21 commonly used would be the recombinant viral assays where,  
22 as Doug said, where the RT and the protease gene are  
23 inserted into a lab-type strain or a backbone, and then  
24 there is a cell-culture step.

25 [Slide.]

1           This is from the Hirsch paper that you should have  
2 received as background. Just some relative advantages of  
3 genotyping versus phenotyping is availability, quicker  
4 results, cheaper, technically less demanding and actual  
5 mutations may proceed phenotypic changes so you might get a  
6 jump on some important information.

7           For phenotyping, there are some advantages to  
8 that, so that is what we usually think about from the  
9 antimicrobial paradigm. It is a direct measure of  
10 susceptibility. It is clinically familiar. You have break  
11 points and it takes into account increases and decreases in  
12 susceptibility in combination therapy because some genotypic  
13 mutations--not all genotypic mutations are bad. Some of  
14 them actually can increase, perhaps, sensitivity to other  
15 drugs.

16                   [Slide.]

17           Some relative limitations; this is just kind of  
18 the reverse may of the other slide. Genotyping is an  
19 indirect measure of susceptibility. Certain mutations may  
20 not always correlate with a change in phenotype. With  
21 thirteen drugs and lots of different mutations, sometimes  
22 expert opinion is required for interpretation, as we talked  
23 about.

24           Both assays could be very insensitive for minor  
25 species. And then I mentioned the effect of sensitizing

1 mutations. For phenotyping, its limitations would be  
2 restrictive availability, a longer processing time,  
3 technically a bit more demanding, clinically significant  
4 cutoffs not defined for all drugs and, again, insensitive  
5 for minor species.

6 [Slide.]

7 Our division thinks that probably the major  
8 limitations of the assays are not so much the clinical  
9 correlations but the analytical limitations. I think this  
10 was true with HIV RNA is that we were very anxious for the  
11 assays to get reviewed and approved by CBER so that we could  
12 know what the lower limit bounds, what the limit of  
13 quantification and the variability of the assay so that we  
14 could use it.

15 In fact, for HIV RNA, the clinical correlations  
16 that eventually supported a prognosis indication, we saw  
17 those clinical trials maybe a year or two before, so we felt  
18 pretty comfortable with the clinical correlation of the HIV  
19 RNA test even before it was approved for the indication of  
20 monitoring.

21 Likewise, where I think these genotypic and  
22 phenotypic assays which are probably several magnitudes of  
23 order more difficult analytically than just an HIV RNA test,  
24 we think that it is the analytical limitations that really  
25 need to be focused upon such as amplification sensitivity,

1 how high does the patient's viral load have to be to pick up  
2 new mutations, analysis sensitivity--what proportion of  
3 minor quasi-species can be detected. 20 to 25 percent is an  
4 estimate--reproducibility and quality control--is it  
5 reproducible between labs, between different people running  
6 the labs.

7           Also, interpretation of results is a problem.  
8 There are complex mutational patterns for phenotypes. we  
9 don't have break points for all the drugs. So that still is  
10 a limitation as well. Another limitation of the assays is  
11 that, at this point, they are a bit technically demanding  
12 and they is a turnaround time and cost associated with that.

13           [Slide.]

14           Other considerations; clonal versus population  
15 sequencing. Are resistant mutations all on the same genome?  
16 Clonal methods be much more technically demanding so this is  
17 something that maybe needs to be addressed. Studies would  
18 indicate that, for the most part, they are linked on the  
19 same genome. Are plasma samples good enough or should we  
20 also be looking in other viral reservoirs, lymph node, gut.  
21 Of course that wouldn't be feasible for clinical use.

22           And then other considerations are timing of when  
23 you get the sample because if you are off a drug, quite  
24 often, you will see reversion to wild type.

25           [Slide.]



1           Reproducibility; I think this is data from  
2 Schuurman et al. This was recently presented at a  
3 resistance conference in San Diego. There still is some  
4 problem with correct calls of genotype between labs. In  
5 five samples that were sent to 60 labs with results reported  
6 from 33, the labs were pretty good at making correct calls  
7 for 100 percent wild type with reverse transcriptase. They  
8 have a perfect record for that and, for protease, about  
9 94 percent correct calls.

10           If they are 100 percent mutant samples, about two-  
11 thirds of the calls were correct. But if they were viral  
12 mixtures of 50 and 50 percent for RT and protease, the  
13 percentage of correct calls was less. So I think, clearly,  
14 there is room for improvement in lab-to-lab reproducibility  
15 for viral mixtures which is what we are very likely to see  
16 in the clinic.

17           But, again, this is a technical limitation.

18           [Slide.]

19           As Doug showed you, I think the evidence  
20 supporting clinical relevance is that there are two  
21 prospective studies. There is no completed prospective  
22 study for phenotyping but there are some ongoing. And there  
23 are several retrospective studies.

24           As far as the retrospective studies, some show  
25 predictive value of certain mutations at baseline and how a

1 patient will respond. Others show the more gross  
2 associations that show a relationship between the number of  
3 mutations and outcome and the number of sensitive drug  
4 classes available but not, perhaps, specific mutations and  
5 outcome were seen in the retrospective studies.

6 [Slide.]

7 So for the prosecutive studies, two of similar  
8 design. Again, the difference between viral-load response  
9 at 3 and 6 months for GART and VIRADAPT, respectively, was  
10 about a half a log. So it is very similar. For GART, it  
11 looked like each sensitive drug added about a 0.28 log  
12 reduction.

13 Criticisms have been the expert opinion in GART  
14 but, as that was not seen in VIRADAPT, it seems to allay  
15 concern related to that criticism. Shorter-term follow up  
16 for GART; that was three months. But VIRADAPT had a longer  
17 follow up so that helps. In the VIRADAPT study, there were  
18 more zidovudine mutations in the control arm which might  
19 have made a difference but that didn't seem to be a problem  
20 in GART.

21 So, as in drug approval, we do two studies because  
22 no study is perfect, but these studies are pretty much  
23 complementary and I think help to confirm the results. I  
24 might say a half a log difference in HIV RNA we do think is  
25 clinically significant. If this were a drug, it would

1 probably confer a clinical benefit in terms of decreasing  
2 morbidity

3 [Slide.]

4 As far as retrospective studies, I think Doug  
5 mentioned most of these, the Zolopa and Deeks study. What I  
6 might say is that not all of the retrospective studies  
7 necessarily showed the relationship of a specific mutation  
8 but with the number of mutations, perhaps, and treatment  
9 outcome. This is just because in these studies, it is 50  
10 patients here and 50 patients there tested so they might  
11 have not had power for each individual's specific loci.

12 [Slide.]

13 Also, as Doug suggested, even before the  
14 prospective studies and the retrospective studies looking at  
15 baseline mutations and eventual treatment outcome, for  
16 zidovudine, in ACTG116 and 117, there was a definite  
17 correlation between the presence of zidovudine mutations and  
18 clinical outcome. Both the risk of disease progression and  
19 the risk of death was increased in patients who had both the  
20 215 and a 41 mutation associated with zidovudine.

21 This also correlated with the phenotypic  
22 susceptibility; those who had 215 and 41 versus wild type  
23 had about a ten-fold decrease in the in vitro phenotypic  
24 susceptibility. So it really kind of pretty much hangs  
25 together for zidovudine.

1           Also, for the non-nucleoside reverse-transcriptase  
2 drugs which do lose their susceptibility sometimes after  
3 one--may times after one mutation. In the current  
4 nelvirapine immune package insert, resistance issues come up  
5 in the warning and the indication section based on data from  
6 24 patients in phase I/II trials. It should be 100 percent  
7 of patients had a greater than 100-fold decrease in  
8 susceptibility at 8 weeks. This is when nelvirapine was  
9 being used as sometimes monotherapy or only dual therapy not  
10 the way it should be appropriately used.

11           All of these 24, with decrease in susceptibility  
12 of greater than 100-fold, had one or more characteristic  
13 mutations. The mutations are listed there, at 103, 181,  
14 188, or 190. 80 percent of them were at 181. As it turns  
15 out, the 181 is in the RT binding site of the drug. So not  
16 only did this fit with the virologic outcome, it fits in how  
17 we know this drug is interacting with the enzyme. So it has  
18 near perfect biological plausibility.

19           [Slide.]

20           Other correlations between genotype and phenotype.  
21 Virco has a good, large database. I guess this was  
22 presented at the San Diego conference of 7,000 samples or,  
23 perhaps, more that show good correlations between genotype  
24 and phenotype for many drugs including 3TC for the 184  
25 mutation and for multiple zidovudine mutations and for

1 several protease-inhibitor mutations, particularly for  
2 nelfinavir.

3           Other retrospective correlation between genotype  
4 by Harrigan et al., also presented at the same conference,  
5 showed strong correlations between genotype and phenotype  
6 for many antiretrovirals except for, in his study, 59  
7 patients. Less for abacavir and D4T which had moderate  
8 correlations and lower correlations for ddI and ddC. I  
9 think Doug brought up the fact that there are certain drugs  
10 for which I think it will be harder to maybe correlate a  
11 genotype and its relationship to treatment outcome.

12           It is more a characteristic, I think, of the drug  
13 rather than the assay.

14           [Slide.]

15           There are a lot of experts and panels who get  
16 together frequently to decide how genotype correlates with  
17 treatment outcome, and to devise these panels to be used in  
18 clinical trials and for clinical use, mostly based on  
19 consensus opinions of the experts, as you saw a table  
20 similar to what I am talking about in the Hirsch article.

21           It is based on literature abstracts, data from  
22 industry and academia, like the IDSA consensus algorithm.  
23 The GART and the VIRADAPT used a similar algorithm. And  
24 then a resistance collaborative group which is a group made  
25 of academia and industry and government has also come up

1 with an algorithm for defining genotypic resistance.

2           These will be modified and are diligently worked  
3 on by a lot of different hard-working groups to define these  
4 relationships.

5           [Slide.]

6           As Doug mentioned, proposed clinical use of HIV  
7 resistance testing will be, of course, crucial to monitor  
8 the prevalence transmission of resistant virus. It will  
9 probably be used more and more in adult-naive patients,  
10 especially in high-risk areas or high-risk groups for  
11 resistance such that you might consider starting them on  
12 different regimen if they had got infected with a resistant  
13 virus.

14           The problem here is that wild type tends to  
15 outgrow resistant virus in the absence of drug pressure.  
16 For use in pregnancy, especially in naive patients in high-  
17 risk groups. Also in the treatment-experience patients to  
18 help protect vertical transmission. It is a little  
19 controversial at this point.

20           Probably the biggest use of these assays now, kind  
21 of by clinicians who are getting them, are after first  
22 virologic failure to help guide in the selection of second-  
23 line treatment and, in subsequent virologic failures, to try  
24 to put a new drug regimen together when you have failed  
25 several.

1           The problem is that we are kind of limited by the  
2 number of drugs we have on putting new drug regimens  
3 together because of cross-resistance. Again, if you are  
4 taking the sample when you are not on drug, you could come  
5 up with the wrong conclusions, perhaps.

6           [Slide.]

7           So I think our division's conclusions would be  
8 that knowledge of genotypic data appeared to affect  
9 treatment outcome in two randomized, controlled prospective  
10 trials. The effect in HIV RNA was of the magnitude that  
11 would potentially support a drug approval.

12           Retrospective studies also have shown associations  
13 between genotype or susceptibility in treatment outcome  
14 although some of the retrospective studies showed more gross  
15 associations. Zidovudine mutations have been shown to be  
16 prognostic for clinical progression.

17           [Slide.]

18           Clinicians desperately need guidance in selecting  
19 second-line regimens. However, I think the current  
20 limitations mostly in assay analytic sensitivity,  
21 specificity, reproducibility and lack of clinical  
22 correlations for some drugs prohibit recommendations for  
23 routine monitoring of individual patients for all drugs.

24           Another conclusion is monitoring prevalence and  
25 transmission of HIV resistance to HIV is crucial to the

1 whole field. Compared to HIV RNA testing, HIV RNA  
2 resistance testing is drug specific, much like therapeutic  
3 monitoring of drug concentrations. Mutational algorithms  
4 and breakpoints will need to be revised for each new drug  
5 that enters the market.

6           Really, an efficient use of resources would be for  
7 the antiretroviral drug sponsors to characterize both the  
8 clinical relevance of genotyping and phenotyping  
9 susceptibility in the context of drug development because  
10 you don't have mutations if you don't have the drugs.

11           Really, I think the best and most convincing data  
12 could come from the randomized, controlled clinical-trial  
13 setting. So if this could be folded into drug development,  
14 we could have information by the time the drug hits the  
15 market on how this could be used and what resistance testing  
16 means for that particular drug.

17           That's all of my comments for today.

18           DR. HOLLINGER: Any questions of Dr. Murray?

19           DR. McCURDY: I was a little bit concerned about  
20 the report of the variability between laboratories in  
21 detecting subpopulations and so forth. I was disturbed by a  
22 couple of things. One as the variability and the other was  
23 that approximately half of the laboratories that were  
24 involved in this did not reply or did not provide data.

25           I was wondering what kind of assurance does one



1 have that these tests are likely to be done well in the  
2 laboratory. Is this something that may be regulated or is  
3 regulated under CLIA or some other way to be sure that once  
4 the tests are approved, as class whatever, they are actually  
5 going to be done well?

6 DR. MURRAY: I don't know if I am the person to  
7 answer that question. I used that information from an  
8 abstract to just illustrate a point of where I saw the  
9 limitations. I thought technical and quality control were a  
10 big part.

11 There might be somebody else who could better  
12 answer that question. I know that certain assay sponsors  
13 have looked at CLIA certification and that sort of thing.  
14 As with any test, it is a very important thing to iron out.  
15 Probably most of our discomfort with using HIV RNA was not  
16 its relationship to clinical outcome which I think has the  
17 most impact for the decision you have to make today, but it  
18 was with the more technical aspects of the assay; can it  
19 really measure what it is saying it is measuring.

20 I think if those areas are controlled, the  
21 clinical use of the assay will fall in place as it is  
22 defined in clinical drug development and as it is defined in  
23 the clinical setting among the experts.

24 MR. WILSON: One the issue of CLIA control, I  
25 think you would have to refer to HCFA, generally who

1 controls the CLIA regulations. Typically, there is a  
2 reimbursement in CLIA control over tests which are approved  
3 or cleared by the Food and Drug Administration. You would  
4 have to talk to them specifically about how that applies.

5           The second point that I would like to bring up is  
6 that, as part of a premarket review process, be it a 510(k)  
7 or a PMA, we would typically ask for three or more sites to  
8 run the test and then have, for example, certain types of  
9 controls being run concurrently to make determinations as to  
10 how well the instructions for use are written, how well  
11 known positives can be recovered, et cetera.

12           The point I wanted to make is that whether it is  
13 voted as a class II or a class III, those types of  
14 evaluations would be embedded in either premarket approval  
15 process.

16           DR. BUCHHOLZ: I was about to say something  
17 although not what Len said. It seems to me that as we talk  
18 about a number of things that we have discussed this  
19 morning--we have hit clinical acumen, we have hit promotion  
20 and claims, education of physician, labeling content,  
21 adequacy of performing, testing, QC--that there is a  
22 blurring here of things that I think would be issues whether  
23 this is a class II or a class III.

24           I think it is very confusing for the panel to have  
25 the information that has been presented which, in fact,

1 blurs these distinctions and really gets us into an area  
2 that I don't think we are being asked to make an assessment  
3 about.

4 I think we are being asked is this a class II  
5 device or, in fact, should it be a class III device. But  
6 whether physicians can use it adequately or whether there is  
7 CLIA testing and compliance, it seems to me that should be  
8 an issue for these products, whatever classification it is.

9 So can I just ask you to help me understand and,  
10 perhaps, help some of the other panel members understand  
11 what our charge is here because it seems to me we are being  
12 presented with information that is far more than we need to  
13 make the assessment I thought we were being asked.

14 DR. HOLLINGER: I think you are right. To me, I  
15 understand we are being asked whether this should be  
16 reclassified since it would ordinarily be classified as a  
17 class III just because there is no predicate test available  
18 or anything, an equivalent test that they can compare it to.  
19 This will be classed as a class III and they are asking if  
20 it could be now classed into class II for a variety of  
21 reasons, primarily the one that premarket approval is not  
22 being required, although we have learned that they could ask  
23 for clinical trials also and make it as stringent in  
24 class II as it is in class III.

25 Is that correct?

1 DR. DAYTON: Yes; that is absolutely correct. We  
2 wanted you to see the science today so that you would have  
3 an idea for what is out there. If nothing were known about  
4 these, it would be a very different story. So we are asking  
5 you to realize that an awful lot is known out there and that  
6 we can make good judgments based on that.

7 As I said, it would be a very different story if  
8 there were no track record of clinical and scientific data.

9 DR. HOLLINGER: I am going to call on the  
10 committee here, but just to give you some idea of where we  
11 are going here in terms of this, in terms of your questions,  
12 and so on. We still have an open public hearing of which  
13 there are at least four people who have asked to speak,  
14 mostly from companies involved with these products.

15 So we are going to do that, but I want you to sort  
16 of understand this because we will probably take a break  
17 right after this here for about fifteen minutes and then  
18 return for the open public hearing.

19 DR. NELSON: One of the things that confuses me a  
20 little bit is the fact that this isn't actually--if you look  
21 at it technically, it isn't one test. What we are looking  
22 at is that there are dozens of different genotypes, some of  
23 which the association with an outcome or clinical  
24 application is clear and has been well--and there are others  
25 where it is very fuzzy in which the data are not clear.

1           So it is a little different. Maybe that is why it  
2 is a device. I don't know. But it is different than the  
3 question we are often asked.

4           DR. HOLLINGER: I think that is why they have  
5 called these HIV mutations test which is going to be the  
6 name, I suppose, of what you are doing.

7           DR. DAYTON: Or something like that. But, in  
8 general, most of these sequencing assays, in particular,  
9 will look across and entire region and give you a sequence.  
10 So, in a way, they are all looking at the same thing,  
11 basically. But then, for each of the individual codons,  
12 then there is a distribution of knowledge.

13           DR. NELSON: But I mean for some tests where the  
14 meaning of a result isn't clear, let's say a codon is  
15 identified and, with regard to this drug, you don't know  
16 what it means. The FDA would not allow that--or there would  
17 be a different report or a different standard.

18           DR. DAYTON: You would have a claim-specific  
19 issue.

20           DR. NELSON: Right.

21           DR. BOYLE: I am confused, and I am confused  
22 because I took away from this excellent presentation three  
23 points that don't seem to quite add up to me. I would like  
24 to find out which of these I am wrong on. It looks like  
25 that what is being presented is that the data on drug

1 resistance is critical to the optimal management of HIV.  
2 The data that is presented seems to be very clear on that  
3 point.

4           Secondly, genotyping and phenotyping analysis from  
5 HIV drug assays can provide that kind of information for  
6 optimal management. Of course, the converse side is if it  
7 is done wrong, then it is worse than random. Basically, the  
8 information is critical.

9           The third piece, though, seems to be moving this  
10 type of thing that is life-sustaining from class III to  
11 class II classification, in looking at these comparisons,  
12 the main difference is that clinical data is not always  
13 required in class II but in class III, you have to have  
14 clinical trials.

15           Having convinced me how important this is and how  
16 the tests have to be done right or you basically are in  
17 serious trouble, why are we proposing not requiring clinical  
18 trials for a particular test or test kit?

19           DR. DAYTON: First of all, we don't know that  
20 wrong results are better than random. It may actually not  
21 be the case. It may be equal to random. And then our  
22 essential approach here is is there enough information in  
23 the literature to say that these things are useful.  
24 Actually, we see two studies, the GART and VIRADAPT, are  
25 saying, actually, in practice they are useful.

1           And we have seen, particular for AZT, evidence  
2 where individual mutations are quite well validated. So we  
3 have to come back to that point. I think those are the key  
4 points to keep coming back to.

5           You don't want to get off on the tangent of  
6 saying, "Well, this is all very complex science and it isn't  
7 all worked out." That is true, but it is not a barrier to  
8 getting something out there that is useful.

9           DR. BOYLE: Then is this the equivalent of  
10 approving a class rather than a drug?

11          DR. DAYTON: No; you are not approving anything.  
12 You are not approving anything. You are just classifying  
13 it. We are not here to approve any particular individual  
14 test. We are just categorizing at what level we regulate  
15 them.

16          MR. WILSON: Maybe I can help here. Number one,  
17 these products have not been approved or cleared by the FDA.  
18 In the regulations, there is a section that describes what  
19 is to placed in the package insert. In the package insert,  
20 there has to be adequate directions for use in detail. Now,  
21 I am going to assume that because these tests that are out  
22 there that are being used as home brew haven't had this  
23 level of scrutiny and that is why we have an FDA to evaluate  
24 these things.

25          So, oftentimes, we will review the package insert

1 procedures or interpretations, et cetera. This is not going  
2 to run clearly by technologists at a reasonable level of  
3 competence, et cetera. So a lot of times--all the time, to  
4 the level of the state of the art, these types of issues get  
5 cleared up. So I think what you are seeing is that we have  
6 a new test coming into being used, and this kind of happens  
7 routinely. It is not all organized and standardized as well  
8 as it could be two years from now, but the idea is in a  
9 premarket review, the labeling requirements for the 510(k)  
10 are the same as the labeling requirements for the PMA.

11 The other point I would like to make is that, as I  
12 had stated in my slides earlier, a special control could be  
13 additional labeling. And what I was hearing from the  
14 presentations is that there is some difficulty interpreting  
15 results.

16 The committee can take the position that if they  
17 elect to vote for a class II, that is special-labeling  
18 consideration should be made. Let me give you an example,  
19 but it is up to the committee. It may be a boxed warning  
20 that states that the interpretation of such results need to  
21 be carefully considered by physicians who are engaged in  
22 whatever, or it could be in a section called the limitations  
23 of the procedure. It doesn't have to be a boxed warning.

24 There could also be recommendation by the  
25 committee to have some pretesting of some of the labeling,



1 some of the instructions or some of the interpretations as  
2 part of the review process to better insure absorption by  
3 the physicians who would be using this test.

4           What would happen in that type of a situation, we  
5 would get a study-design proposal for the interpretation and  
6 it would be known correct answers, and how absorbable is  
7 this information. And the manufacturers then would modify  
8 the labeling to control that.

9           So this kind of thing can be controlled under  
10 class II classification.

11           MR. DUBIN: I think one of the problems is for  
12 those of us that have had a lot of experience with AIDS  
13 drugs, this is not a new picture because, really, from '93  
14 on, this issue is coming up before other committees quite  
15 regularly. I think in the BPAC, we don't face this kind of  
16 issue very often where something needs to go to market in a  
17 rapid way that might directly impact care in the way we are  
18 facing.

19           I think, from our perspective, that is the core of  
20 the issue and it is the context with which we need to look  
21 at within. I think, John, you are right, we are looking at--  
22 --and you said the same thing--we are looking at a body of  
23 tests. We have better handle on some, on some we don't.

24           I think, from our perspective, reclassifying this  
25 will put more flexibility in the clinicians' hands. As I

1 said earlier, our experience is that that is a necessary  
2 tool right now. Certainly, I can only speak for hemophilia,  
3 but I also chair California's--just finished as Chair of  
4 California's HIV AIDS working group. The resistance issues  
5 are coming right to the fore in every community and  
6 everybody is concerned, as they are about side effects.  
7 That is why I raised the issue of postmarket and our  
8 concerns there.

9           So I think this is critical and it is important to  
10 get this into clinician's hands. My concerns, and I think  
11 they can be addressed--I think some of them just were. I  
12 think we, as a committee, can set some labeling standards to  
13 educate physicians because I do think--Mary, you said  
14 something really important that is our experience, too.  
15 Some of our clients are with Dr. Gottlieb in Los Angeles or  
16 some very well-known--and then we have got clients in rural  
17 areas who are with hematologists, who are busting their  
18 butts to stay on top of this.

19           But it is difficult. It is not their area. I  
20 think the second thing you just said that is important is  
21 the review process of the labeling considerations. Let's  
22 say you all decide to start with a box insert. I would like  
23 to see, personally, some review written into that so if you  
24 all discovered that the doctors weren't really absorbing  
25 that, maybe you would go to another way of getting that

1 information across.

2 But I do think that is important. I do think this  
3 is evolutionary. And I do think it is important. I  
4 certainly support reclassifying this because of our  
5 experience. We discussed this at length within our medical  
6 team and a lot of our people who do a lot of Washington  
7 work. We think this has got to happen but we also think  
8 that it has got to happen the right way.

9 And that is why I raised my earlier concerns about  
10 postmarket and some difficulty because postmarket is a big  
11 job. In some areas, it seems to have been more difficult  
12 for FDA than others. Now, I don't have a lot of experience  
13 in devices. I admit that. I have heard, repeatedly, that  
14 is the strongest part of the agency, actually.

15 Regardless of my concerns, though, I think this is  
16 important to do and I think it is a little more complex than  
17 you were saying because I don't think it is so cut and dry  
18 because I do think we need to look at labeling  
19 considerations and ways to insure that the information  
20 needed to go with these tests to the clinicians because the  
21 top clinicians are going to know how to deal with it and  
22 they are going to understand the limitations and others  
23 aren't.

24 DR. DAYTON: If you remember the questions we  
25 proposed, whatever decision you make, you have an

1 opportunity now to suggest specific additional controls for  
2 labeling requirements.

3 MR. DUBIN: That is what I am talking about.

4 DR. DAYTON: And also when the draft guidance  
5 document is publicized, there will be an opportunity for  
6 public contribution to that. So there are at least two  
7 opportunities that we identify to do that.

8 MR. DUBIN: I think if we look at it in this kind  
9 of broader context, it is a little different than we  
10 normally do, it is not so confusing. And there is a way  
11 through this that I think the committee can make some good  
12 recommendations and, just to underline the one part, and  
13 build in certain reviews to insure that there is an ongoing  
14 review of certain aspects that we have concerns about.

15 DR. FITZPATRICK: The essence that I understand of  
16 what we are going to do is accelerate the time line--

17 MR. DUBIN: It is fast-track.

18 DR. FITZPATRICK: --that FDA is required to review  
19 these things and impose the same restrictions and structure  
20 that they would to bring it to market under a class III.  
21 And that doesn't appear to be a bad thing. And we have the  
22 opportunity of putting those restrictions now and that seems  
23 what we should be focusing on.

24 DR. BUCHHOLZ: I am a little concerned that there  
25 was an impression left in the asking and the response to an

1 earlier question about a class II device and a 510(k), that  
2 there was no clinical data required. I work for Fenwal  
3 Laboratories which makes blood-collection and processing  
4 equipment. We deal mostly with device applications.

5 I have sat here for a while trying to think of a  
6 510(k) submission that we have submitted that we have had  
7 not had clinical data. I can't believe that we are that  
8 different from the typical device that CBER regulates. So I  
9 would like to ask somebody what is the percent of 510(k)s  
10 that have clinical data because I think it is very valid  
11 concern if the level of scrutiny here is significantly less  
12 between a 510(k) and a PMA.

13 But, at least in our experience, there is clinical  
14 data that is routinely required.

15 DR. HOLLINGER: Does anyone know that information  
16 or, Len, can you give maybe just a little bit of a hint?

17 MR. WILSON: I will do my best. I don't think  
18 that the statement is incorrect. I think what the question  
19 really is for the table here is what type of clinical data.  
20 For example, in this particular instance, we were looking,  
21 as Dr. Dayton described regarding known panels of samples,  
22 some retrospective testing, some repositories.

23 That would be, in a sense, clinical data as  
24 opposed to a full-blown prospective clinical trial. So what  
25 we were looking at here was trying to get some testing

1 validity with some real samples and some analytical testing.  
2 That is kind of where we were coming from, if that gets to  
3 the point.

4 DR. MITCHELL: I had a couple of clarifications.  
5 One is about the drug resistance assays so I would assume  
6 that that would apply to both the phenotypic as well as the  
7 genotypic tests; is that correct?

8 DR. DAYTON: Well, we are actually just bringing  
9 for the classification of the genotypic assays in this  
10 meeting. We are not bringing forward the classification of  
11 the phenotyping assays. Phenotyping assays can be used in  
12 direct clinical situations. That is what we are discussing  
13 right now. But there are also phenotyping assays that are  
14 done in vitro to validate the genotyping assays.

15 So, at the moment, we are only discussing the  
16 classification of the genotyping assays in direct clinical  
17 use and we are not addressing the classification of the  
18 phenotyping assays in direct clinical use.

19 DR. HOLLINGER: That was clear to me, either,  
20 Mark. I am glad you asked that.

21 DR. MITCHELL: The second question I had was about  
22 the minor typing. I guess I am very concerned about minor  
23 types because, obviously, once you treat the major type, it  
24 is going to be replaced and that is going to be the new  
25 major type. So I am very concerned about the sensitivity of

1 the tests and picking up minor types.

2           So I am assuming that one of the things we can do  
3 is ask for some level of minor type that a test would be  
4 able to pick up.

5           DR. DAYTON: Absolutely. It will probably be in  
6 the neighborhood of 25 to 30 percent of the overall species.  
7 Yes; the ability to pick up a minor type is a major concern.  
8 But, of an even larger concern is, even if you are only able  
9 to pick up major types, is that of clinical benefit. I  
10 think the answer is yes.

11           But we certainly are concerned about minor types  
12 and we will ask sponsors not only to claim or what is the  
13 lowest percentage of a species that they can detect, but  
14 also to titer through that so we know just how quickly assay  
15 performance deteriorates when you go below what they claim.

16           So we are concerned about that.

17           DR. MITCHELL: My next question, then, is do they  
18 even know--I mean, is it easy to characterize a percentage  
19 of a type. Do we know whether the major type is 60 percent  
20 or 95 percent.

21           DR. DAYTON: You can do that in research settings.  
22 So you could certainly do that on spiked samples. You can  
23 do that in panel type specimens where you can make multiple  
24 subclones and multiple sequences of each of those clones so  
25 that you can identify what the swarm is.

1 So, yes; you can do that in a research setting.

2 DR. McCURDY: I have a certain amount of objection  
3 to the implication that we might reclassify this to get it  
4 out faster. I think it ought to be gotten out right and, if  
5 it is a right to have it a class II with the appropriate  
6 kind of controls, and I am currently tending in that  
7 direction, then that is fine.

8 But I think the idea of getting it out faster by  
9 reclassifying it is not the right way to go.

10 DR. FITZPATRICK: I don't think it will  
11 necessarily mean, by reclassifying, that it would go out  
12 faster but it requires the FDA to review it faster.

13 DR. STRONCEK: I may come from a different view.  
14 I think this makes a lot of sense. I have worked with HLA,  
15 the field, and we do genotyping. When you are on the  
16 cutting edge, manufacturers don't make the kits. You make  
17 them in-house in laboratories.

18 I assume that Dr. Mayers' tests were developed by  
19 himself. He orders the primers. He orders all the  
20 reagents. So this field is going to progress. Now, he has  
21 published this data or he will. He is showing it is  
22 effective. So now we are in a situation where we have an  
23 effective test but we need lots of clinical trials to show  
24 that it works. And there are no commercially available  
25 tests to further the field.



1           So manufacturers can't sell these tests to make  
2 them widely available until they go through the FDA. So the  
3 field is in a situation where commercial tests are not  
4 available to have the field progress, yet there is data in  
5 the literature, peer-reviewed literature, that says it is  
6 effective.

7           So I think it makes perfect sense to go this as a  
8 class II based on the data in the literature but then, as  
9 you are proposing, to closely monitor the kits that go out  
10 to make sure--to try and do some premarket evaluation as  
11 best you can and then to try to monitor them afterwards.

12           This is going to be a very fast-moving field, too,  
13 so it is very important to have a structure where you can  
14 change things quickly. I think what you are proposing will  
15 do that.

16           MS. KNOWLES: I would support a change from class  
17 III to class II based on having strict controls, the  
18 standardized reporting form, close postmarketing monitoring,  
19 and then Dr. Murray's last comment about including some of  
20 the issues of testing in the pre-drug development.

21           DR. MACIK: I kind of look at it, too. Two  
22 reasons get you into class III; either it is a life-or-  
23 death-type experience or there is no predicate device. One  
24 of the things here is that it will help management, but the  
25 bottom line is that you are still going to be looking at

1 viral load and you are going to be looking at CD4 count.

2           So if this test told you something wrong, you know  
3 about it. At best, it gives a running leap at the right  
4 guess, but there is still a good follow up to know whether  
5 that test gave you the right answer and it is no worse than  
6 where you were before if you didn't use the test.

7           So, in my mind, it really is--clinically, it has a  
8 good backup. I think, from that standpoint, would make me  
9 want to put it into a class II type category.

10           DR. HOLLINGER: I think Dr. Gutman, who is the  
11 Division Director of the Clinical Lab Devices at CDRH wants  
12 to say--

13           DR. GUTMAN: I just want to clarify. I realize  
14 there are a lot of very complex issues on the table and I  
15 have absolutely nothing to do with the product line at all,  
16 so I am absolutely free to speak, although, obviously, the  
17 decision you make would be of interest to folks over in the  
18 devices area as well.

19           I just wanted to clarify that when we look at the  
20 scientific review process, which is quite complex and  
21 possibly multilayered here, that we feel quite comfortable,  
22 frankly, in carrying the exact same rigor of science between  
23 the PMA and the 510(k) program, that we have no difficulty  
24 at all if we think appropriate clinical information is  
25 necessary to characterize a product to have immensely

1 complex and intense reviews and requirements for  
2 manufacturers in the 510(k).

3           It sounds to me, as an outsider, that there are  
4 very complex clinical issues to be dealt with in the context  
5 of the guidance that would be developed to support this, the  
6 special controls to support this and that there, in fact,  
7 might be various approaches to different analytes within the  
8 context of that guidance.

9           Although I realize you might shy away from trying  
10 to provide administrative relief, it sounds to me, again, as  
11 an outsider, that what this division or this group is saying  
12 is that they do have a fair amount of scientific knowledge  
13 to draw from, that they do understand the questions of  
14 safety and effectiveness that they would like to apply to  
15 this product line and that they think they can do good  
16 scientific review on your behalf and the public's behalf in  
17 the context of the more flexible 510(k) program.

18           From my perspective, we have lots of experience  
19 doing this and we do everything we can as we move across  
20 administrative paths to preserve scientific thresholds. We  
21 have done this with--the closest that I think in our shop to  
22 this product, the scary product, was tumor markers and we  
23 downclassified a variety of tumor markers because we had  
24 such a rich literature and methodology and experience and  
25 statistical methodologies to draw from.

1 I think what we have done in that case is serve  
2 the public well because we have made it easier for us and  
3 for sponsors to bring out a wider array of tumor markers and  
4 to improve choice.

5 I realize there are a lot of complex issues but I  
6 just wanted to assure you that whatever decision you make,  
7 this group--I know and love this group. This group isn't  
8 going to sell the scientific product short.

9 DR. HOLLINGER: That is probably not a good  
10 example about tumor markers. I will tell you that they  
11 don't have a lot, sometimes, of clinical application and we  
12 do spend a lot of time with AFPs and CEAs and CA125s with  
13 high values that don't have much meaning at all. So I am  
14 not so sure that clinical application would not have been  
15 very useful there.

16 Just from my standpoint, I will say, so far, I  
17 sort of share initially what Mary brought up here. We have  
18 no data. There was one study here which is the GART study.  
19 We don't have the paper to look at. There are some major  
20 issues about its utilization.

21 I don't think there is any question that  
22 resistance does make a difference and does make a difference  
23 in terms of treatment. The question is whether the data is  
24 there to tell us if the tests are going to make a difference  
25 in the management of these patients over and above what we

1 have today which are CD4 count and HIV viral loads and so  
2 on.

3           While it may, and the data looks like that, there  
4 are some really difficult issues that have not been  
5 addressed. Certainly, the question is, in class III, at  
6 least you are required to do a PMA evaluation whereas we  
7 have to accept the fact that it may be asked for by the FDA  
8 but it is not a requirement.

9           So, right now, at least from my standpoint, I am  
10 looking more at this not to reclassify but I am going to be  
11 listening to what others have to say here plus the other  
12 material that is going to be presented in the open public  
13 hearing.

14           DR. BUCHHOLZ: I think one thing that the  
15 committee may not be aware of with a class III device--I  
16 mean, I think there is general agreement that it takes much  
17 longer for regulatory review and approval of a PMA-type  
18 device or a class III device. That is a double-edged sword  
19 because I think the regs read something that you are  
20 required to file a submission, be it a 510(k) or a PMA, if  
21 you have a significant change in an existing product, a  
22 significant change that impacts safety or efficacy.

23           I know from personal experience that Fenwal has  
24 had some situations where we find a problem in the product  
25 that is marketed and we say, "Oh; well, we want to fix

1 that." We are perfectly willing to implement that change.  
2 I think any reasonable reviewing group would say, "Yeah; it  
3 makes sense to fix that. That is an unforeseen problem."

4 Yet, with the PMA situation, we may go through a  
5 year or more of putting the file together which takes longer  
6 than a 510(k) and also getting that regulatory review and  
7 blessing to make that change when it is a change that  
8 improves the product, that enhances safety, that enhances  
9 efficacy.

10 So that is a double-edged sword in terms of the  
11 PMA process in that it can significantly lengthen the period  
12 of time simply by virtue of the more complex review that it  
13 takes to implement good things in an existing product.

14 DR. HOLLINGER: Can I have one more response from  
15 Dr. Chamberland and then I think we are going to take a  
16 break. I think people need a break for a minute. And then  
17 we will come back. So, Dr. Chamberland?

18 DR. CHAMBERLAND: I guess I have just been trying  
19 to put together everything that I have heard presented  
20 formally and then the discussion so far among the committee.  
21 At least, I hope I have this right. If I don't, somebody  
22 correct me. But what I have heard is that FDA is asking us  
23 to--they feel that downclassifying these types of tests from  
24 a III to a II is okay for two reasons. One is that there is  
25 a body of performance data out there about these assays. It

1 tells you about how good sensitive-specific reproducibility-  
2 -the data may not have been derived in the traditional  
3 clinical-trial approach, but they feel that there is  
4 adequate data out there to address it.

5           The first conclusion, though, on Dr. Murray's  
6 slide said that the knowledge of this genotypic data--and  
7 this is the second reason that FDA gave us, at least what I  
8 heard, why they felt this downregulation or downclassifying  
9 was indicated was that there was a benefit "to public  
10 health," that clinicians need this kind of information.

11           The first conclusion, in Dr. Murray's talk, that  
12 knowledge of genotypic data appeared to affect treatment  
13 outcome in two randomized prospective studies. I think, for  
14 me, that is--my gestalt tells me that that is probably true.  
15 But I don't think we, at the committee, have the amount of  
16 detailed information to have a sense that data from these  
17 two trials is readily generalizable to the larger field of  
18 practicing clinicians.

19           I think that your ability to generalize really  
20 depends on how these patients and physicians were selected  
21 for both of these prospective trials and, secondly, the kind  
22 of information that was presented to the clinicians, how  
23 these genotypic test-results data were presented.

24           In looking at the Lancet article, it seems like  
25 the physicians got information about--and this was the

1 VIRADAPT trial--they got information about what the  
2 mutations and the codons were which, for most clinicians  
3 doesn't mean, necessarily, a whole lot. You know, V75T.  
4 But they also gave the clinicians information on the drug,  
5 then, that they would not suggest you choose, that there was  
6 some interpretation to these data.

7           So I think the question is still out there a bit  
8 on the utility, the usefulness, the public-health benefit of  
9 these tests. I think it really rests on how the information  
10 is presented to clinicians in a way that they can use on a  
11 day-to-day basis that is interpretable.

12           So I have some reservations about the statement  
13 that we have two prospective studies which appear to  
14 demonstrate that knowledge of genotype impacts significantly  
15 on clinical coursing in the patient because I think there  
16 are only two, the selection of the physicians to participate  
17 in the trial is not clearly outlined, and then I think it  
18 made a big difference on how the data on genotypic results  
19 were presented.

20           DR. HOLLINGER: We are going to take a break and  
21 then we will come back to other discussions later on.

22           [Break.]

23           DR. HOLLINGER: We have four speakers in the open  
24 public hearing who--four companies have asked to speak and  
25 their representatives. The first one is from Visible



1 Genetics. That is going to be Dr. Curtis Scribner.

2 **Open Public Hearing**

3 DR. SCRIBNER: My name is Curt Scribner. I am  
4 here presenting on behalf of Visible Genetics. They were  
5 rained out because the planes weren't flying from Toronto  
6 last night so I am here to present their information.

7 [Slide.]

8 Visible Genetics is developing a true-gene HIV I  
9 procedure which comes as a complete kit. The first few  
10 sections up here are all done using standard laboratory-  
11 based criteria. Then there is a bidirectional sequencing of  
12 the material presented her, separation by electrophoresis,  
13 analysis by our gene objects, a computer system with, then,  
14 the report that comes in.

15 [Slide.]

16 The report comes out initially looking like this.  
17 Unfortunately, this is the fax because this got taken care  
18 of by Floyd as well, but we see that we have resistance with  
19 the protease inhibitors, the non-nucs and the nucs, with a  
20 further report here with these two pages of exactly what  
21 kind of information we have seen and the scientific basis,  
22 the literature basis, upon which we have made these  
23 decisions.

24 These decision-tree recommendations--not  
25 determinations, but recommendations--of those drugs which

1 may not be useful are based on a scientific committee which  
2 meets on a regular basis to evaluate all scientific data and  
3 put them together.

4 [Slide.]

5 However, for Dr. Chamberland, of course, we always  
6 put this together which shows definitively the types of  
7 mutations or changes that are demonstrated in our process.

8 [Slide.]

9 Performance of any kind of a kit is vitally  
10 important. These are the types of studies which are already  
11 ongoing which you are going to be looking at. We have taken  
12 collection of plasma from nine people with viral loads from  
13 anywhere from 1300 to 300,000 which have now been aliquoted  
14 in a blinded fashion and will be separated and sent to  
15 multiple sites for validation looking at site-to-site, day-  
16 to-day, technician-to-technician to make sure that the  
17 sensitivity, specificity and reliability of the test are  
18 adequate and important.

19 We have a multicenter study already going for  
20 reproducibility and accuracy and we are concurrently working  
21 on the freeze-thaw studies using multiple viral-load samples  
22 to make sure that we understand the differences or the  
23 problems with freeze-thaw, a difficult problem, as we have  
24 already known from the viral-load PCR testing.

25 [Slide.]

1 Interfering substances, of course, are important.  
2 These are the types of things we are already looking at,  
3 other pathogens, including viruses, biochemicals, including  
4 drugs, and with the antiretrovirals. We are looking at  
5 mixtures to address the question of what is the sensitivity  
6 looking at a mixture of wild type versus resistant, and we  
7 are using various ratios working from 100 percent wild type  
8 down to 100 percent mutant.

9 We are as concerned as you are with the NVA II  
10 study. Since there are sixty sites around the country,  
11 apparently, which are doing this, we believe that is vitally  
12 important that this information be readily available and  
13 published for people to examine.

14 We are doing plasma-extraction studies as would be  
15 necessary depending on the type of plasma that would be  
16 needed and anticoagulants. Everyone has understand the  
17 limitations of heparin. There are multiple other  
18 anticoagulants which are also available, each of which will  
19 be determined.

20 [Slide.]

21 Our clinical trial is base on search. It is a  
22 twelve-month, prosecutive controlled study. It is ongoing  
23 using 300 randomized subjects. The randomization in this  
24 case will be to those people who will have the genotyping  
25 provided and those who will not.

1           We have almost completed enrollment into the  
2 trial. The basic difficulty is that, as you all realized,  
3 this type of testing is already readily available in the  
4 United States at the present time through the home brews  
5 through several large clinics, through several large  
6 laboratories.

7           All of the subjects have had pre-treatment and are  
8 failing. The primary endpoint is fixed at 24 weeks and we  
9 will examine the change in viral load from baseline and then  
10 carry it on out to one year.

11           [Slide.]

12           At the same time, we are looking at the studies,  
13 both GART and VIRADAPT, which you have heard today, with the  
14 reanalysis of all of their samples looking at the ability of  
15 our device to find the same types of mutations or changes in  
16 the clinical trials so that these data could be used by  
17 reference in our application.

18           [Slide.]

19           Also part of the PMA submission--I say PMA  
20 submission with the understanding that it is our assumption  
21 it might take as long as 18 to 24 months in order to get  
22 these types of final rules finally completed. We will have  
23 more than 400 assays performed at greater than eight sites  
24 looking at the device characteristics including  
25 reproducibility.

1           Clinical utility will have at least 400 assays  
2 looking at the various samples that we have already talked  
3 about before, done at two to three sites to make sure that  
4 we can have good reproducibility.

5           I also have three comments that I would like to  
6 add based on what we have seen before. We have not, of  
7 course, seen the guidance document that has been presented  
8 to you in incomplete draft form but we have serious concerns  
9 about the use of genotyping with clinical validation if the  
10 IC50 or IC90 in an in vitro process is greater than eight-  
11 fold.

12           It is very difficult to find these patients. We  
13 would very much welcome suggestions on the appropriate  
14 clinical methodology to treat these, to find these, patients  
15 and to have appropriate reproducibility for those studies.

16           We also would like to point out that it is very  
17 difficult to do studies right now with a randomized process.  
18 With the availability through the large clinical  
19 laboratories of unpublished genotyping testing, it is  
20 difficult for a person in a clinical setting to decide  
21 whether or not they will use genotyping since it is readily  
22 available commercially.

23           We find that it would probably be almost  
24 impossible to do clinical studies after approval based on  
25 the fact of having an approved or cleared test already in

1 place. That is a subject you might want to keep in mind.

2           Finally, we want to note that the Visible Genetics  
3 Organization is in the process of enrolling a clinical study  
4 to address the issues that we had talked about before of  
5 reproducibility across populations as well as  
6 reproducibility of looking for new genotype changes by  
7 enrolling up to 30,000 people over a long period of time  
8 such that this would form the basis for evaluation of new  
9 genotypes that would be reported.

10           Thank you very much.

11           DR. HOLLINGER: Thank you. We appreciate it.

12           Just so the speakers will know, I am going to  
13 limit you to seven minutes. Just so you will know that  
14 ahead of time so you can get to the critical issues.

15           The next one is from Innogenetics, Michael Ussery.

16           MR. USSERY: Thank you. We appreciate the  
17 ability to speak to you today. Since we are not actually  
18 talking about approval of our specific test, I am not going  
19 to go into great detail. I have provided copies of the few  
20 slides that I have brought with me and there are a number of  
21 papers in the open literature about the performance of our  
22 test.

23           [Slide.]

24           The line-probe assays, as were mentioned before,  
25 are quite different from the sequencing-based assays. There

1 is an amplification step and then there is a reverse  
2 hybridization with lines on a nitrocellulose strip. Where  
3 we are looking, on each strip, there is a mutant and a wild  
4 type oligonucleotide that will provide a line for either  
5 mutant or wild type or, in the case of mixtures, for  
6 mixtures.

7           There are some advantages and disadvantages to  
8 this kind of approach. It is rapid. It is very cheap,  
9 relatively, and it is very good at picking up mixtures. We  
10 have clinical data that shows an ability to pick up  
11 5 percent mixtures, readily.

12           Sensitivity; the studies that we have so far,  
13 routinely, we can detect 500 copies per ml and we have, down  
14 below that, at even 50 copies per ml, we can detect about  
15 half of the samples and give you a readout. But, anyway,  
16 that data would be provided in either our PMA or our 510(k).

17           I wanted to comment on just a few of the issues  
18 that were raised from an industry standpoint. Dr. Murray  
19 mentioned that a lot of the data on the clinical relevance  
20 of specific codons is not going to come from the diagnostic  
21 companies. We provide our tests to the pharmaceutical  
22 companies in their clinical trials and we would, of course,  
23 agree with the FDA that this clinical utility of a  
24 particular codon has to be established, but most of that  
25 data will come from the pharmaceutical companies in the

1 course of approving a drug.

2           They are asking for quite a bit of this  
3 information and I think we would fill in the holes and the  
4 gaps where they were necessary.

5           One of the things that I did want to mention.  
6 This is our reverse-transcriptase strip. There is another  
7 strip on the next slide for the protease mutations.

8           [Slide.]

9           The other thing I wanted to mention was a little  
10 bit of the real-world situation in terms of trying to plan  
11 well-designed prospective trials. We have, at least from  
12 experience recently with a well-designed, randomized  
13 prospective trial, similar, in some ways, to the GART and  
14 VIRADAPT, with our test that the IRB at Johns Hopkins said  
15 was really no longer ethical because of the results of those  
16 two trials.

17           There are certainly other kinds of clinical-  
18 utility data that we can gain and I think that what we hope  
19 to gather from this process would be a better definition  
20 from the FDA of what studies we really need to do.

21           But the concern there was that, even though these  
22 tests that are home brew are not being reimbursed, if we are  
23 actually going to do the test in two different arms of  
24 patients, then, at this point, they feel that the relevance  
25 of the testing information is so important that we have to



1 let the doctors know. We can have a group of doctors that  
2 would not know the outcome of our test even though it is, as  
3 of yet, unapproved.

4 So that makes some kind of randomized prospective  
5 trials difficult. There are other kinds of performance  
6 clinical trials that certainly need to be done and we hope  
7 to work with the FDA as I am sure all the other sponsors do  
8 in defining what exact trials would be acceptable and we are  
9 supportive of this proposed change.

10 [Slide.]

11 I just wanted to mention a few pieces of  
12 information that apply to all the resistance tests that the  
13 different manufacturers are talking about, not just ours.  
14 There was data that was mentioned by Doug on the GART trial.  
15 I think this just really goes to the issues of risk/benefit,  
16 of allowing these kinds of tests on the market a little  
17 sooner.

18 If you looked in that study, the patients that did  
19 not-their management was not based on GART, they refused  
20 fewer drugs that were active against the strain of HIV that  
21 they were infected with. So, as a corollary to this, they  
22 were exposed to toxicities of a higher number of drugs which  
23 were inactive against their virus strains and, thus, had  
24 little or no clinical benefit to add to their management.

25 The fact that these patients were treated often

1 with only two active antiretroviral drugs and, in 10 percent  
2 of the patients, only one because they didn't have the  
3 genotypic data, makes these patients even more likely to  
4 rapidly develop resistance to those few remaining drugs that  
5 they were susceptible to.

6 I think that that is an important thing to keep in  
7 mind. One of the observations was made that the genotypic  
8 data will not be looked at by clinicians alone. There will  
9 be CD4 and viral load data and that can serve as a check in  
10 case there are some wrong calls made in genotyping.

11 [Slide.]

12 Finally, I just wanted to mention a study that was  
13 reported at the San Diego workshop looking at the VIRADAPT  
14 study from a pharmacoeconomic analysis. It was interesting  
15 that even in these short studies that there still was a  
16 significant trend towards a reduction in the cost of  
17 antiretroviral drugs in the genotyping arm and that the cost  
18 of genotyping--in this case, it was by sequencing which is,  
19 maybe, somewhat more expensive than our test, but, anyway,  
20 that cost was offset by the savings in antiretroviral drug  
21 costs. I think that is also important for the management of  
22 our patients.

23 I think that is all I have today.

24 DR. HOLLINGER: Thank you.

25 The next speaker is Tony Lam from Applied

1 Biosystems.

2 MR. LAM: My name is Tony Lam from Applied  
3 Biosystems and PE Biosystems.

4 [Slide.]

5 Before I start, I want to point out one thing,  
6 that the PMA also has the requirement of manufacturer  
7 information submitted and also a preapproval for quality  
8 system inspection. So these are additional to just the time  
9 line that you have to submit the 510(k) which is going to be  
10 a lot slower and a lot of time to get ready.

11 [Slide.]

12 This is our product. Our product is basically a  
13 genotype system with sequencing-based HIV genotyping and  
14 utilizing PCR sequencing and software technology. It is an  
15 RNA assay to give you nucleotide sequences of DRT and the  
16 protease gene in the HIV of the patient. The genotype is,  
17 actually, compared to a known HIV antiviral drug resistance  
18 mutation on a public database.

19 Two reasons that the downclassification is that  
20 the background is that the HIV drug resistance has been  
21 identified with treatment already, failure already, and the  
22 patients and all the other parties are actually using it  
23 regardless of approval. But in the absence of a cleared  
24 product, cleared HIV product, will make sure that the  
25 inconsistency is still going to be there and the delay would

1 also create a public-health risk of substandard testing.

2 [Slide.]

3 So the technology is very commonplace now and the  
4 main thing is the intended use should be falling under the  
5 purview of the Food, Drug and Cosmetic Act but not the  
6 Public Health Service Act. The reason is that this is to  
7 provide guidance to physicians not used as a blood-banking  
8 diagnostic as a primary test.

9 [Slide.]

10 To compare class II to class III, will require  
11 flexibility from the regulatory agency. And, as I mentioned  
12 before, class III also needs manufacturing data and, also, a  
13 preapproval inspection plus all the other 180-days and all  
14 that long kind of review.

15 The class II is a lot more flexible as a lot of  
16 people have already mentioned. It will give you a lot of  
17 flexibility and have fast approval process and it is easier  
18 to update for improvements and changes.

19 [Slide.]

20 We have an any for this. CBER has already  
21 accepted a concept of a similar HLA device. I put them next  
22 to each other. The first point is it could be validated by  
23 an outside academic consensus group similar to the HIV which  
24 has already a public database compendia and independent peer  
25 review.

1           And then the new information will be incorporated  
2 in diagnostic labeling claims without any more submissions.  
3 This should be the same, that the database is continually  
4 updated with new resistance, mutation resistance.

5           [Slide.]

6           We should focus on analytical performance because  
7 510(k) or PMA, at this point, is lacking a standard and what  
8 should be done and how should it perform. The 510(k) proof  
9 of performance should use some panels, but not very many,  
10 for mutation and then it will be the same for the new  
11 mutations. It should not require a lot of data and isolets.

12           The benefit will be that it will avoid delay in  
13 the process in clinical access for this kind of information  
14 and also to avoid expensive large-scale clinical studies  
15 which are not necessary.

16           [Slide.]

17           Also evidence of analytical performance is there  
18 is an ongoing database which will enable the incorporation  
19 of new resistance data. This will be continuously updated  
20 and improved by the independent peer review and not based  
21 only on the submission of on PMA from one manufacturer,  
22 limited resources.

23           [Slide.]

24           Again, more analytical performance. And, if it is  
25 available in a fast, short time frame and it could be used

1 by the pharmaceutical companies for their antiviral drug  
2 develop. And it reduces inherent available and unknown  
3 performance of home brew.

4 [Slide.]

5 Another important point is to adopt a standard or  
6 guideline. Right now, the HIV Resistance Collaborative  
7 Group has already drafted a proposal which provides clear,  
8 and a key word is, technology consensus because that is what  
9 we don't have at this point. So we have an analytical  
10 performance to validate the assays for the 510(k).

11 This is consistent with the FDAMA Congressional  
12 mandate that the FDA should favor consensus guidelines.  
13 Together with the use of this public database and the  
14 guidelines will protect public health.

15 [Slide.]

16 If we don't downclassify, it will result in  
17 delayed use of the clear products and then encourage home  
18 brew, create a public misconception that FDA is raising high  
19 hurdles for approving products and delay patient access to  
20 more effective existing and new antiviral therapies.

21 [Slide.]

22 In summary, it is low technology risk because it  
23 becomes commonplace and the intended use is not a stand-  
24 alone but guidance and not diagnostic. We require  
25 flexibility from regular agencies to serve public health,

1 interests. We should focus on analytical performance and  
2 then make use of the public database compendium and adopt or  
3 create a consensus guideline by the FDA so we could use it  
4 for clinical validation as basis for a 510(k) clearance.

5 [Slide.]

6 So this, basically, will end up as a Tier III  
7 which is identical to the technical and scientific  
8 requirement of the PMA and the FDA could still exercise  
9 appropriate oversight.

10 Thank you.

11 DR. HOLLINGER: Thank you.

12 The last speaker is Brendan Larder from Virco.

13 MR. LARDER: Thank you for the opportunity to  
14 speak here. Virco is not actually a kit manufacturer. We  
15 are a service-based company and we provide both phenotyping  
16 and genotyping in the U.S. and the rest of the world.

17 [Slide.]

18 The reason I am here is really to make a few  
19 comments about interpretation which, I think, is quite  
20 appropriate, or interpreting genotypes, is quite appropriate  
21 considering some of the discussion earlier this morning.

22 [Slide.]

23 By way of background, and this has, obviously,  
24 been touched on quite a lot this morning, that phenotypic  
25 testing is complex and it requires specialized central labs,

1 specialized equipment and well-trained scientists. I don't  
2 think anybody really thinks that phenotypic testing is ever  
3 going to become a kit-based assay. I think it would be very  
4 difficult for this to happen.

5 As such, this is now regulated in the U.S. under  
6 CLIA, the CAP and New York State's regulations which we  
7 adhere to. That actually puts a lot of the validation and  
8 regulatory processes in place in the actual lab and is quite  
9 exacting and demanding.

10 Obviously, genotyping assays, as we have heard,  
11 are more amenable to kit-based formats although, again, they  
12 are being used by centralized labs, so-called home brew.  
13 But these also are regulated and can be regulated by CLIA.  
14 I would just like to point out that the Rob Sherman study,  
15 those 30 labs, most of those labs were academic labs that  
16 weren't carrying out genotyping under CLIA regulated  
17 conditions.

18 But the real crux, I think, is relating complex  
19 genotypes to phenotypic resistance. This is really quite  
20 difficult. Doug Mayers touched on this as did Jeff Murray.  
21 Really, to interpret genotypes in a sensible and informative  
22 way, these large phenotype-genotype databases really should  
23 come into their own in facilitating interpretation and  
24 enhance the value of the genotypic testing.

25 [Slide.]



1           Just as a quick overview, these are the assay  
2 principles of the assays that we carry out at Virco and by  
3 LabCor for providing the testing in the States. ABI-based  
4 sequencing, computer analysis an interpretation, which I  
5 will touch on a bit later to give the Virco genotyping  
6 report. And then recombinant virus assay for phenotyping  
7 where a PCR fragment is recombined into homologous virus.  
8 The available virus is grown up, titered and tested against  
9 drugs. That is the antivirogram report.

10           [Slide.]

11           This is the antivirogram. You can see it can give  
12 a fairly simple and direct readout of phenotypic resistance.  
13 This shows the drugs tested, the panel of drugs tested, all  
14 in one test. This shows the assay range and sensitivity to  
15 each drug is where the blue dot is.

16           Just, in summary, you can see red for resistance,  
17 green for no-resistance, et cetera, so it is very easy to  
18 read off. These values are based on cutoffs of around about  
19 for intermediate resistance or resistance greater than four-  
20 fold or ten-fold.

21           [Slide.]

22           When we come to genotypes, and I think you have  
23 seen lots of mutations already today so I won't, obviously,  
24 dwell on this, but the list of mutations is enormous. This  
25 shows nucleosides, non-nucleosides, protease mutations.

1 This is not exhaustive. The problem is the more work we do,  
2 and the more samples that we analyzed, and we have analyzed  
3 thousands and thousands, the more mutations you come across.  
4 So interpretation become a real problem, particularly since  
5 they are not seen singularly but in complex mixtures.

6 [Slide.]

7 This is some data that we presented at the San  
8 Diego meeting a few months ago on samples from routine  
9 testing greater than 5,000 samples, just showing the  
10 percentage, for example, 215 mutation and 50 percent 184  
11 mutation, non-nucleoside mutations, protease-inhibitor  
12 mutations. There is a lot of resistance out there and, as  
13 more people get tested, we find more mutations and more  
14 complex patterns of mutations.

15 [Slide.]

16 Other examples here are new mutations that we can  
17 find, again, using database-type analyses, again some work  
18 we presented. This was quite a surprise but when everybody  
19 says, "Yeah; we know what 3TC resistance is, it is the 184,"  
20 well, actually, that is not the whole story.

21 We found here that, in the absence of the 184,  
22 there are quite a substantial number of samples from  
23 patients that show phenotypic resistance to 3TC. This is  
24 due to what we consider polymorphisms in a background of AZT  
25 mutations. Without having this consistent back reference

1 phenotype to genotype, we will never discover this sort of  
2 information.

3           If we just look at the genotype, we are really  
4 kept in the dark.

5           [Slide.]

6           Again, if just concentrate on individual  
7 mutations, and this is just an example for non-nucleosides,  
8 again we can make some probably wrong decisions. So, for  
9 example, a common non-nucleoside mutation, 198A,  
10 phenotypically, the virus is resistant to nelvirapine but  
11 susceptible to the other non-nucleosides.

12           You can see, as we get more complex mixtures of  
13 these mutations, sometimes you can see resistance to all  
14 three, sensitivity to one here or another here by phenotypic  
15 testing.

16           [Slide.]

17           One of the answers that we feel is really to  
18 direct comparisons with genotypic and phenotypic databases;  
19 our database at the moment--actually, this is a bit old--has  
20 more than 15,000 genotypes and over 30,000 phenotypes with  
21 all the drugs. What we do know is we don't depend on  
22 algorithms because I think algorithms, once you establish  
23 algorithms of what mutational patterns might mean in terms  
24 of phenotype, it is a static thing. You need something that  
25 takes into account that everything is changing all the time.

1           So this database continues to get updated with  
2 genotypes and phenotypes. Now, through the software that we  
3 developed, we can input a sequence. The software can  
4 recognize complex patterns of mutations and scan the  
5 genotypic database and find matching samples that match and  
6 then, with all the samples that match with the same patterns  
7 of mutations, pull out all the phenotypes and then condense  
8 that down into a relative risk, if you like, of a virtual  
9 phenotype and to say what percentage of these phenotypes  
10 were resistant, what were intermediate and what were  
11 sensitive in terms of this original sequence.

12           So what we have done is taken the sequence and  
13 turned it into a phenotype through this database matching.

14           [Slide.]

15           This is the kind of report that we soon will be  
16 launching as our version II report. It is fairly similar to  
17 the antivirogram but shows mutations. This is just  
18 genotyping information. It shows drugs. That  
19 interpretation, via distribution of matching phenotypes from  
20 the database, showing how many matches there are--some of  
21 these are about 8,000 and some are a few hundred--and then  
22 showing distribution so you can quickly read this off,  
23 easily read this off, saying, "Well, there is a large amount  
24 of resistance of red here so the virus is likely to be  
25 resistant to this drug via this pattern recognition of

1 matching the genotype with the phenotypes in the databases."

2 [Slide.]

3 The other thing I should say, and I think this is  
4 important for the committee to consider, that the  
5 phenotype/genotype interpretation, the interpretations on  
6 algorithms can be tested and they should be tested  
7 statistically.

8 This shows a little bit of data where we took a  
9 whole bunch of phenotypes where all these viruses were  
10 phenotypically resistant to the protease inhibitors. We ran  
11 the sequences through our database and said, "What is the  
12 prediction just from the sequence, for each of the four  
13 proteases that we looked at showing that, in most cases,  
14 there was a high level of good prediction of high-level  
15 resistance just by taking the sequence and saying, "How do  
16 they match and what sort of phenotypes do we see?"

17 You can apply statistics to this and I think that  
18 should be done in terms of interpretation. It is really  
19 essential. If people are saying we have an algorithm or  
20 system for interpretation, then it should be tested  
21 statistically.

22 [Slide.]

23 Just to conclude, I think everybody is in no doubt  
24 now that there are numerous different combinations of  
25 specific mutations that are frequently seen in routine

1 clinical practice. Somehow, predictable phenotypes, 184 3TC  
2 resistance--some have less predictable phenotypes or, in  
3 fact, are not even known at the moment.

4           What we are trying to work towards--we are not  
5 making kits but we are trying to enhance the interpretation  
6 of genotypic information through use of a large relational  
7 phenotype-genotype database which enables us, now, to  
8 generate these virtual phenotypes that can be derived just  
9 from the sequence, comprehensive sequence, data.

10           We feel now that this is really going to be a  
11 valuable tool in helping genotypic interpretation.

12           Thank you.

13           DR. HOLLINGER: Thank you very much.

14           Let me just find out, is there anyone in the  
15 audience, before we close the open public hearing--does  
16 anyone else need to respond or comment?

17           If not, then I am going to close the open public  
18 hearing. I am going to ask Dr. Tabor to make a few comments  
19 here and then we are going to open it up for the committee  
20 discussion on the question.

21           DR. TABOR: We have been spending the morning  
22 discussing an issue that has become more and more complex as  
23 we have heard more and more presentations. I would like to  
24 try to clarify some of that for you, perhaps reiterating  
25 some of what I said before.

1           What you are being asked to do, as a committee, is  
2 not to rule on the approval or disapproval of any particular  
3 product but to give an opinion on an approach, in a  
4 regulatory approach, to a certain category of product, the  
5 genotyping assays for mutation detection in HIV.

6           We are only talking about the genotyping assays at  
7 present and that was what was in the public announcement and  
8 that was the intention of the FDA in bringing this to you at  
9 this time.

10           What we are talking about whether something that,  
11 in the absence of your acting, would be a class III device  
12 requiring a PMA, a longer review time, essentially mandatory  
13 levels of clinical information. We are asking you to decide  
14 whether the category of device can be regulated as a class  
15 II device.

16           We can still ask for as much clinical information  
17 as we want of a class II device. The difference is on the  
18 impact, or the potential impact, in the health of the  
19 patient and the public safety. So, if we have an  
20 application that we decide--let's just say that you say it  
21 can be a class II device; if we have an application that  
22 deals with well-known genotypes with well-known associated  
23 mutations, we can ask for less clinical data than if we have  
24 an application that is dealing with new mutations or areas  
25 that are not as well studied or as well known.





1 DR. SMALLWOOD: The Blood Products Advisory  
2 Committee is sitting today for this issue as a medical-  
3 device panel. This is permissible under the charter of the  
4 Blood Products Advisory Committee which states that it  
5 allows the committee to sit as a medical-device panel when  
6 there are such issues which would involve classification  
7 issues and the setting of standards as this discussion  
8 today.

9 I know you have heard a lot of information  
10 regarding this. What I would like to do is reiterate the  
11 salient points of procedure to assist you when you are  
12 making your deliberations on this particular topic.

13 As has been explained, we are asking you for a  
14 recommendation for reclassification from class III to class  
15 II. You have heard the definition of a class II. I will  
16 just state, again, the devices which cannot be classified in  
17 class I because the general controls, by themselves, are  
18 insufficient to provide reasonable assurance of the safety  
19 and effectiveness of such devices but for which there is  
20 sufficient information to establish special controls to  
21 provide such assurance.

22 Examples of special controls include performance  
23 standards for which you have heard postmarket surveillance,  
24 development and dissemination of guidelines. They may  
25 include clinical data on a 510(k). They may address

1 labeling content regarding indications for use, instructions  
2 for use, contraindications, warnings, precautions and  
3 adverse effects. Also, design controls.

4 It is discretionary that FDA may find it necessary  
5 to implement other controls to protect the public health or  
6 provide the safety and effectiveness data.

7 What we need from the panel, essentially; a  
8 recommendation for reclassification of the devices that are  
9 the subject of this panel session. These recommendations  
10 may include a summary, or summaries, of the reason for the  
11 recommendation and a summary of the data upon which the  
12 recommendation is based and identification of special  
13 controls for class II which have been presented to you in  
14 the concept memo.

15 What will follow after these deliberations and  
16 your recommendations will be a decision on the appropriate  
17 class. Obviously, FDA has presented their concept and their  
18 thinking regarding this. There will be published a public  
19 notice of panel recommendation to reclassify these devices.

20 There will be a review of all comments and,  
21 finally, there will be a published Federal Register notice  
22 of reclassifying these devices. All committee members were  
23 provided with Form FDA 3428 which is entitled In Vitro  
24 Diagnostic Product Classification and Questionnaire.

25 I know that it may seem overwhelming to you but I

1 hope that I can help you in making it a little easier.  
2 Essentially, questions 1, 2, 3, 4, 5 and 7 would pertain to  
3 these deliberations. I believe that after you have engaged  
4 in the discussion here and have decided what your  
5 recommendation will be that you will be able to easily  
6 complete this form.

7           As has been mentioned before, if there are any  
8 particular special controls that you feel should be  
9 implement or that you may recommend, please include these on  
10 the form.

11           You also have a supplemental data sheet and that  
12 is only needed if you have additional information that  
13 cannot be filled out on the first form, FDA 3428. After  
14 completion of this form, I would request that it be mailed  
15 to me not the address that is on the form after this meeting  
16 within two weeks.

17           If there are any further questions, you may  
18 contact me regarding this after these deliberations.

19           Thank you.

20           DR. MACIK: Very quickly, what is the generic type  
21 of device? What are we supposed to call this?

22           DR. HOLLINGER: Do you want to call this HIV  
23 mutation test for right now?

24           DR. DAYTON: Why don't you call it HIV genotype  
25 drug resistance test.

1 DR. SMALLWOOD: I believe Mr. Wilson had displayed  
2 a slide which indicated how these would be described.

3 MR. WILSON: That is a proposal, so I would defer  
4 to Dr. Dayton's language.

5 MR. DUBIN: How about HIV drug resistance assay  
6 test/genotype.

7 DR. DAYTON: That's okay. The key words are  
8 genotype and drug resistance and HIV.

9 MR. DUBIN: And they are all there.

10 DR. SMALLWOOD: Are there any further clarifying  
11 questions that I can answer at this time?

12 DR. HOLLINGER: Thank you, Linda.

13 **Committee Discussion and Recommendations**

14 DR. HOLLINGER: I am going to now open this up for  
15 committee discussion but, Dr. Mayers may have to leave. I  
16 would like to ask, first of all, if there are any clinical  
17 questions that you would like to address to him regarding  
18 any of the studies or what your thoughts are or anything  
19 like this before he has to leave.

20 DR. MAYERS: Dr. Hollinger, I have rescheduled his  
21 afternoon.

22 DR. HOLLINGER: He has rescheduled his afternoon,  
23 but we could still ask him the questions anyway.

24 DR. TUAZON: Doug, in your opinion, for what  
25 percent of AIDS patients would this test for clinically

1 useful?

2 DR. MAYERS: Over the course of their illness?  
3 Essentially all of them on multiple occasions. It has been  
4 shown, I think for newly infecteds, this is clearly becoming  
5 increasingly important. The French ANRS has actually made a  
6 recommendation to their government that newly infected  
7 patients with less than one year since their seroconversion  
8 should all have resistance testing done.

9 If it is more than one year, they are recommending  
10 not doing the testing because there is a very low rate and  
11 because of the concerns of back reversion that Jeff Murray  
12 mentioned. But, then, subsequently, I think it is going to  
13 become the practice to provide additional data as you try  
14 and find late rounds of therapy.

15 DR. TUAZON: I think, eventually, you probably  
16 would need this information because if the transmission of  
17 the newly infected ones will be infected by resistant  
18 strains, then you would need this in your primary management  
19 of patients.

20 DR. MAYERS: The fundamental problem is that, when  
21 we checked our clinic at Henry Ford Hospital in Detroit,  
22 48 percent of our patients have seen at least two PIs in the  
23 non-nuc and have positive levels of RNA. So right now,  
24 there is a huge population of patients with multi-drug-  
25 resistant virus potentially going to transmit to the next

1 generation of patients.

2 DR. NELSON: I think that I agree with Dr. Mayers.  
3 I think that this will be extraordinarily useful data to the  
4 practicing clinician. One of the concerns I have, and I  
5 don't know if it really relates to the class II versus class  
6 III issue, is I see the possibility of some abuse because of  
7 the fact that it is a gene, or two genes, that are being--or  
8 segments of the gene that are being analyzed.

9 Data could be reported on a genotypic variation or  
10 mutation to which there is not good clinical relevance. I  
11 could even see a scenario where a pharmaceutical company  
12 that had developed a new drug, or had a drug, was also doing  
13 resistance testing and was using this for commercial gain or  
14 what have you, not necessarily for patient benefit.

15 The issue is there are some genotypes described by  
16 Dr. Mayers that are clearly related to AZT resistance,  
17 nelvirapine resistance and individual or combinations of  
18 drugs. But there are others in which the data are unclear.

19 I guess my question is how will that be regulated?  
20 Will that be on the brochure of the product insert or will  
21 the company that is doing the genotype testing can only  
22 report genotypes to which there is some scientific data to  
23 back up its importance? How will that occur?

24 I can see where it could be regulated by FDA  
25 whether or not there was a class II or a class III approval

1 process. I don't understand that issue very well.

2 DR. TABOR: I think your point is a good one but I  
3 really think, at this point, we ought to really focus on  
4 whether this should be a class II or a class III device and  
5 then go on to the special controls that the committee would  
6 like to see because that is what we really need to  
7 accomplish today.

8 DR. NELSON: To simplify my question, is my  
9 concern relevant to the class II versus class III, or is it  
10 a secondary issue?

11 DR. DAYTON: It will be handled adequately and in  
12 either class II or class III. Yes; the assays will make  
13 occasional errors but, on average, they already seem to be  
14 doing better. But class II or class III, we can handle that  
15 equally well.

16 DR. HOLLINGER: Doug, I have got a couple of  
17 questions on this issue. I know you have a conflict of  
18 interest here because this is what you really are interested  
19 in. You are also the expert in the area. You got to have  
20 both ways.

21 There was a thoughtful editorial by Judith Faloon  
22 on the Lancet article. I hope you have read it. Without  
23 putting you on the spot, she makes some very interesting  
24 observations like there are no clinical outcome data and few  
25 data correlating baseline genotype with viral-load response.

1 She talked about several other issues about this and the  
2 data.

3 Do you believe, at least right now, that there is  
4 enough clinical data--and I know what we are talking about,  
5 but this has to do with the classification of III and II  
6 because III requires premarket approval. It requires  
7 clinical data before it is approved. It is a longer process  
8 but it does require--we vote on a lot of things that later  
9 on we say, "I wish we had done that study and got the  
10 information because we will never get it after this."

11 So I would like to know whether you think there is  
12 sufficient evidence under these two things, with small  
13 numbers of patients in each one of these studies and with  
14 the data and with the questions that we brought up about  
15 compliance and other things, which you don't have the data  
16 on yet--but give me some feeling about where you are with  
17 this and some of her response, if you would.

18 DR. MAYERS: I think, to a certain extent, it  
19 becomes is the glass half full or half empty. In this  
20 particular instance, I think the glass is probably about  
21 80 percent full. I do not believe that you are going to be  
22 able to get clinical-endpoint data for this issue in a  
23 similar way that drug development is having trouble getting  
24 clinical-endpoint data anymore because your original test  
25 and the clinical outcome are going to be so far apart that



1 their relationship will be vague even when you do get the  
2 outcome.

3 I personally have the same problem that the  
4 Hopkins IRB had in that knowing that I can get a patient  
5 that is twice as likely to be undetectable with the test as  
6 they are without the test, I have problems taking them  
7 against no test anymore whereas if I take a genotype against  
8 a phenotype, I think that is a very doable trial, but I  
9 think the sample size approaches that of the infected  
10 population of the United States, so I am not sure that that  
11 one is doable either.

12 I think with the data available, we know that we  
13 can manage patients more effectively in the short term with  
14 the data than without it. I think that the concerns the  
15 committee has expressed about both quality control for  
16 testing and standardization of interpretation are both very  
17 valid concerns.

18 To my mind, I think that making the companies  
19 prove that they can detect the mutation accurately and  
20 consistently and, if they market a kit, that that kit gets  
21 the same mutation no matter who does the assay is a very  
22 reasonable requirement of any company.

23 I might suggest, from having listening to this  
24 discussion, that, perhaps, it might be useful, since I don't  
25 think any of these companies want to prove that a mutation--

1 they have to and individually prove that their mutations  
2 that they can detect with their kit are clinically relevant-  
3 -it might be very useful for the FDA to consider having an  
4 expert panel that actually meets for them to decide what  
5 mutations have reached that level in which they are  
6 comfortable with it and what mutations have not because then  
7 the issue becomes does the company measure the mutation  
8 accurately.

9           If the company measures the mutation accurately,  
10 that would be the basis for what the company would have to  
11 do. What mutations does it cover could be addressed more  
12 globally by has this mutation reached a level of validation  
13 that the FDA is comfortable saying if you can detect it that  
14 you can report it as having this meaning.

15           So you might have to break the process in two. I  
16 am not sure, but that is my own personal opinion, though,  
17 Blaine. I think we are to the point where we can use it and  
18 use it usefully and it gives useful information. There are  
19 some areas of greyness. Some of them may be resolved and,  
20 quite frankly, some of them may never be resolved.

21           DR. HOLLINGER: You feel that outcome would be  
22 beneficial--might be--if you could do it long enough, even  
23 more so than what we currently have available.

24           DR. MAYERS: I think that the outcome gets better  
25 if you can do repeated measures similar to those done by the

1 VIRADAPT group. If you can repeat the test on multiple  
2 bases, you can--but I think the bottom line is, in 1999,  
3 with the drugs available to the clinician, that, right now,  
4 you are going to hit a wall and it is about 30 percent of  
5 your patients.

6           When you hit that wall, you cannot break through  
7 it no matter what test you use because we just simply do not  
8 have the drugs to bring those patients' virus under control.

9           DR. HOLLINGER: Thank you.

10           DR. McCURDY: I think he put it fairly succinctly  
11 in my thinking on this. I think there is very little  
12 question that the technology can detect mutations. So the  
13 issue is does the individual test kit detect the mutations  
14 that it says it does. This is solvable on review, I think.  
15 The interpretation of it is also a very difficult one  
16 although there appear to be, from the presentations, some  
17 mutations which are pretty commonly, or almost universally,  
18 associated with resistance.

19           I think that this can be taken care of in the  
20 labeling and relabeling if new mutations come along. The  
21 idea of an expert panel dealing with mutations that do cause  
22 resistance or multiple mutations that cause resistance is a  
23 good one and it is analogous to what both Dave Stroncek and  
24 I have referred to in the HLA--the designation of certain  
25 HLA class I and class II alleles.

1           So I think that it is reasonable to reclassify  
2 this to a class II device and that it can be managed with  
3 the controls that have just been mentioned here and that can  
4 be put in place by the agency.

5           DR. HOLLINGER: Thank you.

6           Other comments before we put the question up on  
7 the screen? All right; let's put the question on the  
8 screen. The question is, if we could make the amendment,  
9 then to this question, because you want to say genotype;  
10 right?

11          DR. DAYTON: Yes.

12          DR. HOLLINGER: If I may, I am going to make a  
13 recommendation that we change it to, "Does the committee  
14 support the reclassification of HIV genotype drug resistance  
15 assays from class III devices to class II medical devices?"  
16 I would like to vote on that change, if you will.

17          All those in favor of that change, raise your  
18 hand.

19          [Show of hands.]

20          DR. HOLLINGER: All opposed.

21          [No response.]

22          DR. HOLLINGER: Any abstaining?

23          [No response.]

24          DR. HOLLINGER: With that change, then, we will  
25 have a vote on this. All those who are affirmative with

1 this or want to vote yes to have this change, reclassified  
2 from class III to class II medical devices, so indicate by  
3 raising your hand.

4 [Show of hands.]

5 DR. HOLLINGER: Those opposed?

6 [One hand raised.]

7 DR. HOLLINGER: Abstaining?

8 [No response.]

9 DR. HOLLINGER: Would you please read the results.

10 DR. SMALLWOOD: The results of voting for question  
11 No. 1 as modified, and I will read the question as modified;  
12 "Does the committee support the reclassification of HIV  
13 genotype drug resistance assays from class III medical  
14 devices to class II medical devices?"

15 The results of voting; 13 yes votes, one no vote,  
16 no abstentions. At this time, I would ask the  
17 recommendation from the industry rep.

18 DR. BUCHHOLZ: I vote yes.

19 DR. SMALLWOOD: The consumer rep left. However,  
20 she did leave her vote which I will read. Her  
21 recommendation was yes for genotype assays to be  
22 reclassified to class II. And she did have a commentary;  
23 "with strong recommendation of standardized reports as part  
24 of the controls and close postmarketing monitoring, and also  
25 to include the statement coming from Jeff Murray's last

1 point of using genotype/phenotype testing in new drug  
2 development."

3 DR. HOLLINGER: Thank you, Linda.

4 Now, we have the hard part--maybe the easy part.  
5 Now, let's have the second question because we are not going  
6 to deal with the third. The second question is, "If the  
7 answer to No. 1 is yes, what additional special controls or  
8 requirements, if any, does the committee recommend?"

9 I know we have had several made here already that  
10 can be gleaned from all this data. But, specifically, would  
11 somebody like to make some comments on this?

12 DR. MACIK: I think the easiest way to address  
13 that is to look at the form where it says "controls," and  
14 just vote on each of those and then add in anything that is  
15 left. For example, it starts out with postmarket  
16 surveillance. Maybe we could vote on each of those and then  
17 add in anything else that was extra.

18 DR. HOLLINGER: I don't think we have to vote on  
19 this. I think, mostly, and correct me if I am wrong, but I  
20 think you are asking for information, Andy. But can you  
21 please help us?

22 DR. DAYTON: Somebody correct me if I am wrong,  
23 but my understanding is that you have to vote on a),  
24 classification, which you have done, and special controls.  
25 In this case, we would propose that special controls would

1 be postmarketing surveillance such as you have just  
2 identified and the formulation of a guidance document the  
3 highlights of which we have discussed.

4 So if you feel that the discussions are such that  
5 we will know what to put in the guidance document and we  
6 know what to put in postmarket surveillance, you could vote  
7 to accept those as is, for example. Does that clarify the  
8 situation? And there might be more.

9 DR. BOYLE: Would the guidance document include  
10 performance standards and testing guidelines?

11 DR. DAYTON: Oh, yes. I didn't go into that  
12 because that was assumed, obviously.

13 DR. HOLLINGER: On the form, just as you know, if  
14 you all see 3B, they talk about postmarket surveillance,  
15 performance standards, testing guidelines--that is the  
16 guidance document, part of that--device tracking and then  
17 other.

18 First of all, do the members all feel that at  
19 least the first four, the ones I read--not the other, but  
20 the four--

21 MR. WILSON: Not device tracking.

22 DR. HOLLINGER: Sorry; what is device tracking,  
23 anyway?

24 MR. WILSON: Device tracking is where you would  
25 track the individuals individually who the device is used on

1 in the event that there has to be a follow up to the  
2 company.

3 DR. HOLLINGER: Okay. End users. So the three.  
4 does the committee at least certainly agree--and I would  
5 just ask you for a quick vote at least on the postmarket  
6 surveillance, performance standards, testing guidelines or  
7 guidance document, if you will.

8 All those who certainly agree that those are some  
9 of the special controls, raise your hand.

10 [Show of hands.]

11 DR. HOLLINGER: Any opposed?

12 [No response.]

13 DR. HOLLINGER: Any abstaining?

14 [No response.]

15 DR. HOLLINGER: What about the "other."

16 MR. DUBIN: Labeling, because I don't see labeling  
17 listed in this breakout so I think in the "other," we should  
18 talk about labeling.

19 DR. HOLLINGER: How do you mean labeling?

20 MR. DUBIN: One of the things we talked about  
21 earlier is in terms of how the information flows to  
22 physicians. If you kind of juxtapose an infectious-disease  
23 doctor who is on the cutting edge with a hematologist  
24 treating hemophilia who is treading water to stay on the  
25 cutting edge, it seems to me it is important that FDA have



1 some sense of how to ascertain how the information is being  
2 taken in and used. That could be done in a labeling  
3 environment and a review of that, some kind of outcome  
4 assessment, that lets you know that information is being  
5 internalized. That is what I am suggesting.

6 DR. DAYTON: We certainly are open to suggestion  
7 for labeling. Many of these things we normally would handle  
8 in any labeling procedure. Probably the best thing, if you  
9 want to focus on labeling which, of course, is a reasonable  
10 thing to do, is try to focus on things that we might  
11 otherwise not normally do.

12 MR. DUBIN: You would do everything that I just  
13 articulated?

14 DR. DAYTON: What would be the list, then?

15 MR. DUBIN: Labeling in terms of the information  
16 needed by physicians using the test, understanding that  
17 there is quite a gradient between physicians in terms of  
18 understanding.

19 DR. DAYTON: Oh, yes.

20 MR. DUBIN: And some type of outcome review of  
21 that labeling so you know if it is being internalized out  
22 there in the world.

23 DR. DAYTON: That is a tough one. We could do  
24 that.

25 MR. WILSON: In other words, this would be voted

1 on as a special control and, in the premarket review of the  
2 product, as part of the 510(k), we would be asking the  
3 companies to evaluate the reports in terms of how the  
4 physicians interpret them appropriately.

5 MR. DUBIN: Absolutely.

6 MR. WILSON: If they are getting it all wrong all  
7 the time, we will not clear the product.

8 MR. DUBIN: Right; that is what I am talking  
9 about.

10 DR. HOLLINGER: I'm sorry. Excuse me a minute.  
11 Linda needs to read the response to what we voted on just a  
12 minute ago.

13 DR. SMALLWOOD: This is for clarification so that  
14 everyone will understand the action that the committee just  
15 took on their last vote. There was a unanimous vote for  
16 additional special controls or requirements. What the  
17 committee included in that vote were postmarket  
18 surveillance, performance standards and testing guidelines.

19 DR. HOLLINGER: Thank you.

20 MR. DUBIN: They were going to answer. He was in  
21 the middle of answering.

22 MR. WILSON: We did not make a recommendation,  
23 although the committee can, relative to performance  
24 standards. Performance standards are, for example,  
25 voluntary or involuntary national and international

1 standards that would apply to various elements of the  
2 performance characteristics of the product.

3           There would be none existing for this type of  
4 product currently. It takes an extremely long period of  
5 time to develop standards. In lieu of that, what FDA does  
6 in term of develop criteria for the clearance of the  
7 product, is embed some of that information in the guidance  
8 document.

9           So what would happen is that if the committee were  
10 to approve the performance standards, none exist formally so  
11 we would not be able to apply that. Maybe if some become  
12 available, the committee can recommend, if available. But  
13 none exist currently.

14           The safety and efficacy is largely going to be  
15 framed out in the guidance document.

16           DR. CHAMBERLAND: Is it standard procedure for the  
17 FDA to have the BPAC review draft versions of the guidance  
18 document?

19           MR. WILSON: If the guidance document were to be  
20 available, we would have provided it to you. It is still  
21 under development. Lots of things are moving very quickly.  
22 However, the process of the approval of the guidance  
23 document would be to publish it in the Federal Register. We  
24 could certainly provide that to the committee selectively,  
25 also. Comments can be made on it. They can be made by

1 anyone who reads the Federal Register.

2 We are obligated to review every one of the  
3 comments so that you can get your input in as everyone else.

4 DR. CHAMBERLAND: I think that is somewhat what I  
5 am personally struggling with which is it is hard to know if  
6 additional special controls are needed when the postmarket  
7 surveillance and testing guidelines have not been spelled  
8 out in a very detailed way. So it is hard to know where the  
9 gaps might be.

10 DR. HOLLINGER: I agree with you, Mary. You have  
11 got a document. We haven't seen it. I think that what I  
12 would like to see, at least right now, is at least for us to  
13 express what things we ought to do. And they can take them  
14 as recommendations, not necessarily voted on.

15 We have discussed this throughout this session  
16 today. Then we can see where we are going to go from there.

17 MR. DUBIN: We were still on labeling. I don't  
18 want that to get lost. I don't want it just hung out there.  
19 That is the one we didn't vote on.

20 DR. HOLLINGER: Tell me what--

21 MR. DUBIN: FDA just made a proposal back that  
22 sounded decent.

23 MR. WILSON: The "other" on the box is what we--  
24 normally, 510(k)s are obligated to have labeling consistent  
25 with 21 CFR 809.10. So you already get labeling.

1 MR. DUBIN: I understand that.

2 MR. WILSON: What we would be asking for here is  
3 what we would call "special labeling."

4 MR. DUBIN: That's right. That is what I am  
5 talking about.

6 MR. WILSON: That would be at the direction of the  
7 committee on some of the interpretational issues that were  
8 discussed earlier. You could make that recommendation to us  
9 and then what would happen is that, based on those  
10 recommendations, we would exercise that in the review  
11 process.

12 MR. DUBIN: Right. I think what we were  
13 suggesting was twofold, in terms of labeling and then some  
14 review of the doctors are internalizing that labeling  
15 because there is such as gradient between people who are  
16 practicing infectious disease in HIV AIDS on the cutting  
17 edge and people who are not.

18 That is not to make a negative statement about--it  
19 is just the truth of what is out there.

20 DR. TABOR: I think we want to be careful not to  
21 get too bogged down in details. I think, as Dr. Hollinger  
22 suggested, you can make a group of suggestions that we would  
23 take into consideration in the review of specific products.  
24 The question that is up there, question No. 2, is asking  
25 about specific special controls or requirements.

1 I think some of what you are suggesting are in the  
2 category that Dr. Hollinger was referring to which is  
3 discussion items that we should take into consideration  
4 during the review of these products.

5 Here, you are talking about something that would  
6 apply to every class II device in this category.

7 MR. DUBIN: Let me back up and try to be clear.  
8 The question gets asked is is this dangerous. Obviously,  
9 this does not pose a direct health risk. However, if this  
10 test is used incorrectly to inform--used diagnostically and  
11 it is not used correctly and the diagnosis is misdiagnosed,  
12 I think we would all agree that could cause some problems  
13 for the patient, and the doctor, as well.

14 So I don't know if we are just lost in the part of  
15 this that is just loose recommendations. I think there has  
16 been expressed some serious issues about labeling at this  
17 table. I have been hearing them. I don't want to just  
18 write it off as "other."

19 DR. HOLLINGER: Dr. Boyle, do you want to respond,  
20 also, to this?

21 DR. BOYLE: Just in that it may not be a labeling  
22 issue so much as what has been said is that there is an  
23 interest in a standard for interpretability of the assay  
24 findings for the average user. That is a separate issue.  
25 That is one issue that has come up here and it would be one

1 thing that I would put on the table.

2 DR. TABOR: I think that is the kind of thing we  
3 want to hear and to take into consideration.

4 DR. HOLLINGER: Because the question is, if you  
5 are out there--what is "partially resistant" going to mean?  
6 Does that mean you jump in and you do another--for the  
7 general clinician that is out there who sees something that  
8 says, "partially resistant," or an AZT that says,  
9 "resistant," do they stop their medication? Do they not?  
10 Should there be guidelines for that kind of thing?

11 Let me see how you perceive that because that is  
12 what is being asked here in two places about interpretation  
13 and what the FDA needs to, then, sort of generate in their  
14 guidance document and other things as it relates to this  
15 because it sounds like it is a pretty important question.

16 DR. MAYERS: As I sort of said earlier, I am not  
17 sure if the FDA is going to invite me back, but I think this  
18 really comes down to two issues. One issue is a technical  
19 issue which is can you measure a mutation and, when you say  
20 the mutation is present, is it there. I think that is a  
21 very reasonable expectation for the companies, to prove that  
22 they can measure it, to prove to what level they can measure  
23 it, to prove what is the reproducibility of their product  
24 is. I think that is a very reasonable standard.

25 DR. HOLLINGER: It is it relevant.

1 DR. MAYERS: I think it is very relevant.

2 DR. HOLLINGER: I mean, and is the mutation  
3 relevant.

4 DR. MAYERS: But that is where I don't think the  
5 company should have responsibility. I think that there  
6 should be some standard place where--and I think that CDER  
7 is probably a better place than CBER, quite frankly, because  
8 I think it should be part of the drug-development process.

9 I think the company should, as part of their  
10 package when they submit, find out what mutations cause loss  
11 of activity of the drug and what mutations when someone  
12 enters the trial caused their drug not to work and what  
13 level of resistance causes their drug not to work. This  
14 should be part of the approval process for a drug.

15 As part of the evaluation of that drug approval,  
16 that part of the package should be looked at. So I think  
17 there should be someplace, somewhere in the system, in which  
18 we say, "When you have a 184, we have validated that this is  
19 associated with this, this and this. When you have a 215,  
20 we have validated this, this and this."

21 That should not be on the back of each strip  
22 manufacturer and each sequencing company. What they should  
23 be able to prove is, "I have got a good product that gives  
24 me a good sequence. When I report the sequence out, the  
25 sequence is clean and you get the same result if my tech



1 does it, your tech does it or somebody else's tech does it."

2 But then, I think it probably is a good idea  
3 because of the issue about politically interpreting results  
4 to have some group which has some vested authority which  
5 says, "We believe this has reached a level of validity that,  
6 once you have proven you can measure this mutation," and for  
7 a strip manufacturer, they are going to have to prove they  
8 can measure 184 in that strip.

9 For a sequencing person, that is a little bit  
10 different. They are going to have to prove they can get a  
11 sequence that is clean across the whole stretch. But, once  
12 you have got that, it goes across all the manufacturers. If  
13 you find a 184, it counts no matter who finds it, by which  
14 technology, it has the same interpretation.

15 So I think it might really be better to split the  
16 technical validation of an assay, which I think is a very  
17 strong--something that the company should do--from the  
18 interpretative result of that assay which, I think, also  
19 needs some sort of controls placed on it.

20 But I think it should go across the whole system.  
21 If you can find it, it counts.

22 DR. McCURDY: Blaine, I was going to suggest that  
23 we recommend a consensus designation or determination of new  
24 or resistance mutations. There are certainly, now, a number  
25 of consensus--and exactly how that is done, but I would

1 second what was just said that it needs to be done and it  
2 should be done by some type of consensus group in or out of  
3 the government or whatever.

4           It is part of the situation with the kits because  
5 the kit manufacturers may make, or want to make, labeling  
6 claims that they can detect mutation X which is a resistant  
7 mutation. They need to be sure that that is consensus  
8 resistant mutation.

9           DR. TABOR: Paul, I assume you are using the word  
10 "consensus" in the literary sense and not in the molecular-  
11 biology sense. If I am right, I think you may be placing  
12 too much of a constraint on the review process. I really  
13 think that, in a changing field like that, the reviewers  
14 need flexibility to make their own decisions as a group  
15 based on whatever expert opinion they can get at the time.

16           I certainly don't think we want to set up  
17 committees or advisory groups to determine what are  
18 resistant organisms and what are not because it is a  
19 changing field at all times.

20           DR. McCURDY: I think I am using the word  
21 "consensus" more generically. I think that it should not be  
22 something that is reported once in the literature or at a  
23 meeting or something and then immediately leapt upon by  
24 everybody. There ought to be a certain amount of  
25 confirmation that a given mutation is responsible for. This

1 could be done in the review process.

2 DR. TABOR: I think this is just part of the  
3 review process.

4 DR. HOLLINGER: It could be like an NIH consensus  
5 conference. Are you talking about something like that,  
6 Paul?

7 DR. McCURDY: No.

8 DR. HOLLINGER: Nothing like that?

9 DR. McCURDY: No; no, I was not.

10 DR. DAYTON: If I could address this point in  
11 particular, I did mention this when I was reviewing the  
12 highlights of the guidance document--in the guidance  
13 document, we are trying to lay down requirements for just  
14 how much validation we need to see in the literature.

15 I gave you an example of, for instance, if we see  
16 a certain change in the IC50 or 90, we may or may not accept  
17 that as prima fascia evidence that it works. The point is  
18 that that is going to be a major focus of the debate on the  
19 guidance document. So, if you trust the process, the  
20 guidance document will provide an answer to that.

21 DR. HOLLINGER: Is that okay?

22 DR. McCURDY: Yes; I think that is--

23 DR. FITZPATRICK: The guidance document and the  
24 review process can focus on that, but when we started doing  
25 western blots for HIV for diagnostic and clinical samples,

1 there was a great deal of difference in the interpretation  
2 of that western blot. It took consensus and standardization  
3 before we got the same answers from the same laboratories or  
4 we diagnosed patients the same way based on the western-blot  
5 results.

6 This test seems to be in that same stage of  
7 development to me. We can validate the test and we can know  
8 that the test is providing us the right codon, but we need a  
9 way for everyone to interpret those tests correctly. I  
10 think it is going to need to go beyond the review process to  
11 get that.

12 DR. HOLLINGER: I am assuming, Dr. Smallwood, that  
13 since we were all given one of these copies here that,  
14 literally, I mean, basically, we can put down what we want  
15 to under "other." It doesn't have to be a consensus for  
16 this, so I presume, Corey, that this is an opportunity for  
17 you to write in--there is a supplemental sheet. I guess, if  
18 you want to write four or five pages, you can do so.

19 But I think that is important because these are  
20 issues that they would want to speak do.

21 Are there any other issues before I bring this  
22 meeting to a close?

23 DR. STRONCEK: I have a question on question 4.  
24 It is addressed to device II and III. Are there any  
25 suggestions on what we should consider if we check that

1 answer off, 4A?

2 DR. HOLLINGER: About the performance standards?

3 DR. STRONCEK: Yes.

4 DR. HOLLINGER: I think what he was saying is they  
5 don't have any performance standards.

6 DR. SMALLWOOD: Right.

7 DR. HOLLINGER: But I don't think that he said  
8 that they would not be useful if they had them.

9 DR. SMALLWOOD: Essentially, they do not exist.  
10 That is what was stated by Mr. Wilson.

11 DR. HOLLINGER: Thank you, Linda.

12 DR. BOYLE: My form, 7A, do we have to restrict it  
13 in terms of who uses it? I am not sure what the intent  
14 there is.

15 DR. HOLLINGER: Could you explain that, maybe just  
16 to those of us who are not--

17 MR. WILSON: Restricted equals by a prescription.  
18 That is the short interpretation. There are very few  
19 restricted devices that are in distribution.

20 DR. HOLLINGER: Unless you wanted to have a  
21 prescription, you would answer "yes" on something like that.

22 MR. WILSON: Correct.

23 DR. STRONCEK: No; you would answer "no."

24 DR. HOLLINGER: Okay. No; you would answer "yes."  
25 The answer would be yes. If you want prescriptions on this,

1 then answer "no."

2 I want to thank this committee again for all their  
3 hard work, as usual. Everybody was prepared and came and we  
4 appreciate it. We are not going to have a meeting in  
5 December. The next meeting will be in March or June?  
6 Linda, do you have the times so we can mark it?

7 DR. SMALLWOOD: The next regularly scheduled  
8 meeting is tentatively for March. It will generally be the  
9 third week in March, that Thursday and Friday, pending  
10 availability of appropriate facilities. The meeting  
11 following that would be scheduled for June and then  
12 September, accordingly. We will talk about whether there  
13 will be a December meeting in the Year 2000.

14 DR. HOLLINGER: Thank you all very much.

15 The meeting is adjourned.


16 [Whereupon, at 1:13 p.m., the meeting was  
17 adjourned.]

18

- - -

**C E R T I F I C A T E**

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script that reads "Alice Toigo". The signature is written in black ink and is positioned above a solid horizontal line.

**ALICE TOIGO**