

1 it's a question if you have a seronegative six year  
2 old in the household do you want to run the risk of  
3 transmission to that six year old by an oral route,  
4 but I don't have the answer to the risk.

5 ACTING CHAIRMAN DAUM: Other general  
6 comments and questions that pertain to the issues  
7 raised by Question 1?

8 Dr. Snider.

9 DR. SNIDER: Well, with the state of  
10 knowledge that has been imparted to me through the  
11 mailings and the presentations, it's not really clear  
12 to me what additional studies could be done at this  
13 time prior to the proposed clinical trial. I'd be  
14 interested in the experts' comments on that.

15 But I mean, one of the things that I think  
16 we were pressing on gets at Question Number 3, which  
17 is what is the relevance of any animal models to this  
18 whole area, and would that help inform us at all on  
19 efficacy and safety issues.

20 And I suppose one could say, gee, we're  
21 convinced that in one sense there may be an animal  
22 model, and it would be nice to go back and look at the  
23 animal model, but then you get into problems of, you  
24 know, how are you going to use the model in the  
25 context of this type of vaccine to really evaluate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 2344433

[www.nealrgross.com](http://www.nealrgross.com)

1 safety or efficacy for humans.

2 And it seems to me from what I've heard,  
3 all of that is highly speculative and not likely  
4 really to, in the end, allow us to be any more  
5 confident about taking this step with a Phase 1 trial  
6 with 25 people than we really are right now.

7 with regard to 1 (c) though, I think one of  
8 the things that needs to be considered is what I  
9 alluded to earlier, and that is whether in proceeding  
10 with this one wants to proceed with extreme caution  
11 and at least say that sex contact should be  
12 seropositive; that the individual participants would  
13 be only selected if their sex partners were  
14 seropositives. That would offer an additional layer  
15 of protection to those individuals.

16 I mean ultimately what I see the next step  
17 is the most problematic, and it's really what we're  
18 alluding to, and that's the seronegative people. I  
19 mean, we're in an environment and proposing to conduct  
20 a study where there's a good chance that you won't be  
21 infected even when you become an adult in this  
22 country.

23 And to me I think the tougher issues are  
24 around any long term adverse effects of infection  
25 either with a vaccine strain or with the natural

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 strain, and we just don't know what the long term  
2 consequences are.

3 I would like to hear that these 25 people  
4 are going to be followed up for a long time and  
5 contribute to that knowledge base. I didn't hear it,  
6 but I would hope that that commitment would be made.

7 I think the counseling is terribly  
8 important. It was alluded to, but I think the  
9 counseling of people is terribly important.

10 One could also consider whether sexual  
11 relationships should be -- at least it should be  
12 suggested to people that if you are going to have  
13 especially a seronegative partner, one option is to  
14 not have sexual relations for a period of time that  
15 you would predict shedding might occur.

16 Now, you know, whether people would choose  
17 that option or not is an open question, but the issue  
18 is whether you put that information out to them. So  
19 it's just an example of the kinds of complexities, I  
20 think, that surround the counseling issue here, which  
21 I think is going to be very tough.

22 ACTING CHAIRMAN DAUM: Dr. Britt and then  
23 Ms. Fisher.

24 DR. BRITT: No, I think you raised the  
25 issue about if you require all partners to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 seropositive. How long will you require that for? I  
2 mean I think that's an issue that's probably going to  
3 complicate any trial and make it impossible.

4 ACTING CHAIRMAN DAUM: Okay. Ms. Fisher.

5 MS. FISHER: My concern is that the  
6 statement was made that CMV -- we do not know if CMV  
7 encodes other genes involved in long term pathogenic  
8 processes, and I would like to respectfully disagree,  
9 Dr. Snider, with you regarding the animal studies not  
10 being, you know, perhaps useful in that it doesn't  
11 seem to me that there has been a really serious  
12 attempt to look at non-human primates and whether or  
13 not we can do studies there before we proceed to human  
14 studies, particularly when you're creating a new  
15 virus, a chimera.

16 It seems that you would want to have  
17 something done in non-human primates and to see  
18 whether or not you have a genetic change that takes  
19 place or other more serious issues.

20 ACTING CHAIRMAN DAUM: Thank you.

21 Other general comments -- Dr. Stephens --  
22 before we start being specific here?

23 DR. STEPHENS: This was a general question  
24 that I have for the proposers of the vaccine, and that  
25 concerns a statement was made that a single candidate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ultimately would be chosen after a presumed trial in  
2 a seronegative population, and I wasn't real clear on  
3 how that was going to be determined.

4 is that going to be based on shedding or  
5 based on immunogenicity or in kind of the long term  
6 scheme of things, how are we going to make a decision  
7 about these four candidates and to which one you would  
8 ultimately propose as a vaccine.

9 ACTING CHAIRMAN DAUM: Dr. Fast?

10 DR. FASTS: I'm Pat Fast from Aviron.

11 That's obviously a very important  
12 question, and it's a very difficult question to answer  
13 in a really straightforward way. We would want no  
14 evidence whatsoever of any side effects of the vaccine  
15 because we're looking at such a small number of  
16 people, that if you extrapolate to large numbers of  
17 people you'd expect something worse to be happening.

18 Shedding of a vaccine virus, a live virus,  
19 is not completely out of the question, but if we had  
20 an immunogenic virus that was not likely to be shed,  
21 that would obviously create a much simpler situation.  
22 However, as you know, polio virus and varicella virus,  
23 other viruses are shed to some extent. So shedding  
24 isn't a complete show stopper.

25 And a very vigorous and durable immune

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 response, I think, is necessary. So we would be  
2 looking at the long term responses.

3 DR. STEPHENS: But obviously the Towne  
4 strain is not shed, yet is immunogenic to some degree.  
5 Is the difference in immunogenicity between the Towne  
6 strain and the presumed chosen chimera the area that  
7 would be most focused?

8 DR. FAST: I think after safety then if  
9 we're going to try to select that would be the second  
10 area. How long is the neutralizing antibody response?  
11 How strong are the T cell responses, and how durable  
12 they are?

13 We think that durability is an extremely  
14 key issue here because there's not much use immunizing  
15 somebody for a year or two years. They really need to  
16 be immune for a long time.

17 It's not a simple issue as you have  
18 obviously determined.

19 ACTING CHAIRMAN DAUM: We have Dr. Nelson  
20 next and Dr. Riddell I see was after that, and I think  
21 we'll begin to focus on Question 1.

22 DR. RIDDELL: I just wanted to make a  
23 comment on --

24 ACTING CHAIRMAN DAUM: Are you deferring?

25 DR. RIDDELL: He's deferring.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE.. N.W.  
WASHINGTON, D.C. 20005-3701

1           ACTING CHAIRMAN DAUM:   He's deferring.

2           DR. RIDDELL:    I just wanted to make a  
3           comment on what Dr. Fast raised about the durability  
4           to immune response because I think that's likely to be  
5           very critical.

6                         One of the characteristics of herpes virus  
7           is, like CMV and EBV, is that the T cell responses at  
8           least to these viruses are maintained at  
9           extraordinarily high levels in healthy infected  
10          individuals, and that's probably because these viruses  
11          are persistently reactivating, and it actually may be  
12          necessary for a live virus vaccine to actually persist  
13          in the host and maintain levels of immunity at that  
14          level.

15                        So I think one of the things that -- and  
16          we know that even with high levels of immunity some  
17          people still get reinfected.   So I think one of the  
18          things that's likely to be required here is not only  
19          a virus that induces strong responses in the  
20          beginning, but also is able to persist and actually  
21          reactivate in the host to maintain those levels long  
22          term if it's really going to be effective long term.

23                        ACTING CHAIRMAN DAUM:   Thank you, Dr.  
24          Riddell.

25                        Dr. Nelson, do you now wish to undefer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 (phonetic)?

2 DR. NELSON: I undeferred.

3 (Laughter.)

4 DR. NELSON: I guess, you know, my  
5 question kind of pertains to that in some ways in that  
6 basically this study is looking at a vaccine challenge  
7 of a natural infection, and I guess my question is:  
8 what are we going to get from this and what is going  
9 to be the rationale for going on to the next studies?

10 I mean, what was stated was we're going to  
11 assure that the candidates are safe and that the virus  
12 is attenuated and so on and so forth. We're also  
13 going to demonstrate humoral and cellular immunity.

14 I'm not sure what that's going to really  
15 mean because you could be basically just boosting the  
16 natural infection and not really looking at the  
17 vaccine candidate itself.

18 So I'm not sure what's going to come from  
19 this study itself.

20 ACTING CHAIRMAN DAUM: The questions that  
21 we're asked to deal with today take a natural  
22 extension of your comments because the first set that  
23 are on the screen for you to look at really deal just  
24 with this study, as I understand them at least, and I  
25 thank our FDA colleagues for posing them clearly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 because these questions I think I understand.

2 And that is that these questions  
3 specifically deal with this study. Should this study  
4 go forward, are there concerns specifically about the  
5 issues on the screen?

6 The second issue that we'll be asked also  
7 in a systematic way starting like just about now to  
8 comment on is the overall approach, these types of  
9 live recombinant viruses as vaccines in future  
10 studies, which sort of gets at your issue, going  
11 beyond this study that's being proposed this morning.

12 And then the third study, the third  
13 question -- excuse me -- has to do with additional  
14 preclinical animal and laboratory studies to support  
15 future clinical studies.

16 So having interpreted the questions, I  
17 guess, a little bit my way, I'm going to propose that  
18 this first set of questions does concern this study  
19 and not where we're going after this study is done,  
20 but should this study go forward, what are the issues  
21 and what are the concerns?

22 So I'm actually going to go around now and  
23 ask people to comment specifically on the questions  
24 that are here. I think we'll try to take it all three  
25 at once and see how that works, and we'll start with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 Dr. Kim.

2 DR. KIM: I think based on what I heard so  
3 far, I think at least in my mind there are some issues  
4 that need to be addressed. One, regarding safety, I  
5 know I asked this question earlier about staging  
6 issues and four weeks was sort of determined based on  
7 the study we did Toledo, but chimeric is supposed to  
8 be more attenuated. So I'm not sure that four week  
9 time period will address issues related with the  
10 attenuated viruses compared to Toledo strain.

11 And then additional study issues, if I  
12 understand correctly, that cosmid clones have been  
13 pretty much prepared encoding different regions of  
14 HCMV, and wonder whether based on some of the earlier  
15 presentations and the properties of HCMV on vascular  
16 tissues, whether some of the in vitro studies can be  
17 done to identify whether out of the four chimeric  
18 vaccines some have a more propensity to cause vascular  
19 activation compared to others.

20 So perhaps that may give some limited  
21 information on the safety issues. That's all I have  
22 to say at this time.

23 ACTING CHAIRMAN DAUM: Do you think that  
24 the available data are sufficient to proceed with this  
25 proposed clinical trial?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 DR. KIM: Again, I think in order to  
2 answer that I raise those issues, and if those issues  
3 are somehow addressed, then I would think so. Again,  
4 we do not have any alternative, such as animal models,  
5 at this time.

6 ACTING CHAIRMAN DAUM: Dr. Snider?

7 DR. SNIDER: With regard to 1(a), the data  
8 we have, as I understand it, are for the most part  
9 extrapolations of data from the Towne and Toledo  
10 strains. I mean it's probably the most relevant  
11 information we have, and we have some other data from  
12 in vitro work that I don't know exactly how to  
13 interpret. There's nothing alarming there, but I just  
14 don't know whether it has any meaning for human safety  
15 or not, and I haven't heard anybody claim that it  
16 really does.

17 But I guess I'm like Dr. Kim. I'm not  
18 sure with regard to Answer 1(b) what additional  
19 studies relevant to safety that could be done that  
20 would boost my confidence in proceeding further, and  
21 I'm at a loss, based on the information I have, to  
22 know what those studies would be. So I don't know of  
23 any studies that I would recommend under 1(b).

24 And then circling back then to (a), if I  
25 don't know any studies that could be done, I have to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 say that's the best we can do with regard to I(a),  
2 That's the best we can do.

3 With regard to 1(c), I've already made a  
4 number of comments with regard to the precautions that  
5 one might conceivably take or at least counsel people  
6 about taking as it relates to seropositive sex  
7 contacts and so forth.

8 Of course, that just delays the problem  
9 for later on. The more safety precautions you take  
10 right now, then you just have to address these issues  
11 in some subsequent studies, and it seems to me one  
12 might argue that given some of the concerns about or  
13 the unknowns, I should say, and concerns about long  
14 term effects of CMV infection, that it would be  
15 appropriate to proceed very cautiously and do these  
16 things step by step.

17 Look at the four chimeras we have right  
18 now in the context of the safest kind of study we  
19 could conduct, and then move on out from there.

20 ACTING CHAIRMAN DAUM: Thank you.

21 Dr. Edwards, please.

22 DR. EDWARDS: In regards to (a) and (b),  
23 I actually would hope that some of our CMV experts  
24 might help us get a little bit more information across  
25 the room in terms of those issues because I feel a bit

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 inadequate in addressing (a) and (b).

2 I do think there are some things about  
3 (c), however, that I would like to suggest. I think  
4 one is that if we are concerned about the sexual  
5 transmission, that we should monitor both cervical  
6 secretions and semen in the studies.

7 Now, Dr. Britt may say that the yield in  
8 general from wild type is much greater with  
9 nasopharyngeal than semen and cervical, but I think  
10 that if we're going to be doing these studies, we need  
11 to get all the information that we possibly can. So  
12 I would add those secretions to the list of things  
13 we're going to collect on the vaccinees.

14 In addition, I feel uncomfortable about  
15 the children greater than five years of age. I think  
16 that adults can consent for themselves, and certainly  
17 the complexities of giving an attenuated strain of  
18 CMV, I think, is hard enough for us to understand, let  
19 alone children that perhaps are in the five to ten  
20 year age group. So I really think those children that  
21 live in houses that are getting these vaccines, I  
22 think that should be a greater exclusion, and you may  
23 want to start out with no children or conceivably  
24 seropositive children.

25 But I'm uncomfortable in the primary

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, DC. 20005-3701

1 studies not excluding children greater than five.

2 I also wanted to just note that I applaud  
3 actually ten conduct or the design of the study. I  
4 think it is carefully done.

5 I also applaud the educational piece, and  
6 I think Dr. Adler has shown at least in an abstract  
7 that I think I remember rightly that education in  
8 terms of mothers that are seronegative and obviously  
9 highly motivated mothers can really serve very well to  
10 prevent transmission from their babies, and so maybe  
11 we should ask Dr. Adler how that can be done.

12 But I really think that the educational  
13 piece is very, very important, and if he has some  
14 pearls that from his earlier study that seem to be  
15 effective, then I think those should be added, as  
16 well.

17 And then finally, I think the issue that  
18 Dixie raised about seropositive sexual cohorts, at  
19 least for the first two of each group or the first ten  
20 individuals that are being recruited, I don't think  
21 that would be an unreasonable thing to look at, and  
22 again, it may be not absolutely required, but I think  
23 it's another precaution that would make me more  
24 comfortable, and that's only ten people, and the  
25 seroprevalence is 50 percent. So it's not going to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 like looking for a needle in a haystack.

2 So I think those issues that I would have  
3 for (c), and again, more information for (a) and (b)  
4 from the experts.

5 ACTING CHAIRMAN DAUM: To clarify and to  
6 push you just a little bit before we let you off the  
7 hook, we're not voting today like we usually do, but  
8 I would like to hear specifically, Kathy, if you  
9 believe the available data relative to safety are  
10 sufficient to proceed with this clinical trial.

11 DR. EDWARDS: I think the available data  
12 that we have seen are sufficient to proceed with the  
13 trial, with the caveats I've added for (c).

14 However, I really think that Ms. Fisher's  
15 comments about animal models I really think are very  
16 important ones, and that's why I would like to make  
17 sure that Question (c) is addressed, and if there are  
18 other issues that could be addressed in Question C  
19 that people that know more about the models than I do,  
20 then I think that might need to be reassessed.

21 So I really don't feel I can -- I think  
22 what I've seen, yes, but I would like some more  
23 information about three.

24 ACTING CHAIRMAN DAUM: Thank you.

25 Dr. Huang.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HUANG: I'm perhaps more supportive of  
2 this than what we've heard so far, and the reason for  
3 this is I'm very haunted by a young woman who came to  
4 visit me some years ago, and she was asking whether  
5 she should go ahead and have another baby because her  
6 first one was born after she was infected during  
7 pregnancy with HCMV.

8 That was a terrible experience that she  
9 had, and even though she was assured that the second  
10 baby, now that she's seropositive, would have a much  
11 greater chance of coming out fine, she was still very  
12 worried about what would happen.

13 And I guess when you see cases like that  
14 -- many of us have done that -- you begin to wonder  
15 about what can be done about that, and let's put all  
16 of this in context. It isn't as if we are talking  
17 about a whole new infection. We have actually seen  
18 lots of people infected with HCMV. Many of us are  
19 positive, and there are no untoward effects that we  
20 know of.

21 And we are taking two strains, the Towne  
22 and the Toledo, which there's some information about  
23 it already, and if we are going to move towards a  
24 vaccine that has any usefulness, we're sort of at a  
25 position now in which that movement cannot go ahead

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 because of the inability of testing in animals and  
2 having in vitro data that will help us gain new  
3 information about pathogenicity and virulence and all  
4 of those things that we all worry about.

5 Therefore, I believe that given the  
6 situation that we're at, the tests in seropositive  
7 subjects is one that can certainly seem to go ahead.

8 I will defer to my colleagues on my right  
9 in suggesting that initially some seropositive  
10 contacts, sexual contacts be included. I think that  
11 that's just a nice extra little precaution to take,  
12 and I think that some of the children that are  
13 seronegative under age ten may be at some risk.

14 If we've gone this far in designing a very  
15 safe trial, I think those two could be taken under  
16 advisement.

17 so I support going ahead in the  
18 seropositive subjects.

19 ACTING CHAIRMAN DAUM: Dr. Stephens,  
20 please.

21 DR. STEPHENS: I think there's no question  
22 that there's a need for better prevention strategies  
23 for CMV, and that's been very clearly outlined and  
24 obviously was supported by the IOM study. I must tell  
25 you thought that I have serious concerns about this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 particular approach in terms of the use of a live  
2 attenuated CMV vaccine for ultimately the prevention  
3 of CMV.

4 My perspective is a little bit different  
5 in that I take care of adult patients with reactivated  
6 CMV disease in the AIDS setting and in the transplant  
7 setting, and that's a very devastating and  
8 complicating infection, and thus, the long term  
9 potential of an attenuated vaccine is of great concern  
10 to me.

11 I must say that this debate about the  
12 animal models isn't completely settled in my mind, and  
13 I'll yield to the experts, but it sounds like that  
14 there is some potential macaque model or other animal  
15 model that might shed some light on the potential for  
16 attenuation of these vaccine candidates.

17 And I must emphasize that at least from my  
18 perspective, we don't have any real evidence that  
19 these potential four chimers are attenuated. They  
20 may, in fact, be wild type in terms of their  
21 activity.

22 I have concerns, as was expressed by Dr.  
23 Edwards and others, about the contacts and the  
24 exposure of these vaccines in terms of the contact  
25 issue, and I think those are my concerns.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 My concerns still regard all three of  
2 these issues as not being settled.

3 ACTING CHAIRMAN DAUM: Ms. Fisher.

4 MS. FISHER: Well, because the molecular  
5 basis of CMV pathogenesis and virulence is unknown, it  
6 seems very speculative to go forward with a clinical  
7 trial using a chimera. It seems that you need to go  
8 back and do more basic research into CMV, and that if  
9 you're going to go forward with experiments with a  
10 chimera, that you do it in an animal model, starting  
11 out with non-human primates.

12 And so I would say no to (a), and I just  
13 answered (b), and I think that's all I have to say.

14 ACTING CHAIRMAN DAUM: Thank you.

15 Dr. Estes, please.

16 DR. ESTES: Well, I think there's a clear  
17 need to have a vaccine to try to prevent congenital  
18 infections, and I think for transplant patients, and  
19 I think if one believes that a live attenuated vaccine  
20 is the way to go, then I think we have to address the  
21 first question here.

22 Initially, it seemed to me that it was  
23 fairly straightforward to go ahead and to do  
24 experiments in seropositive people, that the risk  
25 would probably be quite small, and I think that that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 still true except with the caveats that have been  
2 described here.

3 I think you're not really doing a study in  
4 seropositive subjects if either children in the  
5 household or contacts, in fact, are seronegative. And  
6 so that's really my major concern about moving forward  
7 with this, and I think the other concerns have been  
8 addressed.

9 ACTING CHAIRMAN DAUM: Do you think the  
10 available data regarding safety are sufficient to  
11 proceed with this clinical trial, in a word?

12 (Laughter.)

13 DR. ESTES: I can't say just yes or no.

14 ACTING CHAIRMAN DAUM: Okay.

15 DR. ESTES: In seropositives with the  
16 issues that I just raised I think everyone has to be  
17 seropositive because you need to know about  
18 transmission first.

19 ACTING CHAIRMAN DAUM: Dr. Hartigan.

20 DR. HARTIGAN: I'm afraid I don't really  
21 feel competent to answer most of these questions.  
22 There doesn't seem to be any relevant long term safety  
23 data available, and the short term safety is, as far  
24 as I can tell, probably available, and the caveats  
25 about doing it in everybody being seropositive sounds

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, DC. 20005-3701

1 like a good idea to me.

2 ACTING CHAIRMAN DAUM: Dr. Peter.

3 DR. PETER: Well, I think most of my  
4 comments have already been made by others, but I do  
5 think the available data is sufficient to proceed with  
6 a study, with the one caveat that I don't feel  
7 competent to comment on the question about the  
8 relevancy of animal models and chimpanzee infections.  
9 So that's an issue in which I wish I knew more.

10 I think with respect to the adequacy of  
11 precautions, since the goal is to prevent inadvertent  
12 transmission and children under the age of 18 can't  
13 truly give informed consent, I would urge that the  
14 study be limited to adults who do have not household  
15 contact with young children or children at all simply  
16 because they can't give informed consent.

17 I'm less concerned about some of the other  
18 issues, such as sexual transmission, but I am  
19 concerned about children who can't really consent and  
20 who may face transplants in the future or HIV  
21 infection.

22 Certainly for the adults that can be  
23 explained in an informed consent that they can then  
24 know the risks.

25 ACTING CHAIRMAN DAUM: Dr. Ferrieri.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 DR. FERRIERI: Well, first, I'd like to  
2 say that I'm absolutely dedicated to having a CMV  
3 vaccine. It's a very high priority in my opinion, and  
4 I can match Dr. Huang's stories with many babies I've  
5 diagnosed with CMV over the years, but I'm very  
6 concerned about this vaccine unfortunately.

7 And very briefly, I feel we don't  
8 understand the biology of the attenuation. We don't  
9 know what we have attenuated. We don't understand the  
10 basic genetic characteristics of what gives this virus  
11 its punch. We don't understand the genes that really  
12 regulate virulence, and I am adamantly opposed to  
13 proceeding with the vaccine in seropositive patients.

14 I think we have no guarantees of what will  
15 happen, and unrelated to what I've just said, I see a  
16 complete disconnect between the information gathered  
17 and how we could ever proceed to seronegative  
18 subjects, patients.

19 Now, if we had basic -- we need more basic  
20 research, as Ms. Fisher indicated, and if we put our  
21 money into that, that would be the pivotal basis for  
22 proceeding with live attenuated virus for a vaccine.

23 So I think the beauty of our committee in  
24 that we have a lot of diverse opinions.

25 ACTING CHAIRMAN DAUM: Dr. Nelson, please.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 DR. NELSON: I think a lot of my feelings  
2 have been expressed by most of the committee. You  
3 know, I think that we really need a vaccine. Is this  
4 the vaccine that we need? I don't know. I mean maybe  
5 it will work.

6 Is there a safety issue? In my opinion,  
7 yes. I think that because it's unknown that safety  
8 issue still remains, and until we can identify the  
9 genes that might be involved in pathogenic processes,  
10 you know, we just don't know.

11 ACTING CHAIRMAN DAUM: Can I ask you a  
12 question? Because I think we all need your comment on  
13 this. Do you think the available data relevant to  
14 safety are sufficient to proceed with this, the  
15 proposed clinical trial?

16 DR. NELSON: In the seropositives. So  
17 it's a risk versus benefit, and I guess in my mind I'm  
18 not clear what the benefits are. I mean I don't  
19 understand what the endpoint is going to be for them  
20 to say that this is effective or not effective.

21 So that's the main issue I have.

22 ACTING CHAIRMAN DAUM: Dr. Riddell,  
23 please.

24 DR. RIDDELL: I would like to say a couple  
25 of things. One is that from an immunologic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 perspective I think there are a lot of real advantages  
2 of a live attenuated virus in the CMV setting, and I  
3 think that a lot of those have been outlined, but the  
4 ability to get a broad, diverse immune response is  
5 likely to be essential for this virus.

6 Now, having said that, I will also say  
7 that that doesn't mean that subunit viruses couldn't  
8 work if we figure out the right combinations to use  
9 and if we figure out how to immunize people properly.

10 And I think that there is a real defect in  
11 our research activities just in how to vaccinate  
12 people effectively.

13 So having said that, to get to the  
14 questions at hand, I think that the first issue really  
15 is the safety issue. In the context of this trial, I  
16 think the safety data is sufficient because in a  
17 sense, what we're really doing is providing a virus  
18 that in all probability recombination is occurring all  
19 the time in CMV seropositive individuals. We're  
20 infecting individuals who get reinfected with a  
21 recombinant virus.

22 And I don't really think that there are  
23 substantial safety issues beyond that, and certainly  
24 ones that can be addressed, I think, which is the real  
25 issue here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701



1           If we had an animal model I would  
2 certainly encourage that to be done, but I don't think  
3 that we do.

4           But I do share Jay's concern about where  
5 you go from here, what you learn from this trial and  
6 how you proceed because I think the issues there are  
7 going to be much more complex in terms of safety and  
8 also interpreting the immunology of the situation,  
9 what kinds of responses you're eliciting.

10          I don't think we're going to learn very  
11 much from here because we're going to be boosting  
12 prime responses as opposed to initiating new  
13 responses, and I really actually would like to hear  
14 from Aviron how they might view how they would proceed  
15 and how they would design a subsequent trial because  
16 even if this trial goes forward, really what we all  
17 want is a CMV vaccine, and for that ultimately to  
18 proceed, we need to have some sense of direction  
19 beyond this particular trial.

20          In terms of the last question, I do agree  
21 with the previous speakers about transmission. I  
22 would encourage the participants to either not have  
23 children or the children be seropositive.

24                   ACTING CHAIRMAN DAUM: Okay. Dr. Britt,  
25 please.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. BRITT: I really have very little to  
2 add, except that probably more than anyone in this  
3 room, I would like to see a CMV vaccine because each  
4 of us recounts an anecdote, but I do them daily and  
5 have walked 15 or 20 women through pregnancy who  
6 acquired CMV infections in the first trimester. so I  
7 understand the comments and the needs for a vaccine.

8 In terms of addressing each one of these  
9 points, I'm not going to rehash that, but I would say  
10 that my problem with this -- not with this approach --  
11 with this discussion is, again, a risk/benefit. I'm  
12 not sure this is necessarily the approach to eliminate  
13 this congenital infection. As such, it's hard for me  
14 to weigh the safety issues.

15 If this was the only approach, then I  
16 would say, okay, then we weigh the safety issues, but  
17 I think there are other considerations here besides  
18 just the Questions (a), (b), and (c).

19 ACTING CHAIRMAN DAUM: Thank you.

20 Dr. Myers.

21 DR. MYERS: If a live virus vaccine is to  
22 be developed, every time we get to clinical trials,  
23 this will be the same issue. So developing enough  
24 information prior to going to clinical trials in  
25 seropositives, I find it difficult to see how we would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have more information.

2 So with all due respect, I think animal  
3 model data, having spent a lot of my career dealing  
4 with animal models, the problem is that there's a lot  
5 of experience in Rhesus versus human herpes virus  
6 models where strains are intensely virulent Rhesus  
7 monkeys that are not in humans, and vice versa. so I  
8 don't know how that data would help us, either if it  
9 showed us it was virulent or it showed us it were not  
10 virulent.

11 so I think this is the type of -- whether  
12 we decide to go forward with this or not, that with  
13 live virus CMV vaccine, this is going to be a  
14 recurrent issue, and this may be as much data as we  
15 have.

16 That said, these strains may be  
17 attenuated. They may be fully virulent, and we won't  
18 know until they're administered, and I really agree  
19 with Dr. Edwards that whether they're seropositive or  
20 seronegative, I don't think six year old children have  
21 the capacity to give informed consent on this. It's  
22 difficult enough for us to address,

23 I remember in the initial Towne strain  
24 studies that, in fact, that candidate vaccine was  
25 given to celibate seropositive individuals, and so I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 guess I would echo what everybody else said. I think  
2 it would be appropriate to test these candidates in  
3 seropositive individuals in the setting that minimizes  
4 the potential for transmission.

5 ACTING CHAIRMAN DAUM: Okay. I think  
6 perhaps, Dr. Sheets, you could help us by putting on  
7 the second list of questions, and I guess I would just  
8 ask our FDA colleagues if they felt like the first set  
9 of questions got an adequate airing in terms of  
10 committee opinion.

11 Do you know how the committee feels about  
12 these questions?

13 PARTICIPANT: Yes.

14 ACTING CHAIRMAN DAUM: Okay. Then I think  
15 we're doing well.

16 My own views, I guess, just very briefly  
17 are that my comments were already made by various  
18 people around the room. I think that there probably  
19 are sufficient data to go forward with the first  
20 proposed study, but I'm not at all clear as to how or  
21 whether it's going to be possible to learn the things  
22 we would like to learn from that study that's going to  
23 allow proceeding into an additional trial.

24 And so the question as it's put, I would  
25 say, yes, there's enough safety data, but I'm not sure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 that unless that road map is clear, which to me it  
2 right now is not, whether it be helpful to allow it to  
3 go forward.

4 And I'm concerned about the definition of  
5 attenuation. As has been said before, I mean, this is  
6 neither the Towne virus nor the Toledo virus. This is  
7 a new virus, and I don't know whether it's attenuated  
8 or not, and I don't think there's any easy way to find  
9 out.

10 So we coming back to the committee now, we  
11 sort of help with this second group of questions,  
12 which I think I would ask as I go around the room, in  
13 the interest of time, that a lot of comments have  
14 already been made regarding this question. Is it  
15 appropriate to study other populations, such as  
16 seronegative subjects, larger numbers of subjects like  
17 Phase 2 or 3 trials, or potential target populations,  
18 given what we know at present?

19 So I think given the consensus that I  
20 think I've heard, we can deal with this much more  
21 quickly. Maybe I'm wrong.

22 Let's start with Dr. Kim.

23 DR. KIM: Well, I think my response to  
24 these issues at this time cannot be given  
25 conclusively, and we don't have any information. So

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 these questions are in some ways speculative,  
2 contingent upon the data, and contingent upon  
3 knowledge gained from the prior studies, as well as  
4 from other investigations going on on issues that have  
5 been addressed by others in the previous discussions  
6 on the molecular pathogenesis, and the Avirons and,  
7 you know, the other issues and/or matters. All those  
8 things need to be incorporated before we begin to, at  
9 least to me, begin to address these issues.

10 ACTING CHAIRMAN DAUM: Thank you.

11 Dr. Snider, please.

12 DR. SNIDER: Well, I share the concerns  
13 that have been expressed earlier, as I said the first  
14 time around. I mean this is the question to me. What  
15 do you do next?

16 And it seems that a lot of us share  
17 concerns that we don't really understand how we would  
18 proceed from the proposed Phase 1 study to study in  
19 other populations, which is not to say there are not  
20 potential ways.

21 For example, the data that were shown to  
22 us on seropositivity show an extraordinarily high  
23 seropositivity rate in homosexual men. So, you know,  
24 one might conceive of certain high risk populations  
25 where if there was a body of data from seropositives

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that indicated the absence of acute adverse events of  
2 concern, et cetera, again, I don't know what can be  
3 said. I'd be interested if there were data that  
4 indicated immune responses.

5 I'm more concerned about cell mediated  
6 responses, but would like to see both, as has been  
7 implied.

8 It might be possible to proceed, but I  
9 think it needs to be more clearly laid out. I don't  
10 understand. I can only speculate about some possible  
11 avenues that can be explored, and therefore, I'm very  
12 reticent at this point in time to make any definitive  
13 comments that we should proceed to study these types  
14 of recombinant viruses in these other populations or  
15 in larger numbers of subjects.

16 And I'd like to see that laid out much  
17 better.

18 ACTING CHAIRMAN DAUM: Thank you.

19 Dr. Edwards.

20 DR. EDWARDS: Obviously this is an  
21 exceedingly complicated question to address. One of  
22 the -- and I apologize that I didn't hear the earlier  
23 presentations, but I think one issue is: what are the  
24 correlates of immunity? Are there other studies that  
25 could be done to really dissect these issues?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 I think that would be very reassuring if  
2 we knew that a durable CTL response was what we  
3 needed. I think that would be very helpful in  
4 proceeding and also taking the data from the  
5 seropositives to address some of these issues.

6 So I really think that I'm not prepared to  
7 answer this question because of the paucity of data,  
8 and hopefully that if and when the first study in  
9 seropositives is done, that we might have the  
10 opportunity to see what is learned before we can  
11 answer this second question.

12 ACTING CHAIRMAN DAUM: Dr. Huang, please.

13 DR. HUANG: I would like to take this  
14 chance just to make some general comments about  
15 vaccines, which is relevant to Question 2, if I may,  
16 Bob.

17 Rather than focusing on live recombinant  
18 viruses, I'd like to just talk about live viruses as  
19 vaccines and their attenuation.

20 I think ideally if we had all the  
21 information that we had, knowing about the  
22 antigenicity that will elicit both B and T cell  
23 responses and memory responses, and also knowing those  
24 virulence genes in each of the viruses, we would  
25 construct a virus, a new virus that would contain

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701



1 those antigens that we need and delete those virulence  
2 factors that we don't need, and put them in a system  
3 that would replicate.

4 So basically the best virus vaccines that  
5 we have are those that are live. Because they're long  
6 lasting, you have to give them once and it will do the  
7 job.

8 So that would be the ideal virus vaccine  
9 we would like to see. We have over the years seen  
10 things like subunit vaccines. We are seeing DNA  
11 vaccines that are very exciting, but none of them have  
12 really panned out in the sense of what we are looking  
13 for in the experiences that we have with live virus  
14 vaccines.

15 So in this case, I think that you  
16 virtually in the long run have to say where in the  
17 history of viral vaccines, where have we come from and  
18 where are we likely to go, and I speak of this because  
19 we're all facing another vaccine that's going to come  
20 down the road sometime and we have to make some hard  
21 decisions about that, and that's the HIV vaccine.

22 Now, as far as animal studies go, for  
23 herpes viruses and for many of them, we know that we  
24 can do all of the animal studies that we can possibly  
25 do, and even when we come down in the end to something

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 that tends to work in animals, such as a drug against  
2 herpes virus, and we try it in humans and we find that  
3 it doesn't work because of problems in causing other  
4 diseases in the drug trials.

5 So although it's nice to have other  
6 markers besides having to work in humans directly, to  
7 have other systems in vitro or in vivo ones, sometimes  
8 we just have to face that decision that the only place  
9 that we're going to get the final answers are going to  
10 be in humans if we're going to protect humans.

11 So that's the lecture for today.

12 (Laughter.)

13 ACTING CHAIRMAN DAUM: Thank you, Dr.  
14 Huang.

15 Dr. Stephens, please.

16 DR. STEPHENS: Returning, I guess, to the  
17 specific issue of these chimeric vaccines in regards  
18 to Question 2, I remain concerned about issues of  
19 attenuation, issues of biology, issues of sequelae and  
20 safety that make it very difficult to answer these  
21 what I would presume to be subsequent questions.

22 I don't think we've heard very much data  
23 today or very much information to help us really  
24 address any of these areas.

25 ACTING CHAIRMAN DAUM: Ms. Fisher, please.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 MS. FISHER: I think you have to know more  
2 about CMV before you create a new virus and inject it  
3 into seronegative subjects, and I think you have to  
4 try to do everything you can to learn as much as you  
5 can from animals before you go into humans.

6 ACTING CHAIRMAN DAUM: Dr. Estes. Thank  
7 you .

8 DR. ESTES: I think it's premature to  
9 really be able to make decisions about going forward.  
10 I think we do need to know if these chimeric viruses  
11 are attenuated or not, and that information might come  
12 from a Phase 1 study in the seropositives, but I don't  
13 think that we can -- we'd have to look at that data  
14 very carefully before we could make comments on future  
15 studies.

16 ACTING CHAIRMAN DAUM: Dr. Hartigan,  
17 please.

18 DR. HARTIGAN: When you start over again,  
19 it seems to me that that's what you'd be doing in  
20 other populations, particularly the seronegative  
21 subjects, which is where you want to go eventually.  
22 You'd still have to do Phase 1 studies to see what  
23 happens in those populations. I don't think you can  
24 move directly from a study in seropositive persons to  
25 large numbers of seronegatives.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 ACTING CHAIRMAN DAUM: Thank you.

2 Dr. Peter.

3 DR. PETER: I think that assuming that  
4 safety and immunogenicity is demonstrated, that Phase  
5 1 studies in seronegative individuals are absolutely  
6 essential before you do any large population studies.

7 My concern is that in this proposed study  
8 I don't really know what the endpoints are. The  
9 numbers are small and may not be conclusive when you  
10 have only five patients in each group.

11 And I guess I would only ask that the  
12 sponsor develop some specific criteria together with  
13 the FDA that might lead to testing of one of the  
14 chimeric vaccines in seronegative individuals.

15 ACTING CHAIRMAN DAUM: Dr. Ferrieri.

16 DR. FERRIERI: Given our limitations of  
17 knowledge right now of the attenuation, I think it's  
18 difficult to speak precisely to this point, but if the  
19 limited Phase 1 studies should proceed, and depending  
20 on the analysis of the data, what is learned about  
21 various immunologic responses, I would think that an  
22 expanded Phase 1 trial would have to take place in  
23 seropositives, gleaning all that you could about the  
24 immunology and the responses before moving into a  
25 limited Phase 2 study, going into expanded studies.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1           I think we cannot intelligently address  
2 this question, FDA.

3           DR. NELSON: Yeah, I guess I would just  
4 like to know what Aviron's criteria for moving into  
5 the next phase, which would be into seronegatives, and  
6 what they expect to -- what would be a positive result  
7 that would say that this is safe and that this is  
8 effective, and I think they need to address that.

9           ACTING CHAIRMAN DAUM: Okay. Thank you.  
10 Dr. Riddell.

11          DR. RIDDELL: Yeah, this is where I have  
12 a problem, too. I have the same concern.

13           I guess what I would like Aviron to tell  
14 us is what criteria will we learn from the study  
15 that's proposed in seropositives that will tell us  
16 whether these viruses are attenuated or not because  
17 unless we know they're attenuated, I think we'll have  
18 a hard time saying that we could go into  
19 seronegatives.

20          ACTING CHAIRMAN DAUM: Dr. Britt.

21          DR. BRITT: I heard a comment that there  
22 was very little data presented today, but I will give  
23 you one piece of data that will address 2(c). In a  
24 well screened population of seropositive women, that  
25 are women that had seropositive virus before delivery,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 and developed babies with symptomatic disease, a third  
2 of those, a third of those came from women with  
3 seropositivity before pregnancy. Okay?

4 So a third of that group. It was a small  
5 group, 23, 24 women. It's published in Pediatrics.

6 Therefore, I would be very concerned about  
7 the safety issues not only for attenuation, but for  
8 reactivation before I would launch into adolescents,  
9 at least adolescent females.

10 ACTING CHAIRMAN DAUM: Dr. Myers, please.

11 DR. MYERS: I think all the points have  
12 already been said. The core issue in my mind is if  
13 one or more of these strains are fully virulent, and  
14 so that I think initially testing in populations that  
15 minimize transmission and testing in individuals who  
16 are well informed and are fully immunocompetent are  
17 critical.

18 And then like Dr. Riddell, I really need  
19 to know what the endpoints would be for assessing  
20 virulence.

21 ACTING CHAIRMAN DAUM: Yeah. I throw in  
22 my comment at this point as being most closely allied  
23 with that of Dr. Ferrieri, which is basically that I  
24 think that the first study would have to be done.  
25 We'd have to do the more familiar committee gathering

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 point, which is to look at the data from it, and  
2 really have another complete session about what those  
3 data mean and how to interpret them before we can  
4 answer Question 2.

5 And with that, the committee's comments  
6 are complete, and I'd like to see if there's one  
7 Aviron representative who would like to comment for  
8 literally one minute about how they would interpret  
9 the data from this Question 1 trial, from the current  
10 proposed trial to launch an approach to the issues in  
11 Question 2.

12 This is Dr. Fast.

13 DR. FAST: Okay. First of all, I'd like  
14 to agree with Drs. Riddell and Nelson and Britt that  
15 the immunologic data from the first trial will be only  
16 kind of preliminary. We might see a boost, but it's  
17 not really where we're going to get our immunologic  
18 data. We need the seronegative trial.

19 Secondly, this is the statistical analysis  
20 of what we based on our small sample size. We think  
21 we can eliminate nonattenuated strains if they  
22 resemble the Toledo strain.

23 The Toledo strain, in the left, the  
24 probability of an event in a population obviously, the  
25 point estimate is .8 because eight -- I'm sorry --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 four of the five, 80 percent of the people had some  
2 abnormality, either laboratory or clinical abnormality  
3 or both.

4 So that's obviously a rough estimate, but  
5 if that's the true incidence in the population in a  
6 Toledo, if Toledo being given to seropositives, then  
7 we would have 99 percent chance that we would see an  
8 abnormality like that in the five people that we would  
9 be inoculating.

10 If we see abnormalities that appear to be  
11 CMV induced abnormalities, we would not go forward  
12 into seronegative people with that candidate. We  
13 would select a candidate that caused no problems.

14 So the first screen is a screen for  
15 safety. We think this is actually reasonably robust.  
16 We're going to be looking at levels in blood. We're  
17 going to be looking at shedding. We're going to be  
18 looking for atypical lymphocytosis. We're going to be  
19 looking for any kind of clinical symptoms and a  
20 variety of screening labs that Dr. Heineman showed  
21 you, cvcs, liver function tests, renal function, and  
22 so on.

23 So that's how we think that we would find  
24 out about attenuation. Clearly, selecting the final  
25 candidate would require evidence from a seronegative

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 trial in which we would look very carefully at the  
2 immunologic parameters, the length of time that they  
3 were -- the strength and the length of time that they  
4 were determined, and they would need to look somewhat  
5 like wild type infection and not as weak as the Towne  
6 vaccine.

7 There's about a tenfold difference in the  
8 antibody responses, for example, to Towne and wild  
9 type. So we think that we have some room to look  
10 there.

11 ACTING CHAIRMAN DAUM: Thank you.

12 That was a very helpful -- a little more  
13 than one minute, but helpful just the same -- comment.

14 I think I'd like to move on to Question 3  
15 now, and my sense in hearing comment about Questions  
16 1 and 2, that I would encourage committee members to  
17 be very brief because I suspect that most of what you  
18 have to say has been said.

19 On the other hand, if there are new points  
20 to make, please feel free to make them.

21 Dr. Kim, please.

22 DR. KIM: I don't have any new additional  
23 comments regarding Number 3. The points that I want  
24 to address have been addressed previously.

25 ACTING CHAIRMAN DAUM: Dr. Snider, please.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. SNIDER: I would respond to Number 3  
2 by saying that I would agree with those who have said  
3 that it would be desirable to continue basic research  
4 on CMV, as well as the research that's been suggested  
5 on this particular vaccine.

6 I would also add that I think that trying  
7 to -- going back to two and combining it with three,  
8 I think it's very important for the company and FDA to  
9 try to chart their course with the kinds of studies  
10 that would need to be done to move this vaccine along.  
11 Otherwise we get into the problem of discarding a  
12 potentially very important vaccine based on concerns  
13 that may in the end not turn out to be valid.

14 So I think it's important to put the  
15 effort in to see how to get there from here as best we  
16 can and not discard this because I think, although I  
17 appreciated Alice's lecture earlier about how we would  
18 construct the ideal recombinant vaccine, I don't see  
19 how to get there very quickly either.

20 And so it's either one or the other  
21 candidates, and we have no assurance that one of these  
22 other candidates is going to prove to be efficacious.  
23 So I think it's premature to throw this out, as well.

24 DR. EDWARDS: I think that research is a  
25 little bit like apple pie and motherhood. We all like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it, but perhaps some money or some NIH or NIAID  
2 funding to really focus on the issues that are  
3 regarding vaccine issues might be a further impetus to  
4 more ongoing research.

5 DR. HUANG: I certainly support getting  
6 more information. I think that is always useful.

7 I should just say that if we held a polio  
8 virus to these criteria, we would not have a polio  
9 virus vaccine right now, and so the question is really  
10 a tradeoff of how much information we want versus how  
11 fast we want to have something that might work and be  
12 helpful to people.

13 DR. STEPHENS: Nothing really to add. I  
14 think we all agree that additional studies would be  
15 helpful.

16 MS. FISHER: I'll let my previous comment  
17 stand, except that I do want to talk a little bit  
18 about the fact that this vaccine would not be given  
19 alone. It would be given in the context of many other  
20 vaccines that adolescents are given and that children  
21 are given, and that any studies look at the fact that  
22 it will be given in combination.

23 DR. ESTES: I don't have anything to add.

24 DR. HARTIGAN: I don't have anything to  
25 add either.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. PETER: I have nothing to add.

2 DR. FERRIERI: I have nothing to add.

3 (Laughter.)

4 ACTING CHAIRMAN DAUM: Wow, someone senses  
5 the question.

6 Let's just make sure from our FDA  
7 colleagues that they have heard opinion about each of  
8 the issues they need to hear about. I believe you  
9 have vis-a-vis these questions, but do you agree?

10 DR. GOLDENTHAL: Yes, I agree.

11 I also sense that the committee would like  
12 to see the data from the seropositive study prior to  
13 any studies in seronegative individuals.

14 ACTING CHAIRMAN DAUM: Yes, I certainly  
15 feel that way, and I sense a lot of others do as well.

16 Well, that having been said, it's  
17 lunchtime. Now, before we all stand up and make  
18 noise, how long do we have?

19 MS. CHERRY: I think it's probably up to  
20 Dr. Greenberg.

21 CHAIRMAN GREENBERG: Five minutes.

22 (Laughter.)

23 MS. CHERRY: On second thought, let me  
24 answer that question.

25 (Laughter.)

1 CHAIRMAN GREENBERG: One, forty, be back  
2 at 1:40.

3 (Whereupon, at 12:55 p.m., the meeting was  
4 recessed for lunch, to reconvene in closed Session 4  
5 at 1:40 p.m., the same day.)  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

CERTIFICATE


This is to certify that the foregoing transcript in the  
matter of:                    Vaccines and Related Biological Products  
                                  Advisory Committee  
                                  Session No. 3

Before:                        DHHS/FDA/PHS/CBER

Date:                         November 4, 1999

Place:                        Bethesda, MD

represents the full and complete proceedings of the  
aforementioned matter, as reported and reduced to  
typewriting.

A handwritten signature in black ink, written over a horizontal line. The signature is cursive and appears to be "M. Kelly".