

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS

ADVISORY COMMITTEE

+ + + + +

OPEN SESSION 5

+ + + + +

Thursday, November 4, 1999

+ + + + +

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

The meeting took place in Versailles Rooms I and II, Holiday Inn, Bethesda, Maryland, at 2:20 p.m., Harry B. Greenberg, M.D., Chairman, presiding.

PRESENT:

HARRY B. GREENBERG, M.D., Chairman

NANCY CHERRY, Executive Secretary

ALICE S. HUANG, Ph.D., Member

KATHRYN M. EDWARDS, M.D.

MARY K. ESTES, Ph.D., Member

KWANG SIK KIM, M.D., Member

DAVID S. STEPHENS, M.D., Member

DIXIE E. SNIDER, JR., M.D., M.P.H., Member

OPEN

ORIGINAL

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

PRESENT (Continued) :

BARBARA LOE FISHER, Member

PAMELA HARTIGAN, Ph.D., Invited Guest

L. PATRICIA FERRIERI, M.D., Invited Guest

MARTIN MYERS, M.D., Invited Guest

GEORGES PETER, M.D., Invited Guest

JOHN LIVENGOOD, Invited Guest

THERESA FINN, Ph.D., FDA Representative

KAREN FARIZO, M.D., FDA Representative

MARGARET RENNELS, Ph.D., Speaker

KENNETH GUITO, Sponsor Representative

CARLTON K. MESCHIEVITZ, M.D., M.P.H., Sponsor

Representative

JAMES E. FROESCHLE, M.D., M.P.H., Sponsor

Representative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

C-O-N-T-E-N-T-S

	<u>PAGE</u>
Introduction, Dr. Theresa Finn	4
Extensive Swelling Reactions, Dr. Margaret Rennels	25
Pasteur Merieux Connaught's Presentation:	
Ken Guito	35
Carlton K. Meschievitz, M.D.	38
Safety of a Fifty Successive Dose of DTaP, Karen Farizo, M.D.	50
Questions for the Committee	82

P-R-O-C-E-E-D-I-N-G-S

(2:20 p.m.)

CHAIRMAN GREENBERG: I'd like to invite the FDA members to take their seats at the table.

We're now going to start the fifth open session of today's discussions, and we'll start off. Is Dr. Finn around? Ah, there she is. She's going to do it all, do her slides?

(Laughter.)

CHAIRMAN GREENBERG: Dr. Finn of the FDA is going to give us an introduction.

DR. FINN: Sorry about that. Can everybody hear me?

Today the purpose of the discussion today is to discuss a diphtheria and tetanus toxoid and adsorbed acellular pertussis vaccine. This vaccine is manufactured by Pasteur Merieux Connaught, also known as Connaught Labs, Inc.

And Connaught has submitted a product license application supplement requesting licensure of their DTaP vaccine, Tripedia, for a fifth successive dose.

And in my introduction today, I hope to provide some relevant background for the discussion phase of this afternoon.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 After my presentation we're going to hear
2 from Dr. Margaret Rennels, and after Dr. Rennels, the
3 representatives from the manufacturers will give a
4 presentation, and then FDA will provide a presentation
5 also, and that will be done by Dr. Karen Farizo.

6 So in my introduction today I will touch
7 briefly on the following topics. First of all, I'd
8 like to give a summary of the current DTP schedule,
9 and I'm going to very briefly mention Tripedia, what
10 it was licensed for, and when it was licensed and what
11 indications.

12 And then I'll talk about the efficacy,
13 duration of protection, and the safety profile
14 associated with DTaP vaccines in general.

15 And lastly, I'm going to summarize the
16 safety data associated with a fifth successive dose of
17 another DTaP vaccine, ACEL-IMUNE, which is
18 manufactured by Lederle Labs, and this DTaP vaccine is
19 licensed for five successive doses.

20 And the very last slide will be a
21 presentation of the questions for discussion later.

22 So the DTP schedule recommendations are on
23 this slide, and what's recommended is that three doses
24 be given in infancy, generally at two, four, and six
25 months of age.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Following the three dose primary series,
2 there are two booster doses, the first given at
3 between 15 and 18 months of age, although it can be
4 administered at 12 months, and then there is a fifth
5 dose, which is given between four and six years of age
6 or just between entering school, and this dose should
7 be given unless the fourth dose is received after four
8 years of age.

9 Now, I've included in this slide in
10 parentheses the Td immunization, which is recommended
11 between 11 and 16 years of age, and I've included it
12 because pertussis disease is being increasingly
13 recognized in the adolescent population and has been
14 discussed that perhaps this Td should be amended to
15 include a pertussis immunization as well.

16 So the recommended childhood immunization
17 schedules are written in a publication from the
18 American Academy of Pediatrics, which is called "The
19 Red Book," and 24 editions of this book have bene
20 published since the first in 1938.

21 And at this stage I'd like to say that I'm
22 indebted to Hope Hurley at the AAP who copied and
23 forwarded the schedules from these various editions
24 for us.

25 And what I'd like to point out is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 when you review the schedules, the 1966 edition of
2 "The Red Book" includes a five dose schedule, much as
3 we have today, and prior to 1966, the schedule was
4 more variable. For example, in 1964, there were
5 actually six recommended doses, and in the 1950s it
6 was permissible to give partial doses of DTP.

7 Since 1966, however, the schedule has
8 remained as a five dose schedule, and of course, we
9 should remember that at that time, the DTP that was
10 given was a whole cell DTP.

11 There have been some modifications though,
12 for example, the age of the infant immunizations and
13 the age of the fifth dose immunization.

14 Now, the 1994 edition of "The Red Book"
15 included the use of DTaP vaccines for the fourth and
16 fifth dose. These were actually licensed in 1991 and
17 '92 and were permitted for the fourth and fifth dose,
18 and the 1997 edition includes the schedule which
19 permits the use of DTaP vaccine for all five doses.

20 Now both recommending bodies -- oops, too
21 fast -- both recommending bodies, the AAP and the
22 ACIP, recommend DTaP vaccines for all doses. Both the
23 AAP and ACIP have very similar statements to the one
24 on this slide which I've taken from "The Red Book,"
25 and it states that the DTaP vaccine is preferred for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 234-4433

www.nealrgross.com

1 all doses because of the decreased likelihood of
2 vaccine associated reactions, such as fever and local
3 reactions. The frequency of fever and local reactions
4 including erythema, induration and pain or tenderness
5 following vaccination with acellular pertussis vaccine
6 given as DTaP is significantly less than that
7 following administration of DTP.

8 In addition to a statement similar to this
9 from the ACIP, and the ACIP has a cautionary statement
10 which recommends that whenever feasible the same brand
11 of DTaP should be used for all doses in the series.
12 Data do not exist regarding the safety, immunogenicity
13 and efficacy of using DTaP vaccines from different
14 manufacturers for successive doses of the primary or
15 booster vaccination series.

16 so there have been some recent
17 developments in the vaccination, DTP vaccination
18 schedule which I'm going to touch on here.

19 First of all, there has been concern
20 expressed about increasing local reactogenicity.

21 Secondly, there have been questions raised
22 about the optimal timing of doses, and of course, if
23 you're going to go into that question, it's very
24 important to consider the duration of pertussis
25 protection, what effect would any timing have on

1 diphtheria and tetanus titers, and of course, how
2 would any of these discussions affect combination
3 products that are currently in use or in development.

4 And as I mentioned earlier, due to the
5 increasing recognition of pertussis in the adolescent
6 age group, there is interest in including a pertussis
7 immunization at the adolescent Td immunization.

8 So Tripedia, which is the subject of this
9 afternoon's discussion, is the DTaP vaccine
10 manufactured by Pasteur Merieux Connaught, U.S. The
11 acellular pertussis components are manufactured by
12 BIKEN in Japan, and then the acellular pertussis
13 components are then shipped to the United States where
14 they are combined with diphtheria and tetanus toxoids
15 manufactured by Connaught.

16 In 1992, Tripedia was licensed for the
17 fourth and/or fifth dose following wholesale DTP
18 primary series, and in July of 1996, Tripedia was
19 licensed for a primary series, for a fourth dose
20 following a primary series of Tripedia, and for the
21 completion of the five dose series following one or
22 more doses of wholesale DTP.

23 Tripedia is one of four DTaP vaccines
24 licensed in the United States, and the other three are
25 Infanrix, which is manufactured by SmithKline Beecham

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 and was licensed in January of '97; Certiva,
2 manufactured by North American Vaccine, Inc., which
3 was licensed in July of '98; and ACEL-IMUNE, which was
4 licensed in December 1996 and is manufactured by
5 Lederle.

6 Of all of these, only ACEL-IMUNE is
7 licensed for five successive doses in the immunization
8 series.

9 I'd like to state at this stage all of the
10 vaccines that are licensed in the United States were
11 licensed based on efficacy data, and we should bear in
12 mind that we don't have a laboratory correlate to
13 pertussis protection for acellular vaccines, nor is
14 there a well defined serological correlate for the
15 acellular pertussis vaccines.

16 And all the vaccines currently licensed in
17 the United States were shown to be efficacious
18 following a three dose primary series. In the case of
19 Tripedia, two studies demonstrated efficacy. The
20 first of these was in Sweden, and this evaluated a
21 vaccine manufactured by BIKEN called J-NIH6, and this
22 is an acellular pertussis only vaccine. There's no
23 diphtheria or tetanus component, and this acellular
24 pertussis component is comparable to the pertussis
25 component of Tripedia.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In this study in Sweden, the first
2 evaluated two doses, the first of which was given
3 between five and 11 months of age. The second dose
4 was given approximately seven weeks later, and this
5 two dose series was efficacious. Vaccine efficacy was
6 estimated to be 81 percent with the 95 percent
7 confidence interval, 61 to 90 percent.

8 An additional study of Tripedia
9 formulation DTaP was conducted in German. This was a
10 case control study and evaluated three doses of
11 Tripedia given at three, five, and seven months of
12 age, and vaccine efficacy was estimated to be 80
13 percent with a confidence interval between 59 and 90
14 percent.

15 And all the other U.S. licensed vaccines
16 were shown to be efficacious also following three
17 doses.

18 So what do we know about the duration of
19 pertussis protection? Well, the bottom line is
20 there's very limited data, and what data there is has
21 to be interpreted with some caveats and limitations.

22 The first of these is the follow-up times
23 vary. Secondly, the case definitions vary. Third,
24 the control groups are unblinded, and lastly, but not
25 insignificantly, is that surveillance in these follow-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 up studies was often less aggressive than during the
2 primary efficacy phase of the studies.

3 So in the next two slides what I've done
4 is summarize some of the available data on duration of
5 pertussis protection following DTaP immunization.

6 so there is data for Infanrix following a
7 three dose primary series, and this data comes out of
8 the large NIAID sponsored study in Italy. This
9 initial study, the NIAID sponsored study evaluated
10 efficacy of Infanrix until the kids were out to about
11 24 months of age, and the data showed that the vaccine
12 provided approximately -- was about 84 percent
13 efficacious.

14 There was a publication in 1998 which
15 evaluated a nine month follow-up in these children,
16 and this publication indicated that vaccine efficacy
17 was approximately 78 percent with a confidence
18 interval between 62 and 87 percent.

19 And at that stage, at the end of that nine
20 month follow-up the kids were approximately 33 months
21 of age.

22 An additional publication in 1998
23 evaluated these same children from the Italian study
24 and showed that at four years of age vaccine efficacy
25 was estimated to be about 84 percent. So these kids

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 had only received a three dose series of the DTaP.

2 For Tripedia, I believe that there is
3 ongoing evaluation of protection following a three
4 dose primary series in Germany. However, there is
5 some supportive data which comes from J-NIH6 which is
6 that BIKEN manufactured two component pertussis only
7 vaccine comparable to the P component of Tripedia, and
8 when this was evaluated on a two dose schedule in
9 Sweden, they did do some additional follow-up, and
10 this was published in 1992.

11 And this paper indicated that during three
12 years of follow up after the first study, the vaccine
13 efficacy was approximately 77 percent.

14 There is some data available for ACEL-
15 IMUNE following a four dose schedule, and this data
16 was actually presented in an abstract at this year's
17 ICAAC, and from the abstract the information indicated
18 that during the five years after a four dose series,
19 the vaccine efficacy was 88 percent with a confidence
20 interval between 76 and 97 percent.

21 However, the abstract did state that
22 although the number of cases was small and the
23 confidence interval large, there was an apparently
24 decrease in efficacy starting four years after the
25 fourth dose in the DTaP recipients.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 So what about the overall safety profile
2 of DTaP vaccines? Well, when given in a primary
3 series, it's clear that the common systemic adverse
4 events occur less frequently than following
5 administration of the whole cell DTP vaccine. Local
6 reactions occur also less frequently than following
7 administration of whole cell DTP, and also the more
8 serious systemic reactions occur less frequently than
9 following administration of whole cell DTP.

10 The large NIAID multi-center study
11 evaluated 13 different DTaP vaccines for a primary
12 series, 12 DTaP vaccines for a fourth dose, and six
13 DTaP vaccines for a fifth dose, and a few publications
14 have come out on this.

15 In 1995, Decker, et al., reported that
16 following a primary series there was an increase in
17 frequency and severity of fever, redness, and swelling
18 with successive doses.

19 Following a fourth dose, a 1997
20 publication indicated an increase in the frequency of
21 fever, irritability, pain, redness, and swelling
22 relative to dose three.

23 And the fifth dose publication is actually
24 in press in Pediatrics, I believe will be published
25 early in the year 2000, which shows that there was an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 increase in frequency and severity of redness,
2 swelling and pain relative to dose four.

3 Now, what do we know about severe local
4 reactions following booster doses of acellular
5 pertussis containing vaccines? This, what I'm going
6 to present here, is what's available in the published
7 literature.

8 In 1987, there was a publication which
9 arose out of a visit to Japan from a group of U.S.
10 scientists to evaluate the Japanese experience with
11 DTaP vaccines, and from this paper or in this paper
12 they noted that the frequency of local reactions
13 increased with successive DTaP doses, particularly
14 after the fourth.

15 They reported one study which evaluated a
16 booster dose of DTaP vaccine and noted that redness
17 and swelling greater than ten centimeters occurred in
18 approximately four percent of subjects.

19 The paper did state that extreme
20 reactions, which were defined as swelling of the arm
21 to the elbow or wrist, were rare.

22 In 1989 Marta Granstrom published a
23 follow-up to a Swedish study, and children who had
24 received two or three doses of AP, acellular pertussis
25 component only vaccine received a booster dose of J-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 NIH6, and she noted that in those children, swelling
2 greater than or equal to ten centimeters occurred in
3 3.1 percent of subjects.

4 And in the discussion of that papers, she
5 states that whole thigh swelling occurred in a few
6 cases, but we don't exactly know how many.

7 In 1997, Schmitt, et al., published on a
8 study which evaluated a fourth successive dose of
9 Infanrix, which is the SKB DTaP vaccine, and they
10 noted that entire thigh swelling occurred in
11 approximately 2.5 percent of the children who received
12 that fourth dose.

13 So as I mentioned earlier, ACEL-IMUNE is
14 a DTaP vaccine manufactured by Lederle Labs, and it is
15 licensed for five successive doses. And in the next
16 few slides, I'd like to provide a brief summary of the
17 safety data and focus on the local reactogenicity data
18 following the fifth successive dose.

19 So this table was taken directly out of
20 the package insert for ACEL-IMUNE, and it shows the
21 percentage of adverse events, which occur in children
22 who received a fifth successive dose of ACEL-IMUNE.
23 The data from this table was accrued in four separate
24 studies. These studies were performed in the U.S. and
25 in Germany and evaluated a fifth dose of two separate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 2000.53701

1 formulations of ACEL-IMUNE.

2 The first two studies evaluated a fifth
3 dose of ACEL-IMUNE in which the target aluminum was
4 0.15 milligrams of aluminum per dose. The last two
5 studies evaluated the currently marketed formulation
6 in which the target aluminum is 0.23 milligrams of
7 aluminum per dose.

8 You note this last study here is the large
9 multi-center NIAID study.

10 In the fifth dose studies, the children
11 who received a fifth dose were a subset of those who
12 had received a primary series and fourth dose. Okay?
13 In general, safety monitoring was accomplished by
14 parents how filled out diary cards for three days post
15 vaccination. Local reactions were solicited:
16 redness, lump or hardness, pain and/or tenderness.

17 And I would like to point out that in one
18 study, the NIAID study, swelling was solicited. To my
19 knowledge, swelling was not solicited in the other
20 three studies.

21 The actual sizes of local reactions
22 greater than 20 to 24 millimeters was measured, except
23 in one study, which was 69. Arm circumference was not
24 measured.

25 I'd like to show, first of all, for you to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 get a feel for the fifth dose studies; I'd like to
2 show the safety data for the whole series in the next
3 slide in a very summarized form.

4 So this slide indicates whether the
5 frequency of an adverse event increased in frequency
6 with successive doses. So an arrow here indicates
7 whether the adverse event increased between dose one
8 with successive doses through the five dose series.

9 So the adverse events were any redness,
10 any induration, tenderness, and significant redness
11 and significant induration, and significant was
12 defined by the manufacturer as redness or induration
13 greater than 20 to 24 millimeters.

14 For some of these events, the information
15 was not available, NA.

16 Okay, and I think you can see quite
17 clearly that between dose one and dose five the
18 frequency of adverse events increased. There was one
19 study, 69, where any redness remained the same with
20 each dose at about 26 to 27 percent.

21 I've pulled out here the largest study,
22 and I've shown here the frequency of the specific
23 event at dose one and dose five. So, for example, for
24 significant redness, which was redness greater than 20
25 to 24 millimeters, you can see that at dose one, zero

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 percent of the children reported that level of
2 redness, whereas by dose five 16 percent of children
3 had that level of redness.

4 Okay. So now if we just focus on the
5 fifth dose reactions, this table shows the percentage
6 of children in each study who reported redness greater
7 than 2.4 centimeters by actual size, and in this
8 column here I've indicated the actual size of the
9 reaction in centimeters, 2.5 to 4.9 centimeters, five
10 to 10.9, 11 to 20.9, and 21 to 25.2 centimeters.

11 In Study 69, the actual sizes of reactions
12 greater than 20 to 24 centimeters was not solicited.
13 However, there was one child who reported erythema and
14 swelling between the shoulder and the elbow and was
15 visited at home by a study nurse.

16 In the other studies, redness between 2.5
17 and 4.9 centimeters occurred in between four and 14
18 percent of subjects. Redness between five and 10.9
19 centimeters occurred in between 11 and 23 percent of
20 subjects.

21 There was one subject in the larger study,
22 Study 69, who reported redness of 25.2 centimeters,
23 and this is the same subject that I've indicated down
24 here.

25 This subject, the erythema occurred from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 shoulder to elbow and was accompanied by swelling,
2 itchiness, and tenderness, and it resolved by seven
3 days post vaccination.

4 This slide shows the percentage of
5 children in each study who reported induration greater
6 than 2.4 centimeters. This was not measured in Study
7 69.

8 Now, you should also note that in this
9 NIAID study the number I've recorded here is actually
10 the percentage of children who reported swelling of
11 this specific size, and you can see that for
12 induration between 2.5 and 4.9 centimeters, between
13 six and seven percent reported this level of
14 induration.

15 For induration between five and 10.9
16 centimeters, between four and 20 percent of subjects
17 reported this level of induration. No subject
18 reported induration of the entire arm.

19 So in summary, therefore, the DTaP is
20 given in a five dose recommended schedule, which is
21 the same as that for the whole cell DTP schedule.

22 The data on duration of protection
23 following administration of three or four doses of
24 DTaP is limited, and local reactogenicity increases
25 following successive doses of DTaP vaccines.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 For ACEL-IMUNE, the frequency and severity
2 of local reactions increases with successive doses.
3 For the ACEL-IMUNE fifth dose data, approximately 11
4 to 23 percent of subjects reported areas of redness
5 five to 10.9 centimeters. Redness shoulder to elbow
6 was seen in one subject.

7 Approximately 3.37 to 20 percent of
8 subjects reported induration five to 10.9 centimeters.
9 Induration of the entire upper arm was not reported.

10 We would just like to state that package
11 inserts for the licensed DTaP vaccines will be
12 reviewed and revised, if necessary, to incorporate
13 more detailed descriptions of severe local reactions
14 with successive doses.

15 And lastly I'd like to state the questions
16 -- I do realize the first is not a question -- that we
17 would like the committee to discuss today.

18 First of all, we would like the committee
19 to discuss the safety data submitted to support the
20 licensure of Tripedia for a fifth dose following four
21 previous doses of Tripedia.

22 And the last question is: what, if any,
23 additional studies should be performed?

24 And when the committee discussed this, we
25 would like the committee to include -- to consider

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some of the information that I presented today in this
2 introduction.

3 CHAIRMAN GREENBERG: Well, thank you, Dr.
4 Finn.

5 That went a little over. I will ask the
6 next speakers to try to stick within their time frame,
7 but that was a tremendous amount of data that you
8 summarized for all of us, and I can't believe having
9 to review all of that actually.

10 We have a little bit of time for some
11 questions, panel members. Do I have any questions?

12 Ms. Fisher.

13 MS. FISHER: Was there any attempt to look
14 at whether the children who had these really severe
15 local reactions, whether it correlated with the titers
16 to pertussis toxin and FHA?

17 DR. FINN: I think you're going to hear
18 some more information on that in the next talk, I
19 believe, from Dr. Rennels, but in these particular
20 studies, to my knowledge, no.

21 CHAIRMAN GREENBERG: Dr. Peter.

22 DR. PETER: In the discussion of duration
23 of protection, it occurred to me is the decay of
24 antibody following administration of acellular
25 pertussis the same as with whole cell?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 In other words, I realize we don't have a
2 surrogate of protection, immunological surrogate, but
3 I wonder if there are more prolonged antibody titers
4 as a result of the specific concentrations of
5 different antigens.

6 DR. FINN: What I can tell you is that the
7 decay is very rapid. I think probably Dr. Edwards can
8 probably answer your question better than I can.

9 Do you have any further things?

10 DR. EDWARDS: The titers in general with
11 the ACEL start out higher, but they really, as Theresa
12 said, really go down very, very quickly.

13 CHAIRMAN GREENBERG: I'm not sure I know
14 your name. so --

15 DR. FINN: Dr. Livengood.

16 DR. LIVENGOOD: Thank you.

17 I had a question about the duration of
18 protection not from the antibody, but from the
19 clinical efficacy.

20 DR. FINN: Yes.

21 DR. LIVENGOOD: I guess I'm a little
22 confused about continuing to call it vaccine efficacy
23 when you no longer have a control group, and so there
24 is at least in some of these places, there has been
25 descriptions of great decreases in the community of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pertussis, and without an equal opportunity for
2 exposure, I'm a little hesitant to consider those as
3 firm estimates of vaccine efficacy in terms of
4 duration out a little while without a control group or
5 at least some document that the children still have an
6 equal opportunity to be exposed.

7 DR. FINN: Some of those studies do have
8 control groups, and although what has often happened
9 is that at the end of the main phase of the study, the
10 study is obviously unblinded, and they offer
11 vaccination to the DT group, but there were.

12 But there were in the Italian study, for
13 example, a group of children who did not take the
14 pertussis, offered pertussis, immunization, and so
15 they have remained as a control group, but you're
16 right. I mean, obviously presumably the burden of
17 disease in the population as a whole will go down,
18 yes.

19 CHAIRMAN GREENBERG: I have time for one
20 more questions.

21 Dr. Peter.

22 DR. PETER: What is the schedules that
23 have been adopted in Europe where the ACEL pertussises
24 have been used in most countries, but not all?

25 DR. FINN: I don't actually have all of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 that information. We do know or we believe that in
2 Germany, for example, which is where a lot of these
3 studies were done that DTaP vaccines are given on a
4 four dose schedule with three before the first
5 birthday, and then a fourth dose sort of about 15 to
6 18 months of age.

7 To my knowledge, they do not give a fifth
8 dose.

9 DR. PETER: We don't know about Sweden.
10 Of course, in Sweden, they're using, I think, the --

11 DR. FINN: I think in Sweden it's a two,
12 five, 12 schedule.

13 DR. PETER: Right, but it's a five
14 component vaccine that they've chosen.

15 DR. FINN: But there are parts of Sweden
16 that are actually only using the single component
17 Certiva vaccine.

18 CHAIRMAN GREENBERG: Okay. Thank you very
19 much, Dr. Finn.

20 We'll now move on to Dr. Margaret Rennels,
21 who will tell us some more about this swelling.

22 DR. RENNELS: Okay. Actually if you could
23 raise the lights to the point where people can still
24 see the slides so that not everybody falls asleep
25 after lunch.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 On behalf of my colleagues, I'm going to
2 present some information on extensive swelling after
3 booster doses of several acellular DTP vaccines that
4 were evaluated in the NIH sponsored, multi-center
5 trials. The data I'm going to present to you are
6 going to be published in Pediatrics' electronic pages
7 in January, and the safety and immunicity results of
8 the entire trials have been either published in
9 Pediatrics or are going to be published in Pediatrics
10 also in January.

11 It was Dr. Mike Pichicero who organized
12 these fourth and fifth dose studies. Dr. Kathy
13 Edwards and Mike Decker organized the primary series
14 studies.

15 The reason I did this evaluation was
16 because my nurse called me from the practices during
17 the NIH multi-center trial to say, "I've got a kid
18 here who has swelling of the entire thigh," and this
19 happened a few more times, and that got me interested.

20 So the specific purposes of my evaluation,
21 if you could focus that a little, please, were to
22 determine the rates of severe swelling reactions after
23 doses four and five of the same DTaP vaccine to try to
24 ascertain whether severe reactions occurred with
25 different DTaP vaccines, and to evaluate associated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 reactions.

2 Further, I explored the relationship
3 between the rates of swelling and different antigen
4 contents for the quantity of different antigens, and
5 finally, compared the pre and post dose levels of
6 antibodies to pertussis, diphtheria and tetanus toxin
7 in children with and without entire upper limb
8 swelling.

9 Subjects, toddlers who had been given a
10 primary series of one of 13 different DTaP vaccines or
11 one of two whole cell DTP vaccines received a fourth
12 dose of the same vaccine. A fifth dose of the same
13 DTaP vaccine was given to children who were still
14 available, meaning those children who had not already
15 gotten their preschool dose, which unfortunately was
16 a minority of the cohort.

17 Different vaccine was given at dose four
18 or five if the original DTaP was no longer
19 manufactured, as several weren't.

20 Reaction assessment. Parents were asked
21 to measure in millimeter the greatest diameter of
22 erythema and swelling and record it on a diary card.
23 Entire limb swelling was not anticipated. Therefore,
24 it was not directly solicited. Instead, we reviewed
25 the comment section of each reaction form after each

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, DC. 200053701

(202) 234-4433

www.nealrgross.com

1 booster dose and looked specifically for spontaneous
2 reports of entire limb swelling. This probably
3 underestimated the true rate.

4 Serology. Blood was obtained just before
5 and one month after vaccinations, and the antibody to
6 pertussis toxin was measured in the labs of Bruce
7 Meade, Kathy Edwards, Mike Pichichero, and then the
8 tetanus and diphtheria antitoxin levels were assayed
9 by Jenny Losonsky at the Center for Vaccine
10 Development.

11 Subjects. The number of subjects who
12 received the same DTaP for the fourth dose as they got
13 in the primary series, there were 1,015 children.
14 Seventy-four got mixed DTaP schedule. Sixteen
15 received a whole cell DTP for all four doses, and then
16 246 received whole cell DTP boosted by a DTaP.

17 A fifth dose, 122 children received the
18 same DTaP for all five doses. One hundred forty-six
19 received a mixed DTaP schedule, and only four children
20 received the same whole cell for all five doses.

21 The rates of entire upper limb swelling
22 are shown here. After dose four, the toddler dose,
23 20, or two percent of the children given the same
24 DTaP, the parents reported entire thigh swelling. One
25 of the 16 children who had gotten the whole cell DTP

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 for four doses had entire thigh swelling reported.

2 Interestingly, none of the children who
3 got a primary series of whole cell DTP and then were
4 boosted by DTaP was entire thigh swelling reported,
5 and in fact, the difference between these two is
6 statistically significant.

7 Now, after dose five, none of the 121
8 children who got the same DTaP were reported to have
9 entire upper arm swelling. I think that's an artifact
10 of small numbers though because, indeed, four of 146
11 who got mixed DTaPs, or 2.7 percent, did report entire
12 upper arm swelling.

13 Parents reported that this entire thigh
14 swelling after post dose four began primarily on days
15 or was noted primarily on days one and two, with a few
16 on day three.

17 There was no difference in the rates of
18 fever in children who had the entire thigh swelling
19 versus those who didn't. However, irritability, pain,
20 and erythema were more common in the children who had
21 entire thigh swelling. Actually more properly put, it
22 was more commonly reported. It may have been just
23 more commonly noted because the parents were impressed
24 with the thigh swelling.

25 This, I think, is important and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 interesting. Forty percent of the children with
2 entire thigh swelling were judged to be in no pain
3 whatsoever, and I found that rather remarkable. Those
4 who were thought to have pain, it was mainly very
5 mild. Two children were judged to have moderate pain,
6 and three children out of **1,015**, or **15** percent of
7 those with entire thigh swelling, were thought to be
8 in severe pain, which was defined as cried when the
9 leg was moved.

10 Duration is self-limiting. There were no
11 necrotic reactions, no ulcerative lesions. I think
12 perhaps the most important finding of this evaluation
13 was that entire thigh swelling was reported after dose
14 four with nine of the **12** different DTaP vaccines
15 evaluated. So this is not an isolated phenomenon, and
16 that the involved DTaP vaccines contained between one
17 and five pertussis antigens. So even monovalent PT
18 vaccine combined with diphtheria, tetanus, and
19 aluminum can induce these reactions.

20 And the rates of entire thigh swelling
21 after dose four by vaccine are listed here. The
22 numbers in parentheses are the number of pertussis
23 components. The U.S. licensed vaccines that were in
24 this trial are in white.

25 One might get the impression that there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 may be some variation in the rates, but these numbers
2 are so tiny that I wouldn't put much stock in that.

3 Now, here is a linear regression showing
4 here the percent of children experiencing entire thigh
5 swelling post dose four plotted by quantity of
6 diphtheria toxoid in the vaccine. Each dot represents
7 one vaccine.

8 You can see that, indeed, this linear
9 regression line indicates a significant association
10 between the swelling rate, increasing swelling rates
11 with increasing diphtheria content, but it didn't hold
12 for every vaccine. You can see there are exceptions.

13 Here you probably can't see them very
14 well. Here are more linear regressions. Here's for
15 pertussis toxoid content, tetanus toxoid content, and
16 this is aluminum content. None of these associations
17 were significant, but I think you can get maybe an
18 idea that there's perhaps a trend for increasing rates
19 of swelling with increasing quantities of the vaccine
20 antigens and aluminum.

21 We did not observe any correlation between
22 the rates of entire thigh swelling and pre or post
23 vaccination serum levels of antibody to pertussis
24 toxin, tetanus toxin, or diphtheria toxin. Both the
25 distribution between the cases of entire thigh

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 swelling and controls were the same, and the geometric
2 main concentrations of antibody were the same.

3 Now, recall there were no children who
4 would get five doses of the same DTaP who had entire
5 upper arm swelling. So with this group I looked at
6 swelling greater than 50 millimeters after dose five,
7 and the rates of swelling greater than 50 millimeters
8 are shown here, and again, if you post hoc read the
9 data and don't correct for multiple comparisons and
10 ignore tiny numbers, you get an idea there may be
11 differences in rate, but I don't think that's fair
12 with these little numbers.

13 The relationship between the rates of
14 these lesser degrees of swelling and vaccine contents
15 did not show a significant association with
16 diphtheria. Instead post dose four entire thigh or
17 greater than 50 millimeters of swelling correlated
18 with pertussis-toxoid content and after dose five it
19 correlated with aluminum content.

20 So in summary, severe swelling reactions
21 were seen post booster doses of many DTaP vaccines.
22 They are associated with other local reactions, but
23 the severe pain was uncommon, only three children of
24 the 1,015, and they're self-limited.

25 The etiology of these severe swelling

1 reactions probably is multifactorial, although entire
2 thigh swelling was associated with DT. Lesser degrees
3 of swelling were not and instead correlated with
4 pertussis and aluminum, but at different doses.

5 Finally, I think part of the problem in
6 trying to figure out the meaning of all this is
7 anticipated and consistent data was not collected and
8 reported. I think in future studies, particularly in
9 DTaP combinations where we have a chance to do it
10 differently, I think we should assess the association
11 of swelling reactions with pain.

12 And I say that because parents of children
13 who didn't seem to have any pain were really
14 remarkably unconcerned about these reactions. I think
15 it's the severe swelling with serious pain that we
16 need to be worried about.

17 We really need standardized collection and
18 reporting of data. For example, it would be very
19 useful if consistently the thigh or the deltoid was
20 injected in these studies. If we're going to get
21 circumference, limb circumferences, where? If it's
22 going to be in the thigh, it makes sense to have it at
23 the injection site. If it's in the deltoid, that's a
24 little difficult, and perhaps it should be mid-
25 humerus.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 And finally, if we do collect
2 circumference measurements, it would be very useful to
3 have consistent definitions of mild, moderate, and
4 severe swelling or perhaps, as we do now with some
5 antibody displays, perhaps we should take the
6 circumference and display them as reverse cumulative
7 distribution curves with rates of swelling.

8 Those are my comments.

9 CHAIRMAN GREENBERG: Thank you very much,
10 Dr. Rennels.

11 We have some time for a few questions.
12 Ms. Fisher.

13 MS. FISHER: Anecdotal evidence that we
14 have collected suggests that children who have a
15 severe local reaction on an early dose, doses one,
16 two, or three, go on to have a more severe systemic
17 reaction on a subsequent dose. Was that your
18 experience or did you look for that?

19 DR. RENNELS: Well, it wasn't consistent.
20 I can tell you that of the four children at my site,
21 University of Maryland, who had entire thigh swelling,
22 all of them had -- well, we were able to track down
23 three of them at age five, and all three had received
24 a DTaP vaccine, maybe not the one they had received
25 for the primary series, and none of them had excessive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reactions that the parents could remember, and I think
2 they would remember a severe reaction, but that's all
3 I can tell you.

4 CHAIRMAN GREENBERG: No other questions?
5 Okay. Thank you very much, Peggy.

6 We now move on to the sponsor's talk, and
7 I think we have Dr. Kenneth Guito and Dr. Carlton
8 Meschievitz from PMC.

9 MR. GUITO: Good afternoon. I'm Ken
10 Guito, Director of Regulatory Affairs at PMC.

11 I'd like to thank our CBER colleagues for
12 the invitation here today. We're happy to be here to
13 present to the VRBPAC.

14 We'd like to thank Drs. Rennels and Finn
15 for their lead-in presentations. It's a nice segue to
16 our presentation.

17 As you know, we're here today to talk
18 about the fifth consecutive dose of the Tripedia
19 vaccine in children four to six years of age, and we
20 think as you'll see it's quite an acceptable safety
21 profile in this group.

22 As a little historic reference, each dose
23 of Tripedia vaccine contains acellular pertussis
24 concentrate, diphtheria and tetanus toxoids. The
25 acellular concentrate contains equal quantities of

1 lymphocytosis promoting factor, or pertussis toxin,
2 and filamentous hemagglutinin.

3 With regard to the toxoids, 6.7 Lf of
4 diphtheria and five Lf of tetanus. Those toxoids are
5 the same toxoids that have been used in our whole cell
6 DTP vaccine for over 40 years, and that level of
7 diphtheria is the lowest level of any currently
8 licensed acellular vaccine.

9 The vaccine also contains thimerosal
10 currently, and we're currently working with our CBER
11 colleagues toward the introduction of a thimerosal-
12 free presentation.

13 Dr. Finn gave us the history of the
14 license approvals, but I'll run through it again. In
15 1992 Tripedia was licensed for the booster dose at 15,
16 18 months, and four to six years of age following a
17 primary series of whole cell DTP.

18 In 1996, we presented to the VRBPAC an
19 infant indication for two, four, and six months of
20 age, and that vaccine was subsequently licensed by
21 CBER also in the same year and allowed pediatricians
22 to immunize children at two, four, six, and 15 to 18
23 months of age. It also represented the first infant
24 approval in the U.S.

25 Also at that time we presented a limited

1 amount of data in children who had received five
2 sequential doses of vaccine up to their sixth
3 birthday.

4 Since the Tripedia infant approval in
5 1996, approximately 29 million doses have been
6 distributed and a total of 41 million doses have been
7 distributed prior to -- including the years prior to
a 1996.

9 From August of '96 through September of
10 '99, approximately 43 percent of all the DTaP doses
11 distributed through the vaccine for children program
12 were Tripedia, and although the numbers are a little
13 hard to pin down, we believe the same percentages are
14 accurate for the private sector.

15 Therefore, there's a cohort eligible for
16 the vaccine coming due probably in early Q2 2000.

17 As I mentioned, when we presented data in
18 1996, we had a limited amount of data in children who
19 have received five sequential doses of the vaccine.
20 We wanted to better characterize their responses in a
21 safety profile in that population. Therefore, we
22 initiated two trials.

23 And Dr. Carlton Meschievitz is here to
24 talk to you about those today. One is a continuation
25 of our German efficacy trial. The other is a trial in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 U.S. infants.

2 After that presentation, we'll be happy to
3 take any questions you may have.

4 DR. MESCHIEVITZ: Good. Thank you and
5 good afternoon. My name is Carlton Meschievitz, and
6 with me today are colleagues from Pasteur Merieux
7 Connaught. In addition to Mr. Guito, James Froeschle
a was the study monitor for the German trial, efficacy
9 trial, and the booster trials, including the data
10 you'll see today. For the U.S. trial, I have Dr.
11 Loretta Wubbel, who was the monitor for that trial,
12 and we have Dr. Thomas Zink from Germany who was
13 involved on site monitoring of the recent data.

14 I'd like to, before I present the results
15 of the trials, go over the safety data with Tripedia
16 that existed at the time of licensure in 1996, and at
17 that time, we had data on the primary series, the
1a fourth dose booster, but a very limited amount, only
19 the 18 children that were presented earlier by Dr.
20 Rennels relative to the fifth dose.

21 However, from that data, it appeared to us
22 that there was no suggestion of any increase in
23 systemic reactions. However, compared with the first
24 four doses where reaction rates were actually fairly
25 similar among the doses, we did see a suggestion of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 larger number of reports of erythema and swelling.

2 First, the German trial. As mentioned,
3 this trial was a continuation of the efficacy trial
4 that was conducted in Bavaria. The study cohort, to
5 try to keep it similar to the data that has been
6 collected by the NIH, we attempted to collect adverse
7 events in the same population through sequential
a doses, and so we had extensive adverse event data in
9 children who had received vaccine at three, five,
10 seven, 15 to 24 months of age, and four to six years.

11 This is an open labeled, descriptive study
12 and was conducted between March of 1998 and September
13 of 1998.

14 When the trial began, the original case
15 report form diaries contained careful information on
16 common local and systemic reactions that occurred
17 during the first three days following immunization,
18 and then a follow-up visit about a month later to
19 determine other adverse events that occurred post
20 immunization.

21 Also, at that time reactions were
22 classified as less than two and a half centimeters,
23 between two and a half and five centimeters, and
24 greater than five centimeters.

25 About midway through the trial, the last

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 241 children immunized had a more detailed and careful
2 evaluation of the common local and systemic reactions
3 up through 14 days, and so this included more
4 information on duration and also on actual size.

5 At this point we began doing precise
6 measurements of all reactions that were larger than
7 five centimeters to see exactly how large they did
a become.

9 We also drew sera in a subsequent of the
10 children in this trial, and that data has only been
11 preliminarily analyzed and will be further analyzed
12 and presented to the FDA.

13 Also, all adverse events were followed
14 until resolution.

15 so looking at the first three days
16 following vaccination where we had a study cohort of
17 580 children, you'll note that redness, swelling, and
18 pain occurred in approximately 60 percent of study
19 participants.

20 For a redness, 11 percent of subjects had
21 redness less than 2.5 centimeters, 17 percent between
22 two and a half and five, and 31 percent greater than
23 five centimeters.

24 For swelling, the breakdown was 18
25 percent, less than 2.5; 18 percent, greater than 2.5

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but less than five; and 25 percent greater than five
2 centimeters.

3 For pain, the majority of the pain, in
4 fact, pain associated with vaccination in 38 percent
5 of individuals was mild in nature, and that was
6 defined as slight reaction when the injection site was
7 touched, and 18 percent moderate and two percent
8 classified as severe pain.

9 It would be important to note that none of
10 the local reactions occurred or the initial reaction
11 all occurred within the three day period.

12 To better characterize the larger
13 reactions, you will see here that we have labeled to
14 classify them between five and 11 centimeters.
15 Redness occurred in 25 percent of those subjects, 17
16 percent for swelling. Between 11 and 16 centimeters,
17 three percent for redness, two percent for swelling.
18 And then greater than 16 centimeters up to 25
19 centimeters, you can see less than one percent.

20 However, complete upper arm swelling,
21 which was defined as swelling from the elbow to the
22 shoulder, occurred in two of the individuals for
23 redness and 2.4 percent for swelling. I'll describe
24 these reactions in a little more detail a few slides
25 from now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 Looking at the time course of the
2 reactions, you'll see on the Y axis the percentage of
3 subjects reporting, and on the X axis the days post
4 immunization with day zero being the day the
5 immunization was given.

6 And you'll notice on the day of
7 immunization, the most common report of local reaction
a was pain, followed by swelling in the red, and redness
9 on the white bar.

10 You'll also note that the reactions all
11 tended to peak within the first three days, began
12 tapering off by day three, four or five, and the
13 majority were gone by the end of the first week, and
14 nearly all of the reactions had disappeared by the end
15 of the second week.

16 Looking at systemic reactions,
17 temperature, oral temperature greater than 38 degrees
18 Centigrade was seen in 3.8 percent of subjects.
19 Fussiness occurred in 19 percent of subjects, but,
20 again, the largest percentage of fussiness occurred as
21 mild, meaning periodically more irritable than usual,
22 but with normal activity. About six percent had
23 either moderate or severe fussiness. Drowsiness, 15
24 percent; anorexia and vomiting, all less than ten
25 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now, a description of the large local
2 reactions, and these are all of the reactions that
3 occurred during the trial that extended essentially
4 from the elbow to the shoulder, are shown here. All
5 14 of them had swelling present.

6 Perhaps we could focus the slide a little
7 bit. I see that the headings aren't crystal clear.

a Ten of the swellings were associated with
9 redness, but not all of them, and again, similar, I
10 think, to Dr. Rennels' data, there was very little
11 pain. In fact, there were five reports of pain, all
12 of which were reported as mild.

13 Only two of the parents brought their
14 children in to see a physician because of questions
15 about the reaction, and you'll notice here the
16 duration of redness in days. The resolution of the
17 complete upper arm swelling occurred within one or two
18 days, and the complete resolution of the redness when
19 the entire redness was gone from the arm occurred
20 between two and five days post immunization.

21 For swelling, the complete upper arm
22 swelling disappeared between one and three days post
23 immunization, and the entire resolution of all
24 swelling was gone between three and six days following
25 immunization.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 And I also would like to note here that
2 there were no -- none of these individuals had a fever
3 greater than 38 degrees centigrade.

4 Now I'll switch to the U.S. database.
5 This is data on the fifth consecutive dose of Tripedia
6 or a Tripedia containing combination. Actually the
7 fifth dose of Tripedia in children who had received
a Tripedia or Tripedia containing combination for the
9 four prior doses.

10 And this is an analysis of the first 96
11 subjects enrolled in the trial, and again, as you can
12 see here, very similar to what we found in Germany.
13 Approximately 60 percent of individuals had either
14 erythema, had swelling or tenderness, and 54 percent
15 pain.

16 The breakdown here in the U.S. was 22
17 percent of children with erythema less than or equal
18 to an inch; 18 percent, one to two inches; 21 percent,
19 greater than two inches, and I'll go into more detail
20 on those reactions later.

21 Swelling, 30 percent equal to or less than
22 an inch; 20 percent, one to two inches; 13 and a half
23 percent, greater than two inches.

24 Tenderness, the majority, 48 percent, were
25 considered mild, which was the symptom present, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 usual daily activity was not affected, and there were
2 no instances of severe tenderness.

3 Similarly for pain, 45, 46 percent were
4 considered mild, eight percent moderate, and none
5 severe, and you'll notice the date of onset of all of
6 these symptoms was primarily in the first three days,
7 and for erythema and swelling, was entirely within the
a first three days.

9 Description of the reactions greater than
10 two inches is seen here. Reactions that were between
11 two and four inches occurred in 11.5 percent for
12 erythema, seven percent for swelling. Reactions four
13 to six inches occurred in eight percent for erythema,
14 five percent for swelling, and there was only one
15 reaction larger than six inches, and that was one
16 child who had an eight inch reaction. So the total
17 rate of these reactions were 20 percent for erythema,
18 13.5 percent for swelling greater than two inches.

19 Here you'll notice a typo. When we got
20 the slides made up, that is not a question mark. That
21 should be equal to or greater than, and this funny
22 little symbol is supposed to be a degree sign, but our
23 computer had a glitch.

24 You'll notice here for systemic reactions
25 two of the individuals had temperature between 38 and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 39 degrees, none greater than 39 degrees.

2 Irritability occurred in the U.S. at a
3 rate of 33 percent, with 28 percent being mild in
4 nature, five percent moderate, and none severe.

5 Drowsiness, 16 percent.

6 Anorexia, 13.

7 And vomiting, three percent.

a Finally, for both trials, that is,
9 combining the 580 children in Germany and the 95 in
10 the U.S., there were no serious related adverse
11 events. In fact, there were only two
12 hospitalizations, both in Germany and both considered
13 by the investigator to be unrelated to vaccination.
14 One was adenoiditis, and the other one was an
15 appendicitis.

16 We also had four vasovagal fainting type
17 episodes. All occurred in children who also had blood
18 draws at the time of vaccination, and we had one
19 instance of a supervision cellulitis that responded to
20 antibiotics, and so all reactions resolved quickly.

21 So going back to the table I began my talk
22 with, I'd like to end it now completing the picture
23 with the larger numbers of children shown here in the
24 fifth dose boxes of this overhead.

25 Again, you'll note that for systemic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 reactions, the reaction rates are more or less
2 comparable to those seen in the first four doses of
3 the vaccine. However, the local reaction rates,
4 particularly erythema and swelling, occur at a higher
5 frequency than seen with the first four doses and a
6 remarkably similar rate between German and U.S.
7 children.

a I'd like to just show one slide reminding
9 people of the previous standard of care, which was
10 whole cell DTP, and this is information from two
11 publications, one by Bernstein in the American Journal
12 of Diseases of Children, evaluating five doses of the
13 Connaught whole cell DTP, and an often quoted paper by
14 Cody and colleagues published in Pediatrics in 1981,
15 and I'll particularly draw your attention to fever,
16 which occurred at a rate of 18 percent in the
17 Bernstein manuscript with the one whole cell DTP, and
18 Dr. Cody who used -- actually assessed vaccine by
19 three manufacturers, Parke Davis, Lederle, and
20 Connaught, found fever greater than or equal to 38
21 degrees in about 46 percent of individuals. So we're
22 seeing much lower rates of fever at the fifth dose.

23 In addition to the information or as a
24 supplement to what Dr. Rennels presented, part of the
25 trial that she, Dr. Pichichero and others participated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in did look at the fifth dose, and as Dr. Reynolds
2 mentioned, unfortunately the numbers are small.
3 However, it is the only comparative trial that I'm
4 aware of looking at different vaccines in the same
5 population by the same investigators evaluated using
6 the same criteria, and you'll notice here Tripedia,
7 ACEL-IMUNE, which is the only product currently
a licensed for the fifth dose among the acellular
9 products, and whole cell vaccine.

10 Given the very limited or the very
11 limitations of the small numbers, nonetheless I think
12 it's clear that Tripedia compares favorably with the
13 other acellular products.

14 So in summary, following the fifth dose of
15 Tripedia compared to reactions following doses of two,
16 four, six, and 15 to 24 months of age, we do find an
17 increase in local reactogenicity, but no increase in
18 systemic reactogenicity.

19 The local reactogenicity for Tripedia was
20 similar and for fever was dramatically reduced when
21 compared to historic controls receiving a fifth
22 consecutive dose of whole cell DTP, and Tripedia is
23 well tolerated, and common, local and systemic events
24 resolve spontaneously without sequelae.

25 And again, for the first four doses now,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we have distributed around 41 million doses of
2 vaccine.

3 So I'm finished with my presentation, and
4 I'm open for any questions that might be on anybody's
5 mind.

6 CHAIRMAN GREENBERG: Thank you very much.

7 Panelists, do you have, committee members,
8 any questions here?

9 It's remarkable to me. Everybody seems to
10 have the same data. That's always a good sign.

11 Dr. Estes.

12 DR. ESTES: For the subset of children
13 that were studied in Germany, were those children --
14 how were they chosen or found for the fifth dose?

15 DR. MESCHIEVITZ: I'll let Dr. Froeschle
16 answer that question. He was the study monitor for
17 that trial.

18 DR. FROESCHLE: There were 63 original
19 investigators in the trial, and we asked the other
20 investigators who would want to volunteer for this
21 trial. So it's a matter of just a volunteering to be
22 part of the trial.

23 And then they just took all comers.
24 There's no randomization. We just whoever we could
25 get for that trial.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 CHAIRMAN GREENBERG: Other questions?

2 If not, we will jump ahead of our schedule
3 and move on to safety of the fifth dose by Dr. Karen
4 Farizo.

5 DR. FARIZO: Good afternoon. My name is
6 Karen Farizo. I am the clinical reviewer from FDA for
7 the product license supplement for approval of a fifth
8 successive dose of Tripedia.

9 Much of the information that I intended to
10 present as background has already been presented. So
11 I will go through the first several slides very
12 quickly, and then we'll review the safety of Tripedia
13 for the first four doses to provide a frame of
14 reference for review of the fifth dose data, and then
15 towards the end of the presentation, I will present
16 some safety data on the fifth successive dose of whole
17 cell pertussis vaccines, and that will be historical
18 data.

19 You're already heard about the formulation
20 of Tripedia. There are two pertussis antigens,
21 diphtheria and tetanus toxoids adsorbed onto aluminum.
22 You've already heard that the vaccination schedule in
23 the U.S. is for five doses, including two boosters,
24 and the one at four to six years of age is the one
25 that is under consideration today.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You've heard from both Dr. Finn and the
2 sponsor about the licensed indications of Tripedia.
3 The requested indication is a fifth dose following
4 four previous doses of Tripedia, and as you've heard
5 from the sponsor, in the second quarter of 2000, the
6 initial cohort of children who received a primary
7 series according to the recommended schedule will be
a eligible for the fifth successive dose.

9 And before presenting the data on the
10 fifth dose, I would like to just give an overview of
11 the safety profile of the first four doses.

12 Serious systemic adverse events following
13 Tripedia were less frequent than that expected of
14 whole cell DTP vaccines. Less serious, more common
15 systemic adverse events and local reactions also
16 occurred less frequently following a primary series of
17 Tripedia than whole cell DTP.

18 Available data suggest that some local
19 reactions tended to occur more frequently following
20 the fourth dose of Tripedia compared with the third
21 dose.

22 And in the next few slides, I would like
23 to present some data on local reactions following the
24 first four doses of Tripedia. These data are from a
25 U.S. study in which approximately 500 infants received

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a primary series with Tripedia.

2 You can see that tenderness was reported
3 in between roughly six and 12 percent of infants. Any
4 erythema was reported in between nine and roughly 17
5 percent. Any swelling in roughly between four and six
6 percent.

7 You can also see that erythema greater
8 than one inch and swelling greater than one inch were
9 relatively infrequently reported following the doses
10 of the primary series, in less than two percent of
11 subjects generally.

12 Now, the next two slides will show
13 available data on local reactions following a fourth
14 dose of Tripedia from two different studies. This
15 study was an open label U.S. study, and of
16 approximately 100 children who received a fourth dose,
17 pain was reported in 19 percent within 72 hours
18 following the dose. Erythema and swelling greater
19 than or equal to one inch were each reported in
20 approximately 30 percent within 72 hours following
21 the fourth dose.

22 These are data from a safety study in
23 Germany. The subjects included here are 738 children
24 who are a subset of subjects who participated in the
25 vaccine efficacy study, and reactions listed here are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 those that occurred on the day following vaccination
2 with the fourth dose. Pain was reported in 14
3 percent; any erythema in 37 percent; any swelling in
4 20 percent; and erythema greater than an inch in 12
5 and a half percent.

6 You have already seen these data from the
7 sponsor. These are from the U.S. NIAID study which
8 evaluated the safety of several different DTaP
9 vaccines, and as you've heard, 135 children received
10 a primary series with Tripedia; 82 received a fourth
11 dose; and 18 received a fifth dose.

12 These are the only data that we have
13 available at CBER in which reaction rates are
14 available for all five doses from the same study, and
15 that's why I wanted to go through this. You can see
16 that although the numbers of children who received the
17 booster doses, particularly the fifth dose is
18 relatively small, there is a trend apparent of
19 increasing local reactions with the booster doses.

20 And if we can just for the sake of time
21 focus on the swelling data, you can see that swelling
22 was reported in roughly eight to 11 percent following
23 doses of the primary series; 16 percent after the
24 fourth dose; and 27.8 percent after the fifth dose.

25 Swelling greater than 20 millimeters was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 infrequent following the primary series. I do not
2 have that data point for the fourth dose, but 3.8
3 percent of children who received a fourth dose
4 reported swelling of a larger degree, greater than 50
5 millimeters, and 17 percent who received the fifth
6 successive dose reported swelling greater than 20
7 millimeters.

8 Now let's move on to the newly available
9 safety data on a fifth consecutive dose of Tripedia
10 and in considering Tripedia for this indication, our
11 primary consideration at CBER is vaccine safety, and
12 because of the increased local reactogenicity with the
13 fifth dose, my presentation will focus on the local
14 reactions.

15 As you've heard, two studies were
16 conducted, one in Germany and one in the U.S. Both
17 were open label studies with one study group, and the
18 primary objective was to evaluate safety.

19 In the German study, the population
20 consisted of healthy children four to six years of
21 age, previously vaccinated with four doses of
22 Tripedia. These subjects in the fifth dose study, 580
23 subjects, had participated in one of two previous
24 studies, a case control study of vaccine efficacy in
25 which over 12,000 infants received Tripedia, or a

1 smaller immunogenicity study.

2 To my knowledge, the fifth dose of DTaP
3 vaccines is not routinely recommended in Germany.
4 Recruitment was by telephone calls and letters to
5 parents of eligible children.

6 The number of children eligible for this
7 fifth dose study was not provided, and if the 580 who
8 participated in this study represent a small subset of
9 those who were eligible, then the results potentially
10 could be influenced by selection biases of unknown
11 direction and magnitude.

12 And one concern at least theoretically is
13 the possibility that children who had local reactions
14 after previous doses were less likely to participate
15 in the fifth dose study than those who did not, and if
16 these individuals have an increased risk for severe
17 local reactions after the fifth dose, then there is
18 the potential for underestimating both the occurrence
19 as well as the severity of local reactions.

20 Children received one dose of Tripedia and
21 were monitored for safety, and as you've heard, safety
22 was monitored through the use of diary cards. Local
23 erythema and swelling were both solicited and
24 categorized as less than two and a half, two and a
25 half to five, or greater than five centimeters.

1 There was a protocol amendment after the
2 study was initiated, and as part of that protocol
3 amendment, sizes of local reactions greater than five
4 centimeters were actually measured and recorded, and
5 this required the development of a new diary form.
6 The new diary form was available for 241 subjects, and
7 information on sizes of local reactions greater than
8 five centimeters also was collected for an additional
9 242 subjects who used the original diary form, but
10 were instructed by the investigator to record this
11 information.

12 Justverybriefly, roughly four percent of
13 children who received the fifth dose had fever.
14 Fussiness was reported in roughly 20 percent, and
15 drowsiness in 15 percent.

16 And getting on to the local reactions, as
17 you've already heard from the sponsor, any pain or
18 tenderness, any redness, and any swelling were each
19 reported in roughly 60 percent of subjects. This
20 included two percent of subjects who had severe pain
21 or tenderness, defined as crying when the arm was
22 moved.

23 Redness greater than five centimeters was
24 reported in 31 percent, and swelling greater than five
25 centimeters in 25 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 Now, this slide shows the frequency of
2 local reactions larger than five centimeters according
3 to the diameter measured by the parents. The analysis
4 that you have seen from the sponsor was limited to the
5 240 or so children who had the new diary forms which
6 actually solicited this information.

7 The data that were submitted to the
8 supplement at CBER included 490 children, those who
9 had the new diary forms and those who did not. I
10 would just like to say that the data that you've seen
11 from the sponsor in these data are generally
12 consistent, and you can see that of reactions greater
13 than five centimeters, most of them were less than 11
14 centimeters.

15 However, three and a half percent of
16 children had redness between 11 and 15.9 centimeters,
17 and 2.9 percent had swelling in this range. A few
18 children had redness and swelling between 16 and 25
19 centimeters.

20 In addition, complete upper arm swelling,
21 which was not specifically solicited on either diary
22 form, complete upper arm swelling was reported in 2.9
23 percent and complete upper arm redness in two percent.

24 It was not indicated whether children who
25 reported complete upper arm reactions are also

1 included in these rows. It also seems feasible that
2 children who had reactions greater than 11
3 centimeters, particularly greater than 16 centimeters,
4 may also have had involvement of the complete upper
5 arm, given that these are four to six year old
6 children.

7 However, the overlap between those with
8 complete upper arm reactions and the children listed
9 here was not clear.

10 The sponsor also provided an analysis of
11 other reactions that occurred in subjects who had
12 redness and swelling greater than five centimeters,
13 and in the next slide I'll show some of the results
14 for swelling.

15 And because of the small numbers in these
16 three groups between 11 and 25 centimeters, I have
17 basically grouped or lumped these.

18 This analysis examined the occurrence of
19 other reactions reported on the day of maximum
20 swelling, and you can see that the majority of
21 subjects who had swelling between five and 25
22 centimeters also reported pain. Although not shown on
23 this slide, for most of these subjects who reported
24 pain, the intensity was mild or moderate. In
25 approximately nine percent of these subjects the pain

1 was considered severe, which was defined as crying
2 when the arm was moved.

3 Of subjects who reported complete upper
4 arm swelling, the frequency of pain on the day of
5 maximum swelling was 35.7 percent. You may recall
6 that of the entire study population, approximately 60
7 percent reported any pain within three days after
8 vaccination. So the apparently lower frequency of
9 pain in the complete upper arm swelling group compared
10 with these children or the entire study population
11 overall may be due to the small number of subjects or
12 other unexplained factors.

13 You can see that fussiness was reported in
14 roughly 14 to 28 percent of children with relatively
15 large areas of swelling, not very different from the
16 frequency reported in the overall study population of
17 20 percent. None of the children with complete upper
18 arm swelling had fever, and four to 11 percent of
19 those with swelling between five and 25 centimeters
20 had fever, and that is similar to the four percent
21 reported overall.

22 Twenty-eight subjects, or 4.8 percent of
23 the entire study population had redness and/or
24 swelling that led to a medical visit. These are not
25 necessarily the same children that you saw in the

1 previous slide with extensive areas of redness or
2 dwelling or those with complete upper arm swelling.
3 I don't have that data available.

4 The local reactions were judged by the
5 pediatricians as mild in approximately two thirds;
6 moderate or interfered with usual activity in
7 approximately one third; and severe, incapacitating in
8 one subject. All were reported to resolve without
9 sequelae.

10 You've already heard about the U.S. study
11 population. These were healthy children who
12 previously received four doses of Tripedia or Tripedia
13 used to reconstitute ActHIB. They are a subset of
14 subjects who participated in one of two previous
15 protocols. Recruitment was by phone calls and
16 letters, the number of eligible children was not
17 provided. The planned enrollment was 400 subjects.
18 At the time of submission of the supplement to CBER,
19 the study was ongoing and safety data available on 96.

20 Safety monitoring was similar to that
21 described for the German study, and sizes of local
22 reactions greater than two inches were recorded for
23 all subjects.

24 The profile of systemic symptoms within
25 three days after vaccination was generally similar to

1 what you saw for the German study, and for local
2 reactions, as well, data are consistent with the
3 German study, approximately 50 to 60 percent reporting
4 pain, redness or in this case swelling/hardness was
5 solicited on the diary forms.

6 Redness greater than two inches was
7 reported in 21 percent, and swelling or hardness
8 greater than two inches in 13 and a half percent.

9 This you've already seen. This is the
10 frequency of local reactions, two inches or larger.
11 Roughly 11 percent had redness, seven percent swelling
12 between two and 3.9 inches, and eight and five
13 percent, respectively, had these reactions that were
14 measured as four to 5.9 inches, and one subject had a
15 reaction of eight inches.

16 There were no reports of entire arm
17 swelling, although that was not specifically
18 solicited.

19 And now I would just like to show this one
20 slide. You've already seen these data from the
21 sponsor. These are data on whole cell pertussis
22 vaccines.

23 Overall when considering systemic and
24 local reactions over the complete five dose series,
25 Tripedia and acellular pertussis vaccines in general

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 clearly have a superior safety profile over whole cell
2 pertussis vaccines.

3 However, a question that often comes up is
4 how does the observed reactogenicity of acellular
5 pertussis vaccine boosters compare with whole cell
6 pertussis vaccine, and I wanted to address this
7 question because I think it helps provide
8 practitioners and the public health community a frame
9 of reference for what to expect from booster doses of
10 acellular pertussis vaccines, and we need to rely on
11 historical whole cell data to address this question.

12 This slide shows the frequency of
13 reactions within 48 hours following a fifth dose, a
14 fifth consecutive dose of U.S. licensed whole cell
15 pertussis vaccines in the often cited study by Cody
16 and colleagues, which was published in 1981, and this
17 is the largest study examining safety of whole cell
18 pertussis vaccines of 876 children.

19 You can see that roughly 45 percent
20 reported redness or swelling, and 74 percent pain.
21 Fever was reported in 46 percent. Drowsiness and
22 fretfulness in 21 and 33 percent, respectively.

23 And a comparison of this data to those
24 that you have seen on Tripedia suggest a similar
25 frequency of common local reactions following a fifth

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 dose of Tripedia in whole cell vaccines, and a lower
2 frequency of systemic reactions, particularly fever,
3 with Tripedia.

4 And another question that frequently comes
5 up has to do with whether extensive swelling of the
6 injected limb was a problem with booster doses of
7 whole cell pertussis vaccines, and you have heard from
8 Dr. Reynolds that swelling of the entire thigh was
9 reported in one of 16 subjects who received a fourth
10 consecutive dose of a U.S. licensed whole cell
11 pertussis vaccine in the NIAID study, but what about
12 our general experience with whole cell pertussis
13 vaccines?

14 Extensive local reactions with whole cell
15 vaccines were recognized as a problem with the whole
16 cell pertussis vaccine widely used in Canada. That is
17 a different vaccine than those that have been licensed
18 in the United States.

19 Based on a review of the literature, as
20 well as speaking with several clinicians, it seems
21 that extensive local reactions apparently were not
22 recognized and reported as a major problem with whole
23 cell pertussis vaccines in the United States, and
24 extensive reactions were not specifically addressed in
25 the study by Cody and colleagues.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 In one of the studies by the Canadian
2 investigators, they state that among the 800 or so
3 children who received a fifth successive dose of the
4 U.S. whole cell pertussis vaccines in the Cody study,
5 that large local reactions, greater than five
6 centimeters in diameter, were infrequent, occurring in
7 less than five percent. This was based on a personal
8 communication that the Canadian investigators had with
9 one of the co-authors of the Cody study.

10 So to summarize, for common systemic
11 reactions, the overall safety profile of a fifth
12 successive dose of Tripedia is superior to that
13 observed historically with a fifth dose of whole cell
14 pertussis vaccines.

15 The frequency with which subjects reported
16 solicited local reactions following a fifth successive
17 dose of Tripedia is generally similar to that observed
18 historically with a fifth dose of whole cell pertussis
19 vaccines.

20 And the frequency of local reactions
21 following a fifth successive dose of Tripedia is
22 greater than that observed with the first four doses
23 of Tripedia in other studies.

24 Approximately four to nine percent of
25 subjects reported large areas of redness, defined here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 as greater than 11 centimeters or greater than or
2 equal to four inches, depending on which study, and
3 approximately four to six percent of subjects reported
4 large areas of swelling. In some cases the redness
5 and swelling involved the complete upper arm. In one
6 study, approximately five percent of subjects had a
7 medical visit for a local reaction. Extensive local
8 reactions resolved without sequelae.

9 And I think you have heard this afternoon
10 that extensive local reactions have been observed with
11 several different DTaP vaccines when given as a fifth
12 successive dose. In general these reactions appear to
13 be clinically relevant, at least in terms of some
14 discomfort to the subjects for several days.

15 However, they resolved without sequelae.
16 The pathophysiologic mechanisms of these reactions are
17 not known. We're not able to distinguish between the
18 different types of hypersensitivity reactions based on
19 the clinical data that are available.

20 You've heard from Dr. Rennels about some
21 analyses to try and address whether there is a
22 correlation with the vaccine component or preexisting
23 antibody levels, and there is some suggestion that the
24 amount of antigens and aluminum content in the
25 vaccines may correlate with local reactions, but I

1 think that overall we have to say that the data are
2 inconclusive.

3 We do not know the contribution, if any,
4 of cellular immune responses. We do not know if
5 reactions with doses one to four pose a risk for
6 reactions with the fifth dose, and we also do not know
7 whether individuals who have severe local reactions
8 with the fifth dose may be at risk for local reactions
9 with additional doses.

10 Although pertussis vaccination is not
11 recommended in the U.S. for persons older than age
12 seven, discussions are underway for considering the
13 use of acellular pertussis vaccines in adolescents.

14 And I will stop there and address any
15 questions.

16 CHAIRMAN GREENBERG: Thank you, Dr.
17 Farizo.

18 Dr. Ferrieri.

19 DR. FERRIERI: That was a very nice
20 presentation.

21 I have two brief questions. One is by
22 chance, were any of the severe reactions biopsied so
23 that we might understand whether there was vasculitis?
24 Is this an arthus reaction or whatever?

25 And secondly, do we have any chance have

1 any animal data on successive immunizations with one
2 of these products and then biopsying of any local
3 reaction seen so that, again, we would better
4 understand the underlying mechanism of the reactions,
5 the severe reactions?

6 DR. FARIZO: To my knowledge, the answer
7 to both of those questions is no, and the
8 representatives of CLI are agreeing with that, and I
9 don't know for any -- I think the answer is no for the
10 other acellular vaccines as well.

11 CHAIRMAN GREENBERG: Dr. Estes and then
12 Dr. Stephens.

13 DR. ESTES: If you look at ethnic groups,
14 is there any difference in their responses with these
15 reactions?

16 DR. FARIZO: In the data presented today
17 for Tripedia, I think that 96 percent or more of the
18 study participants were white, Caucasians.

19 DR. ESTES: No, but in previous studies?
20 That was actually why I asked, because the data that's
21 been presented was basically done on a Caucasian
22 population.

23 DR. FARIZO: In the NIAID study, I think
24 that population is also predominantly Caucasian. I
25 don't think that there have been any analyses, to my

1 knowledge, any studies which enabled anyone to look at
2 that.

3 CHAIRMAN GREENBERG: Dr. Stephens.

4 DR. STEPHENS: I'd like to push the
5 pathogenesis question a little bit further. It almost
6 seems like there are two different groups. One
7 appears to be a severe arthus reaction just
8 clinically, and the other appears to be a different
9 group without much pain, but with a lot of swelling
10 that occurs frequently, and obviously my concern is an
11 IgE mediated phenomenon.

12 So we really don't know much about the
13 pathogenesis of this process. Is that fair? Does
14 anybody have any data on that?

15 DR. FARIZO: I think that's fair to say.
16 I think from the clinical picture we really can't
17 distinguish between, for example, a late phase IgE
18 reaction, an arthus reaction, or even a delayed
19 hypersensitivity reaction.

20 And from discussions I've had with one of
21 our clinical immunologists at CBER, as has been
22 pointed out in the previous question, it would really
23 take biopsies to get at that.

24 Perhaps there are some additional analyses
25 that could be done looking more precisely at the time

1 of onset of the reaction, but I'm not sure that with
2 the data available, that we would be able to pinpoint
3 the pathogenesis.

4 DR. STEPHENS: What about endotoxin
5 content of the vaccines?

6 DR. FARIZO: For the acellular pertussis
7 vaccines there should be none.

a DR. STEPHENS: And that's right; is that?

9 DR. FARIZO: Yes.

10 CHAIRMAN GREENBERG: I am still slightly
11 confused as the percentage of children who have severe
12 pain. I know it varied, but that seems to me to be a
13 critical question, and in one case it was one patient
14 and another time it was nine percent and another was
15 two percent.

16 So if you give this fifth dose to 1,000
17 patients, what percent are going to be judged to have
18 severe pain, judged at crying on moving a limb?

19 DR. FARIZO: In the German study in which
20 580 subjects received the fifth dose, 12 out of 580,
21 or 2.1 percent had severe pain or tenderness, which
22 was defined as crying when the arm was moved.
23 Eighteen percent had moderate pain or tenderness,
24 defined as crying or protesting to touch. That's the
25 largest study we have.

1 And I think the other percentages you
2 heard were of the children who had large reactions,
3 what proportion of those had pain.

4 CHAIRMAN GREENBERG: Thank you.

5 Dr. Kim.

6 DR. KIM: What about injection sites? Do
7 these severe reactions tend to occur if you give the
8 vaccine to the same site repeatedly or do you have any
9 information on that?

10 DR. FARIZO: In the studies that you've
11 heard, I believe that the first four doses were given
12 in the thigh, and the fifth dose in the deltoid.

13 CHAIRMAN GREENBERG: Ms. Fisher.

14 MS. FISHER: Well, because not 100 percent
15 of the children are having these severe local
16 reactions, presumably this is an interaction between
17 the vaccine composition and the genetic or other
18 differences in the child.

19 My concern is if it is an IgE mediated
20 phenomenon, again, going back to whether or not -- to
21 study whether or not children who have these reactions
22 are more prone in the future to more severe reactions,
23 more severe, systemic and other kinds of reactions,
24 especially if you're going to be looking at using
25 this, you know, sixth dose in adolescents and also in

1 adults, it would be important to know, to precisely
2 know, what the interaction is between the vaccine and
3 the host, differences in the host.

4 DR. FARIZO: I think you raise a good
5 point.

6 CHAIRMAN GREENBERG: Dr. Ferrieri.

7 DR. FERRIERI: Dr. Snider had his hand up
a first.

9 CHAIRMAN GREENBERG: Excuse me, Dr.
10 Snider.

11 (Laughter.)

12 DR. SNIDER: I just wanted to pursue Ms.
13 Fisher's question a little further because you, Karen,
14 had alluded to the fact that perhaps additional
15 analyses could be done, and it seems to me that one of
16 the things that could be done with the data sets we
17 now have is look at those who had larger reactions
18 earlier on and to see if they were more likely to have
19 larger reactions to later doses.

20 And so if that has been done, I'd be
21 interested in someone commenting on it. If it hasn't
22 been done, I'd be interested in someone saying that
23 they would do it.

24 DR. FARIZO: I think those are important
25 analyses, but I remind you that the children who

1 participated in these studies represent a small subset
2 potentially of those who were eligible, and certainly
3 a small subset of the population who received the
4 first four doses.

5 Remember that in the German study over
6 12,000 infants received Tripedia, and only 580
7 received the fifth dose. But still it may be possible
a to look at some of these questions.

9 DR. SNIDER: And I think the other issue
10 that you raise perhaps could be looked at as well,
11 which is whether there was some selection bias,
12 because theoretically you ought to be able to look at
13 the distributions in the two populations, the subset
14 and the original group to see if those who had larger
15 reactions earlier on were less likely to get the
16 subsequent dose.

17 DR. FERRIERI: Ferrieri.

18 That was exactly my question, but why
19 don't I ask a different one then?

20 (Laughter.)

21 DR. SNIDER: I think Peggy was going to
22 answer that.

23 CHAIRMAN GREENBERG: Peggy, do you have an
24 answer or --

25 DR. RENNELS: Yes. I have a non-answer.

1 (Laughter.)

2 DR. RENNELS: And that is of the 20 -- of
3 the 20 children who experience entire thigh swelling
4 post dose four in the NIAID studies, none of them
5 participated in the fifth dose study. We tried to
6 look.

7 CHAIRMAN GREENBERG: Could you, because I
8 just can't remember exactly, go over again why we want
9 a fifth dose?

10 (Laughter.)

11 DR. FARIZO: Currently recommended DTP
12 vaccination schedule in the U.S. calls for five doses.

13 CHAIRMAN GREENBERG: So the reason we want
14 one is because we have a recommendation from the
15 bodies that recommend things that we want a fifth one.
16 Those bodies didn't know about this though.

17 DR. FERRIERI: It's more profound than
18 that. Kathy, why don't you try to answer that?

19 DR. EDWARDS: Well, I think that the data
20 upon which these acellular vaccines are licensed are
21 efficacy studies that are very important, and I think
22 that one of the issues that we really do need to look
23 at is the duration of protection of those primary
24 efficacy trials, and really critically obviously there
25 are concerns and caveats, as Dr. Livengood has

1 addressed, but I think that the data that we have seen
2 from those studies in the absence of booster doses is
3 that the protection does seem to be persisting.

4 So I think that we need to look at those
5 data, to have those investigators come back to us and
6 present the long term duration data so that we can
7 address these new products with the data that exists.

a I think that one of the studies that Karen
9 so nicely presented was some data from ICAAC looking
10 at the Lederle study, and what the summary of the data
11 was, that there seemed to be some decline in the
12 immunity, but when you looked at the data they
13 presented, there didn't seem to be a decline.

14 And when I went up and talked to the
15 investigators, I think that they had initially thought
16 there was a decline, but when they looked at the study
17 more carefully, there wasn't a decline.

18 So I think that we really need those
19 people to come and present information to us, and
20 maybe we don't -- and actually, someone had brought up
21 a question about, well, what do other countries do,
22 and I think the French have a very interesting
23 approach in that they have decided to give whole cell
24 for the primary.

25 Now, I don't think that's perhaps a wise

1 idea, but what they have decided to do is that for
2 boosters that they're giving the acellular booster at
3 the 12 to 15 months, and then they're not giving a
4 fifth dose booster until the adolescent or early adult
5 years, where they really feel that the data suggests
6 that there is a need.

7 So I think this really does need to be
8 readdressed with the data that we have for the
9 efficacy.

10 CHAIRMAN GREENBERG: Dr. Ferrieri.

11 DR. SNIDER: Could I just -- I had some
12 additional comments about the reason for the --

13 DR. FERRIERI: Well, that's what I was
14 planning to comment on.

15 DR. SNIDER: I looked back through the
16 ACIP minutes, Pat, to see what was discussed. In
17 1993, for example, there was a long discussion of why
18 was there a fourth dose and could we drop the fourth
19 dose, and earlier in the '70s there was a discussion
20 of the fifth dose.

21 And without having the numbers or the
22 slide to show you, all I can say is that the decision
23 about a fourth dose and a fifth dose were based on
24 epidemiologic data as well as immunogenicity data that
25 were available at that time for whole cell vaccines

1 with the epidemiology of the disease as it existed at
2 that particular time.

3 So I mean, I would agree with Kathy that
4 it needs to be reexamined, but just to point out that
5 there were epidemiologic findings, and there were
6 immunogenicity issues that seemed to push the experts
7 towards recommending these fourth and fifth doses as
a they exist today.

9 DR. FARIZO: Can I also just mention that
10 we also need to remember diphtheria and tetanus,
11 particular diphtheria, when we talk about the
12 vaccination schedule. It's not just pertussis. So
13 that also needs to be considered.

14 CHAIRMAN GREENBERG: Dr. Ferrieri, you've
15 been waiting patiently.

16 DR. FERRIERI: No, that's fine. I agree
17 with all of this, and it is not just based on some
18 whim that this has happened. It's based on historical
19 precedence with the whole vaccine.

20 But the question that I think you could
21 also answer you raised among the unanswered issues,
22 and that has to do with whether or not there's
23 correlation perhaps of the severity of reaction with
24 preexisting antibody levels.

25 Are those sera not available for analysis?

1 And has a manufacturer attempted to do this?

2 DR. FARIZO: In both of the studies, the
3 U.S. and the German study that you've heard about, a
4 subset of children were bled to look at serology.
5 None of those data have been submitted to CBER, but
6 the sponsor may want to comment on what analyses they
7 are planning to do.

a DR. MESCHIEVITZ: Yes. I agree. We drew
9 sera on a subset from both trials, and we're analyzing
10 that data now to look at correlations between
11 preexisting and post vaccination antibody titers to
12 determine if there is a correlation with size of
13 reactions.

14 And I also wanted to quote the last
15 comment in the conclusion section from Mike
16 Pichichero's manuscript, which will be published the
17 first of the year, which stated that the relatively
18 low level of diphtheria antibody in some children
19 prior to the fifth dose supports the continued use of
20 a DTaP booster in this age group.

21 CHAIRMAN GREENBERG: Dr. Livengood.

22 DR. LIVENGOOD: I just want to mention
23 that I think the evidence is actually fairly strong
24 that a preschool booster is a good thing in terms of
25 the vaccine. Whether it needs to be the fourth dose

1 or the fifth dose I think is really an important
2 question that we'll have to disentangle.

3 And that comes primarily from experience
4 with pertussis in the United States, where
5 approximately five years after the preschool booster,
6 we begin to see middle school outbreaks of pertussis,
7 which are of fairly large magnitude right now.

a So I would be concerned that we would
9 potentially be moving the age at which children might
10 have these outbreaks down, and the younger children
11 are more likely to have young siblings at home because
12 I believe the point of the pertussis program is to
13 protect children less than one year of age from
14 pertussis since they're the ones who die if they
15 become hospitalized.

16 Also, data from the outbreak of diphtheria
17 in the former Soviet Union did suggest that deletion
18 of the preschool booster of diphtheria was somehow one
19 of the really precipitating factors that caused that,
20 and that age group was the group that had the highest
21 attack rate, although not the highest death rate from
22 diphtheria.

23 CHAIRMAN GREENBERG: We have time for one
24 or two more.

25 Dr. Peter.

1 DR. PETER: Yes. The importance of the
2 fourth dose of whole cell was demonstrated in the
3 European trial supported by the NIH, where indeed the
4 protection with three doses of Connaught vaccine was
5 substantially lower, and indeed, the hypothesis was
6 that, indeed, the fourth dose we routinely gave in
7 this country was, indeed, what allowed that vaccine to
a be effective.

9 In several studies, population based
10 studies, one in England and another in -- not
11 population, but community outbreaks, one in Michigan,
12 demonstrated that immunity clearly begins to wane
13 after three years.

14 But this question is critically important
15 because at the very time that we're talking about
16 perhaps that we don't need five doses before school
17 entry, but rather a total of four, we're also
18 considering the use of an acellular vaccine booster in
19 adolescence.

20 So the follow-up studies are incredibly
21 important, and I really think the dose that's
22 questionable now is the dose that we give between 15
23 and 18 months. Now, that isn't taking into
24 consideration the diphtheria or tetanus issue.

25 CHAIRMAN GREENBERG: I'm going to have two

1 more, and then we're going to take a break. Ms.
2 Fisher and Dr. Huang, and then that's it.

3 MS. FISHER: Well, in this discussion of
4 vaccine efficacy don't we have to factor in the
5 emerging evidence that the surface protein of the
6 pertussis organism has mutated and the outbreaks that
7 we're seeing in Europe -- and I would say I suppose
a some of them here -- are due to that fact, that the
9 vaccine is not covering it?

10 CHAIRMAN GREENBERG: Is there somebody who
11 can answer that question?

12 Your name?

13 MS. CHERRY: State your name.

14 DR. MEADE: Yeah, this is Bruce Meade from
15 Center of Biologics.

16 I mean, I think those observations from
17 the Netherlands have been published, but I mean, at
18 this point they're epidemiologic observations of two
19 events, you know, changes in a few amino acids and
20 certain proteins, and there's also a similar
21 observation of changes in epidemiology, and the
22 relationship between those two events has not been
23 established at all.

24 And I know that it's being looked at by
25 the CDC group. The pertussis lab and CDC is looking

1 at that and what's going on in the U.S., and I think
2 that the relationship between those observations has
3 just not been made in any convincing way yet.

4 But I mean it's an important observation
5 to be aware of and to be investigating, and it's being
6 done. But, you know, whether or not that is a cause
7 and effect has not been established at all in the U.S.
a or in Europe to my knowledge.

9 CHAIRMAN GREENBERG: Dr. Huang.

10 DR. HUANG: This may be too late to
11 suggest, but in the blood that was drawn from the
12 patients, it would be helpful if you would also just
13 go ahead and do an eosinophil count.

14 DR. MESCHIEVITZ: I think your comment is
15 partially correct. It is a little late because we
16 keep the serum, but not the whole blood.

17 CHAIRMAN GREENBERG: Okay. I'm going to
18 take a brief break now because we've had a very good
19 discussion, and what I'd like all of you to do is
20 we'll give you a 15 minutes break. I would like
21 everybody back here at 4:30 to reconvene.

22 (Whereupon, the foregoing matter went off
23 the record at 4:12 p.m. and went back on
24 the record at 4:32 p.m.)

25 CHAIRMAN GREENBERG: Okay. We're in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 home stretch. So I'd like everybody to take their
2 seats.

3 Dr. Farizo, are you --

4 DR. FARIZO: Are we ready?

5 CHAIRMAN GREENBERG: Yeah, I'm ready.'

6 We now have the open public hearing. Is
7 there anybody in the audience who would like to
8 comment on this afternoon's presentation?

9 (No response.)

10 CHAIRMAN GREENBERG: I'm looking. I'm not
11 seeing.

12 Okay. I guess there is nobody who wants
13 to make a public comment, in which case we will go on
14 to Dr. Farizo who will present the questions for the
15 committee for discussion.

16 DR. FARIZO: The first question is:
17 please discuss the safety data submitted to support
18 the licensure of Tripedia for a fifth dose following
19 four previous doses of Tripedia.

20 The second question is: what, if any,
21 additional studies should be performed?

22 CHAIRMAN GREENBERG: Well, as Dr. Farizo,
23 said, this is the day when we have questions that
24 aren't questions.

25 (Laughter.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GREENBERG: But I think the
2 intent here is clear that the FDA wants us to just
3 venture an opinion on how we feel about the safety
4 data and whether it supports the fifth dose or not,
5 and then secondarily whether there's any other
6 information that we like.

7 What I think I'd like to do is just as
8 this morning open it up for general discussion, and
9 then move through all of you asking for specific
10 answers to the two questions.

11 So do I have any general thoughts here?
12 Dr. Huang.

13 DR. HUANG: I'm just a little confused as
14 to whether there are lot to lot variations because
15 Connaught presented a 60 percent swelling in the fifth
16 dose, and Dr. Farizo presented something like 30
17 percent. I mean that's a difference. Do we know why?

18 DR. FARIZO: I think the data we presented
19 were consistent.

20 CHAIRMAN GREENBERG: I thought they were.
21 Between the FDA's analysis, Alice, and Connaught's
22 analysis --

23 DR. HUANG: Yeah.

24 CHAIRMAN GREENBERG: -- of Tripedia?

25 DR. HUANG: They were seeing some 60

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 percent swelling after the fifth dose.

2 DR. FARIZO: In the German study?

3 DR. HUANG: Yes.

4 DR. FARIZO: Of 580 children?

5 DR. HUANG: Yes.

6 DR. FARIZO: Let's see. I can refer you
7 to my slide.

8 DR. HUANG: I mean they're both high, but
9 it's a twofold difference.

10 DR. FARIZO: I don't think so.

11 DR. FERRIERI: What about on page 8?

12 DR. FARIZO: Mine is slide number 18, and
13 swelling in my slide is 61.4 percent.

14 CHAIRMAN GREENBERG: And the PMC data was?

15 DR. HOSBOL: The PMC data was exactly the
16 same. I think what you're looking at is a different
17 cut in subsets of data, moderate swelling versus any
18 swelling and subsets of populations, but the data
19 were --

20 CHAIRMAN GREENBERG: Phil Hosbol
21 (phonetic), Pasteur Merieux Connaught.

22 CHAIRMAN GREENBERG: Okay. Other
23 questions?

24 DR. FERRIERI: Yes. I'd just like to make
25 a comment because I feel maybe it's because of the

1 cold air blasting in, but my reaction to this is that
2 the Tripedia has behaved very much like other vaccines
3 of its kind, showing the increased reactogenicity with
4 successive doses, and there may be some percentage
5 differences that overall I guess I'm not struck that
6 it's either more superior nor inferior to other data
7 we've seen.

8 And I guess I would like us to look at it
9 from that point of view, that we don't understand the
10 reactogenicity of the other ones and likely don't
11 understand the basis of what we're seeing with
12 Tripedia.

13 CHAIRMAN GREENBERG: Dr. Snider.

14 DR. SNIDER: I had a question that relates
15 to a comment that was made earlier about the original
16 intention of recruiting 400 people for this study, but
17 now the analysis was based on 96 -- this was the U.S.
18 study, not the German data, and I didn't know what the
19 plans were there. I got confused about whether the
20 study had to be abandoned for some reason or whether
21 there was an intent to continue on.

22 DR. MESCHIEVITZ: No, no, that's a very
23 good question.

24 CHAIRMAN GREENBERG: Would you identify
25 yourself?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 DR. MESCHIEVITZ: Carlton Meschievitz,
2 again, from Pasteur Merieux Connaught.

3 The trial recruited a total of 239
4 subjects, but the recruitment just ended. So we're in
5 the process of analyzing the remainder of that data.
6 The 95 subjects presented are data that have been
7 totally cleaned and quality controlled and submitted
8 to the FDA.

9 The serious and unexpected adverse events,
10 however, that I presented did include the entire group
11 because obviously those had come to our attention.

12 I also had two quick other comments that
13 relate to questions posed earlier, one relative to
14 endotoxin units. The release criteria for Tripedia is
15 25 endotoxin units per dose, and we routinely run well
16 below that, close to zero.

17 And secondly, the comment that I made
18 about the diphtheria statement of Mike Pichichero is
19 the version of the paper I had versus the final galley
20 proofs. That statement was deleted. So apparently
21 there's been some trimming of the paper, but a
22 colleague kindly informed me of my mistake.

23 Any other questions on the --

24 CHAIRMAN GREENBERG: Dr. Edwards, you had
25 a question?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 DR. EDWARDS: Yes. I think it is
2 important to realize that if you look at the data from
3 the other licensed acellular vaccine for the fifth
4 dose, that this is seen with that product as well. So
5 I think that that's important to remember as Pat just
6 pointed out.

7 The other issue that I do think is
8 important is that there still is not a remarkable
9 increase in the systemic reactions that we're seeing,
10 and these are -- you know, clearly it's an increase in
11 the local reactions, but the other reactions do not
12 appear to be increasing.

13 CHAIRMAN GREENBERG: Dr. Estes, did you
14 have a question?

15 DR. ESTES: Just regards to safety data I
16 would have liked very much to have seen some of the
17 analysis of the sera from these children and regarding
18 perhaps IgE specific antibodies to different
19 components in the vaccine. I think in my opinion it's
20 very important that we really understand what is the
21 molecular mechanism or the basis of this
22 reactogenicity, and I'm impressed that it's not clear
23 to me that anyone is really looking at this, and if
24 we're thinking in the future of perhaps another dose,
25 I think that has got to be understood, and I'm hoping

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that people really are looking at that.

2 CHAIRMAN GREENBERG: So you're getting to
3 Question 2.

4 Any other comments or questions from the
5 committee before I pick on Dr. Kim to start it off?
6 Any other? Anything else?

7 DR. FERRIERI: Yes. I didn't get to ask
8 this question. So I asked Dr. Farizo whether any
9 analysis had been done on predictors of risk based on
10 having had a moderate or severe reaction after the
11 third or fourth dose that would predict what your
12 reaction would be to the fifth, and that apparently
13 has not been done yet, but certainly could be done and
14 should be done.

15 And I'm sorry, Harry, if that infringes on
16 Item 2, but I do think that the sponsors need to be
17 very active in looking at some of these analyses.

18 CHAIRMAN GREENBERG: I agree.

19 Other thoughts or comments?

20 (No response.)

21 CHAIRMAN GREENBERG: Okay. Well, I'm
22 going to start with Item 1, and I think we will have
23 some responses to Item 2 as well, but let's start with
24 Item 1.

25 Please discuss -- just give me, Dr. Kim,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 your feeling about the safety data, and does it
2 support licensure?

3 DR. KIM: Based on the data presented
4 today, particularly it appears that the data for this
5 product appears to be similar to that of a licensed
6 product presented by earlier. I would think that, you
7 know, I would support the licensure of this product
8 for the fifth dose.

9 CHAIRMAN GREENBERG: Dr. Snider?

10 DR. SNIDER: Well, I would agree that the
11 safety data that have been presented to us and
12 hopefully the data on the 290-some once that's
13 analyzed will show that the safety profile of Tripedia
14 is certainly not any significantly worse than the
15 currently licensed -- the DTaP that is currently
16 licensed for the fifth dose, and it certainly appears
17 to be no worse than for whole cell pertussis.

18 And so our options or the options it seems
19 to me are available are to license Tripedia for this
20 indication or switch to another vaccine that is not
21 demonstrated to be any better or not do a fifth dose.

22 And not doing a fifth dose, I agree with
23 John Livengood. I don't think the fifth dose is --
24 the current fifth dose at four to six years of age --
25 is the one to pick on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In general I think a lot of us have been
2 talking about concerns about the fourth and fifth dose
3 safety profiles. Our concerns, I think, relate to --
4 at least my own -- relate to individual children,
5 whether there might be some premonition here of some
6 severe reaction, you know, anaphylactic in nature, but
7 more importantly perhaps has to do with the
8 acceptability of vaccines in general and the desire to
9 have the most favorable safety profile and
10 acceptability.

11 And so for those reasons, I would support
12 some of the comments that have been made about trying
13 to understand the mechanism of this and devise some
14 strategies for coming up with an even better safety
15 profile for these vaccines.

16 But I would be in favor of moving forward
17 with licensure based on the safety data I've seen.

18 CHAIRMAN GREENBERG: Dr. Edwards.

19 DR. EDWARDS: As I just said a few minutes
20 ago, I think that the comparability of the safety data
21 with the previously licensed product would make me
22 feel comfortable in supporting this.

23 I think it would be nice to have the
24 serologic data completed so that any correlates of
25 adverse events might be studied prior to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 licensure, and this I would think would be possible to
2 be done.

3 But I also think it has to be coupled with
4 a critical reappraisal of our entire pertussis
5 vaccination schedule.

6 CHAIRMAN GREENBERG: Dr. Huang.

7 DR. HUANG: I completely agree.

8 CHAIRMAN GREENBERG: Dr. Stephens.

9 DR. STEPHENS: Yeah. I just want to
10 emphasize two comments. One, I think the data in
11 terms of similarity of adverse events with the other
12 acellular pertussis vaccines is pretty clear, but this
13 whole issue that is really bothersome to me of whether
14 we should be even giving this **as** a fifth dose is very
15 troublesome, and I think that needs to be at some
16 level addressed.

17 The other concern is the kind of total
18 lack of interest in pathogenesis. We have a lot of
19 descriptive information about these reactions, but
20 very little in the way of understanding what's going
21 on, and I think there could be potential down the road
22 problems with subsequent doses of these vaccines.

23 CHAIRMAN GREENBERG: Ms. Fisher.

24 MS. FISHER: Well, I would agree that the
25 data shows that this is no more reactive than the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 other vaccines that are out there, that it's certainly
2 less reactive in terms of its lowered systemic
3 reactions, numbers of systemic reactions, and so I
4 would agree to go ahead and vote for it.

5 However, I don't think that this, at least
6 my vote, is a vote that in the future that subsequent
7 doses of this vaccine could be given without looking
8 at the molecular basis for these reactions, looking at
9 whether there are certain genotypes who have
10 susceptibility to having reactions and whether
11 previous severe local reactions are a predictor for
12 more serious systemic and other reactions.

13 I think that you have to look at the
14 pathogenesis, the biological mechanism and nail that
15 before you go any further.

16 CHAIRMAN GREENBERG: Dr. Estes.

17 DR. ESTES: I don't have anything to add.

18 CHAIRMAN GREENBERG: So you agree.

19 Dr. Hartigan?

20 DR. HARTIGAN: I agree with that, too.

21 CHAIRMAN GREENBERG: Dr. Ferrieri.

22 DR. FERRIERI: Well, no data have been
23 presented that indicate any life threatening risks.
24 I think that it's superior to the whole cell vaccine
25 that we used for many, many years, and I think that it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would be punitive to deny licensure of this vaccine
2 without having withdrawal of the fifth dose of any
3 other vaccine. So I support going forward.

4 But I strongly recommend that we have any
5 post licensure monitoring and a program, a formal
6 program, to better understand, monitor and better
7 understand the issues that many of us have brought up
8 already.

9 CHAIRMAN GREENBERG: Dr. Peter.

10 DR. PETER: I agree with Pat and those who
11 have spoken before. I think that the standard has
12 already been set with the whole cell, and we've
13 already licensed one acellular for the fifth dose, and
14 I think this vaccine does as well.

15 I think the critical questions are, one,
16 the schedule in the first five years of life and
17 whether we need four or five doses and what the timing
18 should be for school entry.

19 And secondly is I think post licensure
20 studies are important, but particularly with respect
21 to long term epidemiological investigations because,
22 indeed, if we were to determine a need for an
23 adolescent vaccination, we'll need to know what's
24 likely to happen when these children five years from
25 now are candidates for a dose at 12 years of age.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GREENBERG: Dr. Livengood.

2 DR. LIVENGOOD: I generally agree with
3 everything that's been said, and I do think the data
4 support licensure for a fifth dose. From my point of
5 view, if a pediatrician -- if a child came to me, the
6 options would be don't give a fifth dose, and I have
7 expressed my concerns about that, give whole cell,
8 which I would not do, or give the licensed product for
9 this, and I am concerned that there are no data on
10 sort of safety and immunogenicity and efficacy of
11 mixed sequences of these vaccines.

12 So I really think there's no option for
13 the pediatrician or family practitioner but to give
14 this vaccine for a fifth dose, and I would really
15 think that it would be important to be licensed for
16 that indication.

17 I do want to mention that as the person
18 who facilitates the ACIP schedule and agenda that the
19 issue of the number of doses needed we'll begin
20 discussion at the February ACIP meeting. I would like
21 to see some attempt to link the persons actually
22 involved in these trials to see what their profile of
23 problems were after the third and fourth doses so we
24 could begin to answer that question, which I think is
25 very important.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 If somebody has a reaction after a
2 previous dose, I think it would be very important for
3 us to see whether or not we need to contraindicate
4 further doses or not.

5 And I'm a little concerned about what I
6 perceive to be a lot of emphasis on the part of
7 persons to move to adolescent immunization with
8 acellular pertussis products when all of the data will
9 be with children who have had their primary series
10 with whole cell at the time it's licensed that will
11 get into a similar situation five years, six years
12 further down that if we don't really make an attempt
13 to try to look at these very important issues now.

14 CHAIRMAN GREENBERG: Dr. Myers.

15 DR. MYERS: I agree.

16 CHAIRMAN GREENBERG: Well, I agree, as
17 well, and I would simply say again that as I
18 understand it, in the second quarter of the year 2000
19 one heck of a lot of people are going to begin to get
20 their fifth dose. That provides the opportunity to
21 get samples and prospectively start studying those
22 people because they're going to be available for a
23 sixth dose in X number of years, and if you don't
24 start now we won't have the specimens.

25 So all of that needs to be thought through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 relatively quickly because those doses are about to be
2 given. So I vote I'm in support.

3 And now I'm just going to go on to the
4 second item, which we've covered in part, but I'll
5 start again with Dr. Kim.

6 What, if any, additional studies should be
7 performed?

8 DR. KIM: Again, some of these issues have
9 been mentioned previously, but just for, you know,
10 documentation I would rephrase some of the issues that
11 have been indicated that require further investigation
12 that include the basis of reactogenicity with the
13 subsequent doses of acellular vaccines should be done,
14 along with a duration of protection that will be very
15 important that pretty much will determine whether
16 subsequent doses of acellular vaccines would be needed
17 or not.

18 And then I guess I forgot to ask this
19 question earlier, but there was some issues about the
20 selection bias, whether, indeed, individuals who,
21 indeed, had several reactions may not be a participant
22 in subsequent studies.

23 So I guess it may be interesting, again,
24 a Harry indicated that subsequent to the licensure,
25 that post licensure survey should include those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 studies for these vaccines, but the vaccine which has
2 been already licensed for the fifth dose, I would be
3 interested in knowing whether, indeed, there's any
4 information available on that particular aspect, that
5 individuals who have received fifth dose, regardless
6 of the nature of previous reactions, indeed, was there
7 any trend toward more reactions with individuals who
8 had some reactions with the previous vaccines.

9 I don't even know whether that information
10 is available from the FDA, certainly not from the
11 sponsor for Tripedia, but you know, the manufacturers.

12 DR. MESCHIEVITZ: Carlton Meschievitz,
13 Pasteur Merieux Connaught.

14 I just wanted to mention we are committed
15 to doing post licensure study. We have a birth cohort
16 of 5,000 children in Seattle that we're following
17 along through multiple doses of vaccine. So we'll be
18 able to follow multiple birth cohorts, and obviously
19 we're committed to looking for the usual types of
20 reactions and more carefully characterize the actions
21 that were described today.

22 CHAIRMAN GREENBERG: Thank you.

23 Dr. Snider.

24 DR. SNIDER: Looking at the question, I
25 wonder if it was constructed in the context of what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 additional studies should be performed before
2 licensure or whether it is wide open in terms of what
3 would you ever do down the line.

4 I'm assuming it's the latter because we've
5 all been responding that way, but with regard to the
6 more restricted issue, I'm not sure any additional
7 studies need to be done, but I just would again call
8 attention to the fact that there are data to be
9 analyzed and would anticipate that those data would be
10 analyzed and reviewed by FDA since I would think that
11 that could be done in a reasonably short period of
12 time.

13 with regard to the additional studies,
14 there are a whole litany of studies that have been
15 outlined. We've indicated our interest in knowing
16 whether people who have these large local reactions,
17 what the mechanism is or whether there's a variety of
18 mechanisms, whether those people who have larger local
19 reactions are more likely to have large local or even
20 larger local reactions in the future.

21 A lot of issues have been brought up about
22 the use of different types of DTP vaccines, mixing
23 those issues, and there are the issues about the
24 duration of protection with acellular vaccines and the
25 optimal schedule.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 I think all of those things are important
2 to think about. I would point out that there's no
3 agency or organization that has clear responsibility
4 for doing vaccine safety studies, and so resources for
5 doing that kind of thing are rather limited.

6 So in reality some priorities have to be
7 established for which ones of these things actually
8 can be done and funded by different entities, and some
9 choices will have to be made and tradeoffs have to be
10 made because of the limited resources for looking at
11 vaccine safety.

12 CHAIRMAN GREENBERG: Dr. Edwards.

13 DR. EDWARDS: I think in addition to
14 what's already been said, which I won't say again,
15 there are some additional sera that are available from
16 the NIH trial both at the primary series, the fourth
17 and the fifth dose booster that could conceivably be
18 looked at particularly with the patients that had the
19 severe swelling. So I think that is frozen and IgEs
20 and amounts of antibody and those things certainly
21 could be done with sera that exist.

22 There is also a little more data about the
23 mix and matching and mixed schedules because those
24 were part of the NIH studies. So there may be some
25 data that would look at whether the mix schedule --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 there are certain aspects of the mix schedule that
2 would be more problematic, and I think that could
3 perhaps be dissected out, although, again, the numbers
4 are somewhat small.

5 And then I also think there are some
6 studies that are being funded by other companies that
7 are looking at mechanisms. I think that there perhaps
8 is more being done in terms of investigation than
9 we're sort of privy to at this point.

10 CHAIRMAN GREENBERG: Dr. Huang.

11 DR. HUANG: Besides being interested in
12 the mechanism of the swelling and erythema, obviously
13 one would like to have a better vaccine. I mean we're
14 settling on this because all of them seem to do the
15 same bad thing, and we've accepted some of them, and
16 so we have to accept this one as well.

17 And it would be nice to take whatever
18 hints that we have, that if reducing the total amount
19 of antigen or reducing the aluminum would be useful,
20 those are certainly a direct thing to focus on.

21 CHAIRMAN GREENBERG: Dr. Stephens.

22 DR. STEPHENS: Yeah, I think most of the
23 points that I wanted to make are made.

24 I would like to ask the manufacturer a
25 couple of questions. One, you mentioned that there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701