

1 are studies, additional studies planned on these
2 issues, and I just would like you to kind of
3 crystalize what those studies are, if you wouldn't
4 mind.

5 And secondly, the issue that was raised
6 earlier about the concern about antigenic drift, if
7 you will, the report from the Netherlands, and to me
8 that is also an area that needs to be better defined
9 with these vaccines.

10 DR. MESCHIEVITZ: Yeah, let me comment,
11 again, briefly. We are committed to doing a post
12 marketing study, and we're in discussions with FDA
13 currently about the final design of that study. We
14 will included in it -- it's a computerized system
15 where we can gather information on severe types of
16 reactions that we often look for in these trials.

17 We'll also be designing it to specifically
18 look and characterize the types of local reactions
19 that have been presented today, and obviously the
20 discussion that's occurring around the table today is
21 helpful to us in fine tuning the design of that study
22 to look at the questions that are being raised.

23 So the study hasn't begun yet, and
24 comments made today can be incorporated into that. So
25 specific ideas that you have would be of value.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, DC. 20005-3701

1 DR. STEPHENS: Just to emphasize the need
2 for mechanistic as opposed to descriptive data, I
3 think that we have a lot of descriptive data, but we
4 need more --

5 DR. MESCHIEVITZ: We hear that loud and
6 clear, yes.

7 CHAIRMAN GREENBERG: Ms. Fisher.

8 MS. FISHER: I assume we are all voting
9 today for the administration of the fifth dose in
10 isolation. In other words, the data that we've been
11 shown I would assume did not include the simultaneous
12 administration of MMR or polio or chicken pox vaccine.
13 so we're looking at this in terms of a fifth dose in
14 isolation?

15 CHAIRMAN GREENBERG: Yes.

16 MS. FISHER: Okay. But in the real world,
17 you know, most often the children are receiving other
18 vaccines on the same day. Is there any other data
19 regarding the administration of this fifth dose in
20 combination with other vaccines?

21 DR. FINN: No. This is Theresa Finn.

22 I think that what can be given or is
23 sometimes given with this particular dose is varicella
24 catch-up and MMR, but we have no data on simultaneous
25 administration of those products, and I'm not sure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 that they are always given together with the DTaP at
2 fifth dose.

3 MS. FISHER: Polio also.

4 Well, I think that's extremely important.
5 I know that there's a lot of concern in the public
6 about the fact that when these vaccines are licensed,
7 they're seen independently, but in practice they're
8 given in combination simultaneous, and I, for one,
9 would like to see more data, and especially mechanism
10 data showing what happens in the body when you give
11 all of these vaccines on one day.

12 CHAIRMAN GREENBERG: Dr. Estes.

13 DR. ESTES: My comments echo most of
14 those. I think it's critical to understand mechanisms
15 of reactogenicity and correlates of protection.

16 I again will just bring up the one other
17 point that I made earlier. The data we saw today from
18 the German study and apparently even the NIH study
19 was really all safety data in Caucasians, and so I am
20 struck by the fact that we don't have any data in
21 other ethnic groups, and it would seem to me that even
22 before licensure that's an issue that needs to be
23 looked at very carefully.

24 CHAIRMAN GREENBERG: Dr. Ferrieri. Oh,
25 I'm sorry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HARTIGAN: Oh, that's okay. I don't
2 have anything --

3 CHAIRMAN GREENBERG: My peripheral vision
4 is going. Dr. Hartigan.

5 DR. HARTIGAN: -- anything to add, except
6 that is there any data to indicate that the acellular
7 pertussis, now that we have a big cohort that have
8 been vaccinated only with acellular pertussis
9 vaccines; is there any different incidence of
10 pertussis in this current age compared to historical
11 ages where they had whole cell vaccine?

12 DR. LIVENGOOD: The data suggest right now
13 that there's been really no change in the pattern of
14 incidence of pertussis among young children. There
15 have been changes over this decade. Primarily I
16 believe it's through increased recognition, the
17 detection of disease in adolescents and young adults.
18 Those are the populations that have greatly increased.

19 But the best vaccinated population, those
20 one to four, have been just flat during this whole
21 transition period. So I don't think there's any
22 reason to have concerns about efficacy or lack of
23 effectiveness in terms of the acellular products. I
24 think they have performed quite well.

25 CHAIRMAN GREENBERG: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 Dr. Ferrieri.

2 DR. FERRIERI: Nothing beyond what I had
3 suggested earlier, but I'm so pleased to hear about
4 this cohort study that will permit study of issues
5 that we've brought up today, and that we go beyond
6 just reporting very important adverse reactions, but
7 come to a basic understanding.

8 So it's an opportunity for PMC to really
9 raise the yardstick here, what the expectations are.

10 DR. PETER: One of the advantages of
11 sitting on this side of the table is that everybody
12 has already made excellent suggestions. In fact, I've
13 noticed when we go from Dr. Kim and move to the right
14 that the persons' comments become less and less.

15 So I've already said my mandatory 20
16 seconds, and I have nothing further to add.

17 (Laughter.)

18 CHAIRMAN GREENBERG: Dr. Livengood.

19 DR. LIVENGOOD: I'd just like to make two
20 points, one of which is I am concerned with the
21 acellular products about the differing amounts of
22 tetanus and diphtheria in them for reasons that vary
23 quite a lot. This product is the one with the lowest
24 amount of diphtheria of the acellular products, and
25 there may either need to be some attempt to bring them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 into closer harmony across products or one thing we
2 may need to do is if we may need for diphtheria to
3 have different recommendations about number of doses
4 for different products, which of course would be
5 something I would prefer not to have to deal with.

6 And the other thing would be about the
7 mechanistic thing of biopsying these lesions. We
8 worked primarily with VAERS, and I think the time lag
9 for VAERS is going to be so long that this isn't going
10 to be something that we can study through the
11 traditional post marketing surveillances.

12 So I hope that the FDA really works with
13 the manufacturer to set up mechanisms by which these
14 things can be detected early at times in which
15 biopsies or other information can be obtained very
16 quickly.

17 CHAIRMAN GREENBERG: I just want to ask a
18 question here. Precisely what would get biopsied if
19 one was going to do a biopsy? Is biopsy a feasible
20 intervention to address these lesions? I would have
21 assumed that it was a relatively deep biopsy that was
22 not going to be generally acceptable.

23 Does anybody know? Dr. Ferrieri, you
24 raised the issue of biopsy, which I would love to have
25 the tissue, but is it obtainable?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 DR. FERRIERI: Well, thank you for keeping
2 us all very honest, Harry, because it would be very
3 easy if it's a nodular type lesion, but that isn't
4 what is seen. You have a huge surface area that, and
5 if you just do a, you know, random biopsy, you may not
6 learn very much. You'll have nonspecific inflammatory
7 change is my prediction.

8 So although I'm keen on understanding it,
9 the limitations of my suggestions are the lack of an
10 organized type lesion that might be better able to be
11 probed for various things. One could study any number
12 of molecular markers, immunological pro inflammatory
13 markers, et cetera.

14 So I see it as a problem. There may be
15 people in the room who think that in the very severe
16 reactions you do have a central, key part of the whole
17 process, but that's not my impression.

18 Would someone else corroborate that, that
19 it's diffuse, widespread with no central key lesion to
20 go after most of the time?

21 DR. SNIDER: Kathy is shaking her head
22 yes.

23 DR. FERRIERI: You agree, Kathy. So this
24 is a real problem.

25 DR. EDWARDS: I think the other thing is,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 too, the biopsy would convert those mild and moderate
2 pains to severe pain.

3 DR. FERRIERI : Yeah, right.

4 (Laughter.)

5 DR. FERRIERI: And my concern is then
6 we'll have Group A streptococci invade the biopsy
7 site, and then we're really going to have problems.

8 CHAIRMAN GREENBERG: I would just add here
9 that the manufacturer be aware of the fact that
10 there's really incredible progress being made as far
11 as visualization, noninvasive visualization with a
12 variety of functional scanning and X-ray modalities,
13 and if the time is not right now, it will be within
14 the next couple of years where resolution is going to
15 be really extremely fine, and that you may be able to
16 get pictures of what is going on noninvasively in
17 these limbs, and you should keep exploring that with
18 good radiology departments.

19 The last person is Dr. Myers.

20 DR. MYERS: And I'd just add that this may
21 be a place for animal models to explore the
22 pathophysiology.

23 I guess everybody has said everything I'd
24 say, too, except I'd like to really emphasize the
25 racial disparity in the study populations. As the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 African American and other minority groups have a
2 disproportionate amount of pertussis, I think it
3 inherent that these vaccines be studied carefully in
4 those populations as well. I think there is a
5 deficiency there.

6 CHAIRMAN GREENBERG: Well, and for the
7 record, I agree with all my committee members. You've
8 done a great job, and we've finished 50 minutes early
9 today, which is a record.

10 I would like to thank you.

11 Does the FDA -- did they get all of the
12 information that you want to get from this?

13 Okay. Thank you. We'll see you all
14 tomorrow.

15 (Whereupon, at 5:10 p.m., the meeting was
16 adjourned, to reconvene on Friday, November 5, 1999.)

17
18
19
20
21
22
23
24
25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of: Vaccines and Related Biological Products
 Advisory Committee
 Session No. 5

Before: DHHS/FDA/PHS/CBER

Date: November 4, 1999

Place: Bethesda, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.


