

1 medicated, but I still have trouble with my hands. I
2 continue to experience pain in other joints, such as
3 my elbows, my knees, jaw, neck and feet, and I'm
4 usually fatigued.

5 Previously I was healthy and energetic,
6 routinely taking only calcium and vitamins. Only
7 after experiencing this adverse reaction did I learn
8 that there had been concerns expressed about the
9 safety of the vaccine, particularly related to the
10 genotype HLA DR4, for which I have since tested
11 positive.

12 This information most certainly would have
13 enabled us to more realistically judged the relative
14 risks and benefits of taking this vaccine.

15 If we had still believed the vaccine
16 worthwhile for us, I could have had the option of
17 genetic testing to avoid a problem, rather than in
18 response to one.

19 The lack of disclosure of this information
20 had further ramifications for our family. After I
21 became symptomatic, my son was still due for his third
22 injection. To determine whether he should complete
23 his series, I consulted the chief of infectious
24 disease and travel medicine at Northwestern.

25 Because the concerns about a possible

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 genetic vulnerability apparently had not been shared
2 with the wider medical community, this doctor believed
3 my adverse reaction was an idiosyncratic response to
4 the vaccine that would have no bearing on my son's
5 health.

6 I then consulted a physician at Tufts,
7 more familiar with the vaccine, who advised against
8 giving LYMERix to my son. Fortunately Jason had not
9 had the third shot. Imagine how awful it could have
10 been had Jason followed my path.

11 It is apparent that LYMERix, an entirely
12 optional measure intended as a preventive intervention
13 has harmed me physically, emotionally, financially,
14 and has negatively impacted the life of my family.

15 My daily functioning remains compromised.
16 I lack the ability, the energy to maintain my former
17 level of activity and commitments, my ability to work,
18 volunteer in the community, and share activities with
19 my children has drastically diminished.

20 I was only trying to be diligent about my
21 family's health. And as a result I now have a health
22 problem for which no effective solution may exist. I
23 am faced with such diagnostic possibilities as
24 untreatable autoimmune disease arthritis, or an
25 activation of a previous exposure to the lyme

1 bacteria.

2 There are few acknowledged experts
3 regarding this reaction, and no widely accepted
4 treatments. It seems to me that when evaluating the
5 vaccine the possibility of adverse reactions of
6 unknown duration, having no known cure, should receive
7 greater weight than those potential reactions with
8 well understood treatment protocols.

9 My husband and I have always had great
10 confidence in the FDA's approval of medications and
11 its communication with the medical community. We
12 expected that all information which physicians might
13 reasonably need to make recommendations concerning our
14 health would be made available to them.

15 We were not informed that this very group
16 expressed reservations which were not disclosed in the
17 manufacturer's literature. We had no idea that there
18 were unresolved safety issues requiring further study,
19 and that by taking this vaccine our family would
20 unwittingly become subjects of an ongoing drug trial.

21 Doctors and their patients need to be
22 given complete disclosure of a possible risk, as well
23 as the claim benefits. Only then can they make
24 prudent decisions together.

25 We hope that others will have the benefit

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of all of the information necessary to make well
2 considered choices.

3 This morning I was thinking about your
4 sources of data. Last May, when the nurse at
5 Northwestern called SmithKline to report my arthritic
6 reaction, and to seek information, she was told that
7 there were no problems, just anecdotal reports.

8 They requested no further information
9 about me. The nurse told me that she did not find
10 SmithKline helpful, or concerned.

11 I thank you for this opportunity to share
12 my experience. Thanks for your attention.

13 CHAIR DAUM: We thank you for your effort,
14 and your experience. We would like to call on Ms.
15 Linda Scharf-Lurie next, with Terry Elias following,
16 and then a letter will be read on behalf of Nancy
17 Vroon by Jenny Marra. Ms. Lurie.

18 MS. SCHARF-LURIE: Good afternoon. My
19 name is Linda Scharf-Lurie, and I have been asked to
20 speak on behalf of my daughter Vanessa.

21 Vanessa had a pretty normal childhood and
22 adolescence until the year 1999. She had a horse that
23 she used for exercise and enjoyment. She had competed
24 on him in various venues. They enjoyed jumping and
25 dressage.

1 She volunteered at a therapeutic riding
2 barn, and worked with multiply handicapped children.
3 Her plans were to get her degree in veterinary
4 medicine, and have a small animal practice. She held
5 down a job at a vet's office, and loved going to work
6 and facing the challenges there.

7 In the spring of that year I decided to
8 get her the lyme vaccine. She was in contact with
9 various animals daily, and spent a lot of time in the
10 woods with horses. It seemed like a good idea at the
11 time.

12 She had had a simple case of unconfirmed
13 lyme disease when she was around 12 years old, and it
14 seemed to respond to antibiotics, so I thought LYMERix
15 would be a good idea.

16 My primary doctor looked over the
17 literature, and agreed to give the series of
18 injections. Our lives have never been the same.

19 After the second injection Vanessa
20 complained of ankle pain. I took her to an orthopedic
21 surgeon who couldn't find anything wrong at that time.
22 We sent her for physical therapy and gave her
23 medications. She made the best of it, and never
24 really got much better.

25 She had vague complaints about her joints

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bothering her, but again she kept plugging along. She
2 developed flu-like symptoms, a rash, and woke up on
3 October 31st, 1999, with peripheral blindness.

4 She was having terrible muscle aches and
5 joint swelling and pain. We went to many specialists.
6 She had a spinal tap, an MRI, Gallium scan, multiple
7 blood tests, including PCRs for lyme, all negative.

8 Finally we decided to test her for HLA DR4
9 and lo and behold we had a positive. We also had a
10 positive ANA.

11 To this day she continues to test negative
12 for lyme, MS, lupus, Kroen's disease, and all of the
13 other autoimmune illnesses that our doctors assumed
14 were the possible cause.

15 There is no history of juvenile arthritis
16 in either side of our family. Her arthritis just kept
17 getting worse, even with treatments of anti-
18 inflammatory, and all of the arthritis medications
19 on the market.

20 She spent her entire senior year at home,
21 too ill to even walk through the hallways, and put in
22 a full day at school. She missed her senior prom, and
23 any social activities that a normal senior in high
24 school participates in.

25 Her horse could not be exercised, or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 jumped by her, for a very long period of time. We
2 have taken Vanessa to many specialists in the New York
3 and New Jersey area. They have no explanations for
4 this sudden dramatic change in her health, except the
5 probability that she had a reaction to LYMERix, which
6 somehow caused an autoimmune reaction because of the
7 body's exposure to OspA.

8 I'm not as knowledgeable as this
9 distinguished panel of experts that I speak to, today.
10 But I know one thing with all of my being. It was
11 LYMERix which somehow had this devastating effect on
12 my 17 year old child.

13 I think you have all considered that
14 possibility before today. Maybe after today you will
15 think it is more than just a possibility. You will
16 see that this drug can have some long-lasting
17 dangerous side effects.

18 Just remember, I have been told this by
19 many a doctor in the last year and a half. They can
20 treat and often cure lyme disease, but they cannot
21 cure an autoimmune arthritis.

22 This is an 18 year old who will never
23 again be able to run to catch a bus, jump her horse
24 with abandon, her life will be forever changed by
25 LYMERix. Please consider this very carefully when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 making your decisions about continuing keeping this on
2 the market and giving it to children.

3 CHAIR DAUM: Thank you very much, Ms.
4 Lurie. Ms. Elias, then a letter to be read by Ms.
5 Marra followed by David Weld.

6 MS. ELIAS: You had it right the first
7 time -- Elias.

8 CHAIR DAUM: Elias. I'm sorry.

9 MS. ELIAS: That's okay. I'm a health
10 care professional licensed in the State of Maryland.
11 I'm also a survivor of Lyme Disease. I am also a
12 recipient of LYMERix Vaccine.

13 I'm not real sure how many people have
14 received the vaccine. If you haven't I challenge you
15 to. Knock yourself out. I'll give you my third dose.
16 It's in my refrigerator. Anybody want it? I don't.

17 I survived Lyme Disease by sheer
18 determination. I stand here today by shear
19 determination and a good dose of Arthrotac.

20 They told me I didn't have Lyme Disease.
21 They told me my child didn't have Lyme Disease. When
22 I presented to my doctor any possibility that I had
23 any problem from the LYMERix vaccine, she jumped down
24 my throat -- literally. I left that office in tears
25 because the HMO's, number one, didn't want to pay for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 my first two shots.

2 Number two, they don't want to recognize
3 it. They don't want to get involved. Because you
4 know what, they just might have to do a little more
5 paperwork. And then they may have to say you know
6 what, we really shouldn't have given you that shot the
7 day you walked into our office with a flaming
8 infection from a tick bite that was bigger than the
9 size of my hand.

10 But you know what, I was told that it was
11 totally safe. I don't think so. I looked through any
12 FDA file I could find. I combed Smith Klein &
13 Beecham's files, anything, any kind of medical
14 information I could get my hands on.

15 Dosage calculations, contraindications,
16 you name it I did it. There's absolutely nothing.
17 And I'd like to question something a lady asked
18 before. Have you changed any information that you're
19 giving to the public? No you haven't changed a thing.

20 They're still giving the vaccine. There
21 is no information in any of it that says, do not give
22 it if you have a current infection. My doctor told me
23 it was totally safe. No it's not.

24 I was almost going to get it for my 18-
25 year old daughter who now has Lyme Disease, that I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 kept telling them that she had. Not on a bet. I'll
2 take her to any Lyme Disease literate medical doctor
3 in the world before I would ever consider giving her
4 that vaccine.

5 And I work in the private duty sector.
6 But I live in a small endemic community in backwoods
7 nowhere U.S.A.

8 I drive two hours to go to work on a
9 private duty case that I love. I almost gave up my
10 job because everybody kept saying no, no, no, no, no,
11 no, no, no, you're wrong. And if not for fighting
12 back, like everybody else has, where would we be.

13 I challenge you all. Go to your doctor.
14 Get your first shot. I dare you. Thank you.

15 CHAIR DAUM: Thank you Ms. Elias. We call
16 next on Jenny Marra to read a letter on behalf of Ms.
17 Nancy Vroon who apparently couldn't be here today.

18 MS. MARRA: No. She's in a wheelchair in
19 New Jersey.

20 CHAIR DAUM: Okay. And then we'll ask
21 David Weld and then Pat Easton to speak following.
22 Ms. Marra, please.

23 MS. MARRA: She writes, To Whom It May
24 Concern. I am unable to attend the January 31st FDA
25 Vaccine Advisory Committee meeting due to a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 restrictive condition, Transverse Myelitis, resulting
2 from the LYMERix Vaccine.

3 In the Spring of 1999, I decided to get
4 the series of LYMERix shots after viewing a very
5 convincing T.V. commercial touting the importance of
6 protecting oneself from Lyme Disease.

7 I felt this would be a good thing to take
8 advantage of since I had had numerous bites from ticks
9 which cause Lyme Disease.

10 I was given the first shot of the series
11 on April 20, 1999. Thirteen days later I collapsed
12 completely paralyzed. Many tests at the hospital
13 confirmed the diagnosis of Transverse Myelitis,
14 inflammation of the Myelin Sheath around the spinal
15 cord.

16 After days in Intensive Care at the
17 hospital, I was transferred to the rehabilitation
18 center where I spend six months. After intensive
19 physical and occupational therapy, some mobility
20 returned but I am in a wheelchair most of the time.
21 My life has been drastically changed for the last 21
22 months.

23 Up to the day I collapsed, I was
24 constantly on the go with meetings of historical
25 societies, community organizations, church activities,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 house tours, dinner parties, exercise classes, bus
2 trips, theater outings, concerts, etcetera.

3 I used to wear my daughters out just
4 telling them about all of the running around I did.
5 I used to be a world traveler, but now because of the
6 physical limitations I stay close to home.

7 I am able to live at home only with
8 support from family and friends and a paid nighttime
9 caregiver. For the first nine months, after coming
10 home from the rehabilitation center, I required round-
11 the-clock caregivers.

12 Prior to the LYMERix Vaccine, I was in
13 excellent health, completely independent. I strongly
14 urge you to take LYMERix off of the market to spare
15 others the pain and suffering it may cause.

16 Very truly yours, Nancy Vroon.

17 CHAIR DAUM: Thank you very kindly,
18 Ms.Marra. David Weld is next, then followed by Pat
19 Easton and Dr. Kenneth Dardick.

20 MR. WELD: Good afternoon. I'm David
21 Weld, Executive Director of the American Lyme Disease
22 Foundation. Our organization does receive some
23 unrestricted grant monies from Glyco Smith Klein which
24 helps to support our overall programs and services.

25 Let me make it clear that it is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 foundation's policy to maintain a strict scientific
2 standard as a basis for all information we
3 disseminate.

4 The American Lyme Disease Foundation is
5 dedicated to promoting Lyme Disease prevention,
6 diagnosis and treatment through educational programs
7 and services.

8 As a liaison between the public and
9 medical research institutions, the Foundation provides
10 easy access to key information that allows people to
11 make wise health care decisions.

12 In particular we stress the importance of
13 prevention and early intervention in avoiding
14 complicated, expensive, and potentially debilitating
15 long term illness.

16 Our efforts are derived from the principle
17 that a clear understanding of lyme disease risk, and
18 how to reduce it both diminishes the fear associated
19 with the disease, and results in proactive
20 precautionary behavior.

21 In addition we believe that lyme disease
22 prevention techniques must target not just people, but
23 ticks as well. As purveyors of a potentially
24 debilitating disease deer ticks represent an almost
25 universal threat in highly endemic areas.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Deer tick population reduction is
2 certainly one of the cornerstones of lyme disease
3 prevention research. To this end the Foundation
4 support research focusing primarily on new tick
5 control methods with potential for commercial
6 application, and in the last year provided over
7 100,000 in funding for such projects.

8 It is our hope that a greater
9 understanding of tick population dynamics, tick host
10 interrelationships, pesticides susceptibilities and
11 other factors will enhance progress in the area of
12 tick control.

13 A third approach to lyme disease
14 prevention involves the transmission blocking method
15 exemplified by LYMERix, the subject of today's
16 discussion. I am not here today to argue in molecular
17 detail the safety of the vaccine.

18 I will leave that task to those more
19 directly involved in the supporting research. Let me
20 be clear about lyme disease prevention. No one
21 method, including the vaccine, is completely effective
22 all the time.

23 The CDC, NIH, Public Health Department,
24 research agencies and the Foundation all recommend
25 that prevention be viewed collectively. With

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 accommodation of precautions, including daily tick
2 checks, the use of repellents, habitat modification
3 and others to be taken in tandem.

4 I will end on this note. Science has much
5 yet to discover about lyme disease. It does not, by
6 any means, have all the answers. As a father of a
7 young daughter who failed to respond completely to
8 standard early lyme disease treatment, I have been
9 faced with a dilemma that every parent in my position
10 experiences, what next.

11 I speculated that science might not help
12 my daughter in this case. But despite its flaws the
13 scientific method is the best we have. It is
14 structured to effectively eliminate subjectivity in a
15 controlled environment.

16 Any anecdotal evidence pertaining to
17 LYMERix or any other vaccine which may be developed,
18 until subjected to rigors replicable study is of
19 limited value in assessing the vaccine's merit, and in
20 determining policy relating to its use.

21 Thank you.

22 CHAIR DAUM: Thank you sir. And I hope
23 you catch your plane. We have Pat Easton followed by
24 Dr. Kenneth Dardick.

25 MR. EASTON: Thank you for allowing me to

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 speak here today. I'm here representing my wife,
2 Carol Sue.

3 My Susie is 17 years younger than I am,
4 and until two years ago she could run circles around
5 me, and out-think me. All that has changed.

6 Let me give you a brief history. In 1998
7 she had an operation on her back, a bad disc. But
8 during that, and before that operation she was
9 thoroughly checked out, head to toe, because the
10 doctor didn't want to proceed if there was any
11 indication of arthritis.

12 She had a head to toe check out, no
13 arthritis whatsoever. She went through that
14 operation, remarkably she was doing everything she
15 should in that summer of 1998.

16 In November of 1998 we moved from the 95
17 beltway, 250 miles northwest to the mountains of
18 Pennsylvania, got a new HMO, new doctors, the whole
19 thing. That was in November. In about the February
20 time frame both of us went in to our new HMO and did
21 the head to toe check out, both of us, complete
22 physical, nothing wrong with us.

23 At that time it was suggested to us, since
24 we were going to live in the woods, and work in the
25 woods, and what have you, that LYMERix was the way to

1 go. We both took it.

2 She noticed some pain off the first shot,
3 but for her, I teased her and said, that is typical.
4 When you get the flu shot you always get a mild dose
5 of the flu, you know, that is you.

6 And she took the second shot, and
7 immediately thereafter started all the symptoms that
8 you heard many, many times over.

9 I would like to add a few other ones. She
10 is now deteriorated, her eyesight is going. She is
11 losing her mental capacities, too. It is a little
12 tough. For a woman that I was worried on how I was
13 going to keep up with as a 60 year old, it is hard for
14 me to lay in bed beside her and hear the whimpers that
15 she tries to turn -- excuse me.

16 On your reporting system, your VAERS
17 reporting system, it took me 18 months to find it.
18 She isn't even in your thing. We finally got to it,
19 found a copy of it and mailed it in. I have to admit
20 the people that phoned back were very, very cordial,
21 very helpful, and spent a lot of time with my wife.

22 But your reporting system might do well in
23 the beltway, but out where the ticks are, out in the
24 hinterland, nobody knows about it, or they are not
25 telling you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Out in the sticks, and out in the
2 hinterland the doctor, God love her, she tried
3 everything. We have been diagnosed from everything
4 that you ever imagined, down through lupus, tested for
5 and come up no. Because she couldn't believe in her
6 heart that it was the lyme vaccine because she said
7 there is no indication -- she is upset to this day
8 because I brought her from other sources.

9 And she said, why didn't they have that
10 down there, Pat? I apologize, I'm sorry. But she is
11 still upset because she doesn't have the information
12 from you, she had to get it from me.

13 Thank you, sir.

14 CHAIR DAUM: We thank you, Mr. Easton.
15 Dr. Dardick is our final speaker of the afternoon. Is
16 Dr. Dardick here? I think probably not.

17 Are there other people who wish to come
18 forward and speak for five minutes, that haven't made
19 themselves known to us. I see one hand. Would you
20 come to the microphone and identify yourself, please?
21 And this will be our final speaker.

22 MS. BURKE: Hi, my name is Karen Burke.
23 I wasn't planning on speaking, I have no prepared,
24 anything to say. We are here because my husband had
25 the LYMERix vaccine two years ago, actually a year and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 way to the liver, toxic.

2 Prednisone, which as we all know can cause
3 osteoporosis, they are finding that now, particularly
4 in males, from what I understand. Anyway, we are not
5 able to conceive any more children until he is off
6 these medications.

7 Will he ever be? You know, the standing
8 joke is, I love to kid around, right now I wasn't
9 planning on being up here, I didn't realize I could
10 speak. If I knew it, I would have been prepared. I
11 am like a nervous wreck, you can hear it in my voice.

12 But my standing joke with him is, honey,
13 at least when our kids are big enough and by that
14 point you will probably be on a wheelchair, and you
15 will get us on the rides quicker. Well, you know
16 what, that is a joke, it is not funny, but you have to
17 have some fun in your life.

18 And it is not anymore. He lost his
19 business, he has no more construction business, done.
20 Pretty much a desk job. Thank God he has a job, thank
21 God I have a good job.

22 The point is life has changed, and is it
23 ever, ever going to be the same? I truly, truly
24 believe it came from the LYMERix vaccine. As someone
25 said before, I mean, I know it is not for me to ask

1 you guys questions. How many of you people have it,
2 the vaccine, how many of you people would give it to
3 your loved ones?

4 And if you did, you wouldn't be sitting
5 where you are right now.

6 I really, really believe it came from
7 LYMERix vaccine, just as everyone else has said. Our
8 life has been turned upside down. Fortunately it is
9 not something worse, fortunately it is not something
10 that is going to kill him, or at least we don't know
11 that it is.

12 So I just urge you to consider at least
13 change the labeling, at least let people know that
14 they have genes in their body, that if they carry this
15 gene, in lay terms, they can go ahead, get tested to
16 see if they have this gene before their life is
17 ruined.

18 My husband does have the gene for
19 rheumatoid arthritis. Never knew it. Perfectly
20 healthy, healthy individual. Not any more,
21 completely, completely changed. Functional after
22 three or four o'clock in the afternoon? No. Where is
23 he? On the couch. Is he sleeping? Yes, he is
24 sleeping he is a mess. Two little kids, can't play
25 with them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The point I'm making is it is an awful,
2 awful thing. If you went through it, all I can say is
3 it is devastating, and it is awful, it has turned our
4 lives upside down. Please consider not giving it to
5 small children, or to anybody else, because do you
6 guys finish your study, how many more people are going
7 to be affected, how many more people are going to have
8 this problem?

9 There is just too, too many to say it is
10 coincidental, it is not. That is all I have to say.
11 I'm grateful I had the opportunity to come up here, I
12 wished I would have called and made arrangements to
13 speak.

14 I'm done being a nervous wreck, I'm glad
15 I got my point of view out. That is it, I'm going to
16 go sit down and get some water.

17 CHAIR DAUM: And thank you for taking the
18 time to share your thoughts with us.

19 I have to tell you, sitting up here as a
20 physician, that the stories and the thoughts that were
21 shared with us this afternoon can't help but be
22 profoundly moving.

23 And I can assure you, on behalf of the
24 committee, that your views, your thoughts, your energy
25 and time taken to share your ideas with us today, will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be taken into account in our discussion and
2 deliberation.

3 I would like to now take a ten minute
4 break, and then we will begin committee discussion.
5 Thank you.

6 (Whereupon, the above-entitled matter
7 went off the record at 3:08 p.m. and
8 went back on the record at 3:24 p.m.)

9 CHAIR DAUM: Welcome back. We are now
10 going to have the -- everybody sort of settle down,
11 please. I know it has been a long day. We will try
12 to get this done quickly so that we can get people on
13 their way, and back to homes or activities.

14 The Committee will now deliberate the
15 issue that is put in front of them by our colleagues
16 at the FDA for discussion. And in this instance we
17 are not going to have a direct vote on anything, but
18 we are going to address this question, this issue.

19 Please discuss the safety data and the
20 plans for continued safety evaluation of the lyme
21 disease vaccine. Appended to that, I've just been told
22 by Dr. Midthun, is that comments about what might or
23 might not be done to the package insert, or the
24 labeling are also welcome during this session.

25 What I would like to do is to first have

1 those members of the committee that wish to ask
2 clarifying questions, or raise points, to feel free to
3 do so for a while. When we get the sense that most of
4 the points have been raised, I will then like to hear
5 this issue of the FDA's spoken to by everyone at the
6 table.

7 So we will begin by people who want to
8 raise points that have come out of today's session,
9 and we will try and get some discussion going on them.

10 DR. DATTWYLER: I will raise something.

11 CHAIR DAUM: Okay, then Ms. Fisher. Thank
12 you.

13 DR. DATTWYLER: On the point of
14 serologies, the original serology recommendations from
15 the CDC panel were not in reference to western blots,
16 they were using an infectious disease principle, acute
17 and convalescent serologies.

18 And the idea was a standard rise in titer
19 could be indicative of acute disease. And I think
20 there was some misconception there that that was in
21 reference to western blot, it was not.

22 The other thing is that the scientific
23 basis of the CDC recommendations, as far as
24 serologies, is not solely based on just the Dressler-
25 Steere study. But, in fact, there were additional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 studies carried out by members of the CDC Advisory
2 Panel, and CDC itself.

3 So that has been validated through a
4 number of different scientific studies.

5 CHAIR DAUM: Are you raising, clarifying
6 issues with respect to understanding serology for us?

7 DR. DATTWYLER: Yes, that is all I am
8 doing. And I can also say, as a member of that CDC
9 committee, that the vaccines were never discussed in
10 serologic meetings. So that there was no forethought
11 about vaccine trials. We were solely concentrating on
12 serologic issues at that point.

13 CHAIR DAUM: Thank you. Ms. Fisher, you
14 had your hand up?

15 MS. FISHER: I had a question after Dr.
16 Elkins presented, and I would sort of like to ask it
17 to her, and also to SmithKline Beecham.

18 In light of the findings by Dr. Shell that
19 at higher concentrations OspA protein there was an
20 effect. The OspA vaccine preparation contains 30
21 micrograms of OspA protein, I understand. And the
22 mice that were injected in the SmithKline Beecham
23 study were injected with one microgram of OspA.

24 My question is, could the concentration of
25 OspA protein affect the findings of studies in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 animals?

2 CHAIR DAUM: Dr. Elkins has just come into
3 the room, and might not have heard your entire
4 question, Ms. Fisher. Would you mind repeating it for
5 us?

6 Dr. Elkins, this is a question for you and
7 for the sponsor.

8 DR. ELKINS: I am sorry, what was the
9 question?

10 CHAIR DAUM: Why don't you repeat the
11 question, please?

12 MS. FISHER: The OspA vaccine preparation,
13 I understand, contains 30 micrograms of OspA protein.
14 And the mice that were injected in the SmithKline
15 Beecham study, I think you said they injected one
16 microgram of OspA. And I was wondering, in light of
17 what you talked about with regard to Dr. Shell's work,
18 could the concentration of OspA protein affect the
19 findings of these studies?

20 DR. ELKINS: Well, I won't attempt to
21 address the question from the SmithKline experiments
22 with the mice. In the Wisconsin study they used three
23 doses, 30 micrograms, 60 microgram, and 120
24 micrograms, 30 micrograms is the adult dose.

25 And, of course, a hamster is much smaller

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 than a person. The dose response in that study was
2 not very well characterized. They did report that
3 there was less of an impact on joint swelling after
4 infection at the higher dose, the 120 microgram dose
5 than at the 30 or the 60 microgram dose, which I think
6 is probably counterintuitive.

7 Clearly there could be dose related
8 effects, but how you would relate those between
9 hamsters and mice, and adult vaccination, is very
10 difficult.

11 CHAIR DAUM: Thank you. Does someone from
12 SmithKline want to deal with that? Is Dr. Lobet here?

13 DR. LOBET: We believe that the use of
14 such a high dose in hamsters is exaggerated, in a way,
15 because it would represent something like, if you
16 compare the body weight, 504 higher concentration than
17 what you would use in humans.

18 Further, when injecting the hind paws, you
19 are going to exacerbate an inflammatory process,
20 because in this location it is known that an
21 inflammation would take place. I mean, this site is
22 prone to severe inflammation.

23 We use one microgram in our studies
24 because we find this more relevant to the human
25 situation, and closer to the human situation, as you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have seen in the past, using one microgram of OspA was
2 the dose to approach the immune response seen against
3 OspA in humans.

4 And we thought using one microgram of
5 course would reduce the body weight, the
6 concentration, as compared to the hamster study.

7 MS. FISHER: It is interesting that there
8 is no dose adjustment for, you know, one day old
9 infants versus adults in hepatitis B vaccine, so there
10 is no dose adjustment there.

11 DR. ELKINS: There is probably another
12 point that should be reiterated about the hamster
13 study.

14 CHAIR DAUM: Go ahead.

15 DR. ELKINS: Which is that the recombinant
16 OspA used in that study was produced by the
17 investigators, it was not the LYMERix vaccine. And the
18 investigators stated that it was a non-lipidated
19 version of the protein.

20 Although that characterization data was
21 not included in the paper, and the technique used to
22 create the protein would have, from the description
23 given in the paper, been just as likely to produce a
24 lipidated protein. So there is some unanswered
25 questions of exactly what the injected recombinant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 material was, and how that might compare to the
2 LYMERix vaccine itself.

3 DR. SNIDER: Could I just ask a follow-up?
4 Did they use an adjuvant --

5 DR. ELKINS: Yes, they adsorbed it to one
6 percent alum.

7 CHAIR DAUM: Dr. Griffin is next.

8 DR. GRIFFIN: I just wanted to comment,
9 from an immunologic point of view we don't usually
10 adjust doses in the same way that we adjust drugs, by
11 weight. I mean, frequently, Ms. Fisher is right, the
12 same amount of vaccine is given to a very small
13 person, as to a large person. The same way with
14 animals.

15 DR. LOBET: Sure. But in the case of mice
16 we know that --

17 DR. GRIFFIN: In the case of mice.

18 DR. LOBET: In the study in the mice we
19 know that we get the same immune response in humans
20 with using one microgram.

21 CHAIR DAUM: Dr. Myers, then Dr. Ferrieri
22 please.

23 DR. MYERS: I have two questions. The
24 first one I would like to ask Dr. Ball. I know with
25 VAERS it is very hard to make a comparison of apples

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and oranges, and so on.

2 But there are 322 cases reported of
3 arthritis, arthralgia, or arthropathy. And there were
4 44 that reported a severe musculoskeletal diseases.
5 And the manufacturers told us that 1.4 million doses
6 of vaccine have been administered.

7 And I realize that the comparison I'm
8 going to ask for is not a valid one, but give us a
9 sense of perspective.

10 Could you tell us of another vaccine that
11 is directed at the same sort of age group, what type
12 of VAERS report do you get in the same areas? For
13 example, hepatitis B illuminating the pediatric
14 administration, or some other vaccine?

15 Is there some way you could give us a feel
16 for whether 322 and 44 is more than you would have
17 expected, or DT would be another vaccine.

18 DR. BALL: I can't give you the numbers,
19 I don't have that information. But I can tell you
20 that we did look at reporting rates, where reporting
21 rate is the number of events divided by an estimate of
22 the doses distributed, and compared the reporting
23 rates for various coding terms for LYMERix with
24 hepatitis B vaccine given to adults, and also flu
25 vaccine given to adults.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And what we see there is that for pretty
2 much every coding term the reporting rate is higher
3 for LYMERix. And then if you specifically look at the
4 coding terms for joint related symptoms, the relative
5 reporting rate, which would mean the ratio of the
6 reporting rate for LYMERix, compared with the
7 reporting rate for, say, hepatitis B vaccine in
8 adults, is also higher, and it is a little bit higher
9 than you see for non-specific coding terms, such as
10 flu syndrome.

11 But, as you are saying, there are a number
12 of caveats to those comparisons, specifically we know
13 that for newer vaccines there is more reporting, and
14 that is suggested by the higher overall rates for
15 LYMERix.

16 We also know that media reports can
17 influence reporting differently, for different
18 vaccines. And we know that age and gender differences
19 of vaccine recipients can also influence reporting.
20 And although we have tried to account for that by just
21 looking at reports in adults for hepatitis B and
22 influenza, we don't have age and gender distribution
23 for the actual vaccine recipients.

24 And it is probably different for people
25 who receive flu vaccines, probably older, and probably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a little bit younger for people who receive hepatitis
2 B vaccine.

3 So, overall, as a result we can't really
4 conclude that an increased reporting reflects a causal
5 relationship between the vaccine and the events for
6 which the reporting rate is increased.

7 But it does focus our attention on those
8 events. Now, in this case, we were already focusing
9 on the arthritis reports because of the theoretical
10 concerns. So it essentially reinforced that.

11 CHAIR DAUM: Thank you.

12 DR. MYERS: The second question I had
13 really had to do with a post-marketing studies, and
14 only 3,600, approximately 3,600 cases enrolled to
15 date.

16 And given the enrollment problems with the
17 fact that 1.4 million doses of vaccine have been
18 distributed, I wondered what the manufacturer's plans
19 were for trying to rapidly address the problem of
20 getting the data.

21 CHAIR DAUM: Yes, I would like to hear the
22 answer to that, as well. Does someone from the
23 manufacturer want to take that on? Dr. Kahn.

24 DR. KAHN: I think this is a good time to
25 call up Dr. Platt, in fact, to talk about that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 specific issue, if I may. And at the same time I
2 think it is fair to say the uptake of the vaccine is
3 low, and we've often pondered this ourselves.

4 And there are a number of factors that we
5 think of, is an adult vaccine a personal choice
6 vaccine, it is restricted by geographical and, indeed,
7 seasonal use.

8 And adults, unlike pediatric vaccine,
9 where there are recommendations and plan visits, is
10 quite a challenge to actually get the word out that
11 this is available, and have adults come in of their
12 own volition, and you see that.

13 And I think the negative press must have
14 caused the attitude. It is an obvious thing. So
15 maybe I can ask Dr. Platt about the plans for the
16 future.

17 DR. PLATT: Part of the resolution is the
18 addition of additional managed care organizations to
19 this study, which is already in train, so that the
20 cohort is actually two to three times larger than we
21 were able to report.

22 That is, we will have the data from the
23 beginning of 1999 for all three of the HMOs by the
24 latter part of this year. I do think that it is
25 important to recognize sort of what will exist at that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 point, because it is because the information you get
2 increases more or less as the square root of the
3 number of cases.

4 Roughly speaking 5000 cases gives you
5 about half the information that 25,000 cases will get.
6 That is not to minimize the importance of getting as
7 much information as possible.

8 But if, for instance we were at the end of
9 three years of recruitment to have twelve and a half
10 thousand cases, half the size we were expecting, we
11 would have something on the order of 80 percent of the
12 information that would come from a 25,000 member
13 study.

14 So there really are, I think, two ways to
15 approach this. One is to try to get the additional
16 information that is already entrained, available as
17 soon as we can. And for us that means later in this
18 year.

19 And then I think to evaluate what we see
20 in that. I think there would be -- I personally would
21 have a very different response to seeing no excess in
22 the immunized group versus a modest excess that we
23 can't distinguish from random noise.

24 And we should be there, I think, by the
25 end of this year. That would also, I think, be a time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 when we could evaluate the prospects for getting other
2 population based sources of information that might be
3 able to contribute to this, either to this study, or
4 a companion study.

5 DR. MYERS: Just a final question and I
6 will be quiet. I take it from the answer, then, that
7 the manufacturers are not planning on other studies,
8 it is a one study post-marketing plan?

9 And are there other investigators that are
10 going to increase the data base? Or is --

11 CHAIR DAUM: I'm not sure whether you are
12 talking about -- are you expressing dissatisfaction
13 that enrollment in this study is going slowly, or are
14 you asking --

15 DR. MYERS: Well, I was asking if there
16 were going to be other studies in addition, because
17 this one is going quite slowly.

18 DR. PLATT: I don't mean to speak for the
19 manufacturer on this. I will tell you that I have
20 looked, fairly diligently, for potential collaborators
21 who could contribute.

22 DR. MYERS: I didn't mean it critically.

23 DR. PLATT: No, I wasn't taking it
24 critically. I'm just telling you that as an
25 investigator who would like to see the study progress

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 more quickly, I have essentially on my own initiative,
2 but with the knowledge of the sponsor, enquired of
3 other potential participants.

4 And I'm unaware of any at this moment. It
5 may be that by next year others that could participate
6 would be willing to do it. But I have talked with, I
7 think, all of the investigators who would be in a
8 position to do this kind of work.

9 And they fall, basically, into two
10 categories. Those who work in environments where Lyme
11 vaccine is not used very much, and those who just
12 can't take on the commitment of doing the study at the
13 moment.

14 DR. O'FALLEN: I am not sure I agree with
15 the rather optimistic expressions of the kinds of
16 power that we have after getting only half, or perhaps
17 even only a third of the originally prescribed
18 studies.

19 The standard error of an estimate is
20 reduced by a factor of two only if you increased the
21 sample size by a factor of four. So you really, I
22 think, overstated what we will have available if we
23 don't get a fairly substantial proportion of the
24 original target.

25 And I'm not sure, as I said earlier this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 morning, that I believe that you will get even as big
2 a group as you think you are going to get, especially
3 from the Minnesota group.

4 CHAIR DAUM: Dr. Coyle, did you have your
5 hand up? Thank you, Dr. O'Fallen.

6 DR. COYLE: I actually had a question, and
7 I was wondering, in the cohort study do you feel very
8 confident that the problems similar are akin to what
9 the patients were testifying to here, would clearly be
10 picked up?

11 I'm wondering about the possibility of
12 including something like a new pain syndrome to make
13 sure that it is picked up. Do you feel confident that
14 all of these patients that if they were in an HMO
15 cohort, your HMO cohort would be picked up, would be
16 detected?

17 DR. PLATT: My belief is that we would.
18 We are providing to FDA a tabulation of all of the
19 ICD9 codes that are submitted, not just the ones that
20 are in that group that are called arthritis, and
21 musculoskeletal.

22 So in the event that these syndromes would
23 be coded outside those ICD9 codes, we would be able to
24 see that signal, and FDA reviewers would see it as
25 well.

1 So I expect that the kind of problems that
2 require many visits to a physician for that problem
3 are the kind that would likely show up as signals in
4 a claims data base, even with all the problems that
5 the claims data bases have.

6 Could I just return to the prior comment?
7 Because I didn't mean to disagree with your statement
8 about power. And I really do believe that recruiting
9 the full cohort would be a desirable thing to do.

10 I just want to be sure that we have a
11 common understanding that the information we received
12 is greatest for the first cases, and marginally less
13 for the later cases that are recruited.

14 We have preliminary counts from Minnesota,
15 and I think that I'm giving you a fair estimate of the
16 cohort size that we will have by the end of the year.

17 CHAIR DAUM: Thank you. Dr. Ferrieri, Dr.
18 Estes, Dr. Diaz, Dr. Goldberg.

19 DR. FERRIERI: Thank you, Dr. Daum. A
20 couple of brief comments, and then some sort of
21 suggestions with, hopefully, response from the
22 sponsors.

23 I chaired this committee in May of '98
24 when you presented data that led to our recommending
25 to FDA that the product continue in the process for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 licensure.

2 And you have heard everyone say that we
3 had many reservations, and they are in all the
4 documents that people have received. So I will not
5 reiterate them.

6 But they have surfaced today from many
7 people, and FDA knows what they are. And I think,
8 honestly, that the sponsor has attempted to obtain
9 data that would address our concerns.

10 But here we are, two and a half years
11 later, and really aren't much further along. So the
12 uneasiness that some of us had then, and there are at
13 least two people at the table, perhaps other than I,
14 who did participate on that occasion.

15 I think that the uneasiness then is
16 duplicated today, because the same questions persist.
17 And I'm worried that the clinical data are not going
18 to be forthcoming, that they may be inconclusive, that
19 is worse case scenario.

20 And because of the low uptake in receiving
21 the vaccine, that we may not be able to arrive at that
22 faster.

23 Now, it is quite possible that this is,
24 basically, a reasonable vaccine that fills a niche.
25 And at the time, you know, within five years, two and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a half years ago, there was great lay pressure and
2 enthusiasm for having this licensed, for the Lyme
3 vaccine to be licensed.

4 So the expectation was that we would have
5 those knowledge gaps filled, perhaps. But if we can't
6 then I think we have to get back to the drawing board
7 and try to attack this from a basic science point of
8 view, and we need more basic research to help
9 understand OspA, the gene, domains of the gene,
10 perhaps.

11 I don't pretend to understand whether the
12 epitopes for protection are different from epitopes
13 that may regulate unfavorable reactions, and
14 arthropathy, for example, or reactivation of
15 something.

16 And, lastly, we might learn from the
17 hamster model, perhaps, if we could manipulate the end
18 result protein from a genetic point of view, and
19 perhaps use the hamster model, we might be able to get
20 to some of these questions that would be applicable to
21 the human vaccination safety issues.

22 And earlier today we talked about the
23 mice, and the lack of data to examine the
24 administration of the OspA after vaccination. I'm
25 sorry, the OspA after experimental infection.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But from the hamsters we've learned that
2 the reverse is very intriguing as well, and that is
3 OspA vaccination followed by experimental infection,
4 that is out there for all of us, if we are exposed to
5 the borrelia bearing tick.

6 So I would like you to seek out and get
7 right to your corporate hearts and examine, how
8 strongly you are attached to this vaccine, do you want
9 it to be out there in the market? Because it is like
10 a stock that is losing interest, you know, is this
11 going to be the fate of Amazon.com?

12 I hope not, because you've put a hell of
13 a lot of money into this. But you need to know how
14 far do you want to go with it, how far are you
15 prepared to go to unravel some of these very basic
16 questions in addition to safety issues in human
17 vaccines.

18 CHAIR DAUM: Thank you, Dr. Ferrieri, I
19 think that was a very helpful comment for us all to
20 hear.

21 I would like to go on with Dr. Estes next.
22 Thank you.

23 DR. ESTES: I wanted some clarification
24 about what studies, if any, are actually ongoing to
25 look at the association between the HLA type and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 potential reaction to this vaccine.

2 We were told this morning that the
3 cellular immunity studies that have been completed
4 were exploratory, and they were of limited power. And
5 it is not clear to me that Dr. Platt's studies are
6 going to address that.

7 Are there other studies that we don't know
8 about that are planned, that are ongoing?

9 CHAIR DAUM: I'm going to ask the sponsor
10 to address that, but I would also like to hear from
11 FDA folks as to what they know that is going on that
12 may have nothing to do with the sponsor.

13 But let's hear from the sponsor first.

14 MS. HOWELL: I'm Barbara Howell from the
15 clinical research unit for Glaxo SmithKline in the
16 U. S. And I just want to make one point with regard
17 to the HLA typing pre-licensure.

18 You've heard, this morning, that there
19 were basically two studies in which HLA typing was
20 prospectively done. One of them was the lyme-008
21 study, which was the pivotal efficacy trial in which
22 the HLA typing was done in conjunction with the
23 cellular immunity study in a subset.

24 And as you heard, and as everybody agreed
25 this morning, those studies were largely exploratory.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 They don't support any association between arthritis
2 and HLA type, but they don't definitively refute.

3 The point I would like to make is that in
4 addition to that we know that in a large efficacy
5 trial which involved more than 10,000 subjects, half
6 vaccinated, and half placebo recipients, that study
7 was prospectively designed to look at a comparison of
8 musculoskeletal events, neurologic events in vaccinees,
9 as compared to placebo recipients.

10 And that based on the prevalence of the
11 HLA DR4 allele in the general population we know that
12 up to 30 percent of individuals then, both vaccinees
13 and placebo recipients, would carry the DR4 allele,
14 and that there was no increase in musculoskeletal
15 neurologic events.

16 We have been in discussions with the
17 investigators of a phase IV study to explore whether
18 or not we can look at HLA typing in the context of
19 that study. We were concerned about delaying the
20 start of the study proper because of considerations
21 having to do with logistics.

22 One of the proposals would be that we
23 could potentially look at HLA typing in vaccinees who
24 were exposed, and unexposed, who developed incident
25 arthritic conditions, but perhaps do that only if we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do determine that there is an excess in the outcomes
2 of interest, and that would be done further down the
3 line, in the context of that trial.

4 Otherwise we do not have any other plans
5 for HLA typing in humans.

6 CHAIR DAUM: Thank you, Dr. Howell. Would
7 someone from FDA like to speak to, do they know
8 whether anything is going on in this area? Dr. Ball?

9 DR. BALL: I just wanted to repeat what I
10 said during my presentation, that the FDA is
11 sponsoring a study. Initially it will be a survey of
12 people who have reported joint problems to VAERS, and
13 then once we obtain complete information on those
14 cases we will identify arthritis reports and conduct
15 a case control study comparing people who report
16 arthritis after lyme vaccine, with people who report
17 arthritis after other vaccines to VAERS, as well as
18 people who report adverse events, other than arthritis
19 after LYMERix to VAERS.

20 And in that study we intend to do high
21 resolution HLA typing of all the cases and controls,
22 and to compare the prevalence of rheumatoid arthritis
23 associated HLA alleles in those groups.

24 We also propose to look at t-cell
25 reactivity to OspA and LFA1 in those -- in the cases

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the control groups.

2 DR. FERRIERI: How many numbers do you
3 project? I was confused, Dr. Ball, about this case
4 control study. What are the projected numbers?

5 DR. BALL: Well, we know right now that we
6 have about 133 reports of arthritic conditions in
7 VAERS. We don't know how many of those will actually
8 pan out to be true cases of arthritis.

9 So once we do our survey and obtain that
10 complete information we will be able to identify the
11 number of cases, and then we would match that with the
12 different control groups.

13 My sense is that we will have something
14 less than 100 cases. And so that the study is likely
15 only to detect a fairly large effect at points
16 present.

17 CHAIR DAUM: Does anybody know whether the
18 -- thank you, Dr. Ball. Whether the NIH is interested
19 in this? Because it sounds like it is some pretty
20 basic immunology and microbial genetics to be done
21 here.

22 And I wonder, does anybody know whether
23 that has been declared to be a funded area for someone
24 to be working on? If not we should probably get a
25 sense from the committee that we think it is a pretty

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 important knowledge gap, and that we would like -- we
2 appreciate the efforts of the sponsor and FDA, but
3 would also like NIH to get to work on this as well.

4 Dr. Diaz, I think you are next.

5 DR. DIAZ: Dr. Ball answered one of my two
6 questions, so thank you, I might come back to you
7 later with a couple of other questions about the case
8 control study.

9 But the other question that I had was in
10 regards to the studies that are ongoing now, and your
11 large HMOs. And we've had discussions today, in
12 particular, and I likewise am concerned about the
13 utilization of ICD9 codes, and what gets coded,
14 etcetera.

15 I was just curious if any of the HMOs that
16 are participating are going to participate in this
17 study, by any chance, have any computerized data such
18 as chief complaint, or triage data that could be
19 looked at in addition, to try and mine for effects,
20 perhaps, that may be associated with vaccination?

21 DR. PLATT: HMOs are largely quilts these
22 days, made up of a variety of delivery systems.
23 Harvard Pilgrim includes a multi-specialty group of
24 about 250 to 300,000 that has a fully automated
25 medical record.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And so those individuals are included in
2 the data that I showed you. There we are not limited
3 to the number of -- to the number of diagnosis allowed
4 on a claims form. We search all the diagnosis that
5 are used there.

6 So we have, essentially, the full
7 automated medical record in that case. And health
8 partners also has a more limited, a fraction of the
9 health partners population I understand also has full,
10 has automated medical record capabilities.

11 So for something on the order of 20
12 percent of the population that we are describing,
13 there is more than just billing data that is
14 available.

15 DR. DIAZ: If there is, that data might be
16 useful to look at as a subset. Just to compare chief
17 complaint and final diagnosis in terms of validity.

18 DR. PLATT: So we can subset that out,
19 understand that we are, at the moment, looking at
20 serious conditions as manifest by hospitalization
21 there are very few events. So it will still be very
22 few events if we look at a subset.

23 DR. DIAZ: I'm sorry, I misunderstood. So
24 the data that you have, in terms of full medical
25 record, is only for hospitalized patients?

1 DR. PLATT: No, I'm sorry, I didn't say
2 that well. We do have, now, the full medical record
3 data is only for the ambulatory care. That
4 information is included in the data we gave you, and
5 we can subset that out.

6 DR. FERRIERI: I'm from Minnesota but
7 don't know health partners well enough to know how far
8 along they are. But it would be my assumption they
9 have a centralized data base now from all of their
10 hundreds of clinics you would have everything feed
11 into a central center, then, Rich?

12 DR. PLATT: We have access to all the data
13 that health partners has, centrally. But health
14 partners has a substantial part of health partners, I
15 understand, about two thirds of it, is physicians
16 basically in separate practice who don't have
17 automated data.

18 So I think they too have sort of a two-
19 tiered data quality configuration, in much the way
20 that Harvard Pilgrim does for 20 percent of our
21 population we know enormous amounts of information.
22 And for the rest we have billing data, and my
23 understanding is something like that is true for
24 health partners.

25 CHAIR DAUM: Dr. Goldberg, please.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GOLDBERG: Dr. Platt, the question
2 that you just answered about the 20 percent of the
3 population with complete data --

4 CHAIR DAUM: Would you speak into the
5 microphone?

6 DR. GOLDBERG: The 20 percent of the
7 population with complete data would speak to the kinds
8 of questions that were being asked this morning for a
9 substudy to compare the diagnosis there with their
10 billing diagnosis, and then compare that to the total
11 population.

12 You also said that 14 percent of your
13 population turns over yearly at the Harvard Pilgrim.
14 From the kinds of discussion that we heard this
15 afternoon, if the complaints, or if short shrift is
16 given to the complaints, these people might be more
17 likely to leave the system.

18 I mean, have you thought about -- you
19 talked about the fact that you would be unlikely to
20 miss a diagnosis, a code that was recurring, or if
21 somebody kept coming back, even if it wasn't in the
22 first few visits it would be in a later visit.

23 If the patient was to be told this is not
24 something we are going to deal with, which I'm hoping
25 doesn't happen, you could lose that patient to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 system, completely.

2 Have you got some ideas about how you
3 might address those sorts of issues?

4 DR. PLATT: I can say, as a general
5 phenomenon, the member satisfaction data suggests that
6 he members, in fact, by and large are very satisfied.
7 And the turnover is actual bimodal. That is there is
8 much more rapid attrition for new members, and then
9 much lower attrition for members who have been -- for
10 individuals who have been members for three years or
11 so.

12 Much of that change in membership has to
13 do with employee's decisions about the insurance
14 company --

15 DR. GOLDBERG: I understand that.

16 DR. PLATT: So it is a complicated
17 business to understand. And I think what we can do is
18 provide basically sort of a life table analysis of the
19 duration of membership after immunization, and even
20 the number of visits after immunization, which I think
21 would give us some sense of whether people are leaving
22 soon after they are immunized, or whether they
23 continue to have encounters for other diagnosis.

24 DR. GOLDBERG: I have a question for the
25 sponsor. Given that the vaccine is --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR DAUM: Dr. Goldberg, I have a number
2 of names lined up here, but why don't you go ahead.
3 But let's try -- Dr. Goldberg will go, then Dr.
4 Stephens, Dr. Luft, Dr. Manley.

5 DR. GOLDBERG: For the sponsor. I mean,
6 given that the vaccine is really not out there
7 massively, have you considered some kind of
8 registration with each immunization so that you had
9 developed a registry of vaccinated individuals who
10 then might be able to be used for case control study
11 that could be completed more rapidly than the kinds of
12 things that you are involved in now?

13 DR. WEADON: While we are deciding on
14 someone else to respond to this, I want to come back,
15 I will answer that question, but I also want to
16 address an issue that was raised just a little bit
17 earlier.

18 And that is that we are no further along
19 than we were two years ago at the time of licensure.
20 I think we need to remember that as Dr. Francoise
21 Meurice, and Dr. Bernard Hoet have shown, our overall
22 control safety data base has doubled from the time of
23 licensure.

24 So we've added -- we've had a doubling of
25 that control safety data base. Additionally, as

1 you've heard from Dr. Platt, we've enrolled in the
2 phase IV study, albeit not at the rate we would like
3 to see, some 2,000 enrollees, actually 3,000, we don't
4 have all the data for that additional.

5 We've heard from the post-marketing
6 adverse experience data base that that, given the
7 considerations outlined by Dr. Ball, is one that is
8 aggressively and continually reviewed.

9 So it is not that we have not progressed
10 from where we were two years ago, we have progressed.
11 And the questions have been asked, over and over
12 again, and the answers have, to date, been
13 consistently the same.

14 That the adverse event profile that we saw
15 pre-licensure, have been corroborated in all of the
16 various domains in which we've asked the question.

17 However, the effort has not stopped. We
18 will continue to look very carefully at how we can
19 enhance the accrual into the phase IV study. We have
20 not, to my knowledge, looked at a patient registry
21 situation. And my colleagues here are shaking their
22 heads, that that is not something that we have
23 considered to date.

24 So that is not something we have discussed
25 with the agency at this time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR DAUM: Thank you. I think that you
2 are hitting on an important issue that the committee
3 is shortly going to be asked to address. And that is
4 that in their view, the committee's view, do they feel
5 that the safety profile at the time of licensure, and
6 the safety profile now, have changed in a way that
7 should concern us.

8 And has it, or hasn't it, or do we know?
9 And I think those are the kinds of data or opinions,
10 at least, that the FDA would like to hear from us
11 about. And there will be a couple more things that I
12 will charge you with shortly to comment on.

13 But I would like to hear from Dr.
14 Stephens, then Dr. Luft and Dr. Manley.

15 DR. STEPHENS: I would like to follow up
16 on a point that Dr. Ferrieri raised a minute ago about
17 basic mechanism of this vaccine, which I still don't
18 understand.

19 Can you clarify, can the manufacturer
20 clarify the issue of how you think this vaccine works?
21 The data suggests that it neutralizes OspA in the tick
22 as the basic mechanism. But I have trouble with that
23 particular, that that is the only mechanism.

24 And secondly an issue we raised this
25 morning about the lipo protein component of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 vaccine, what is the lipid, can you clarify that, are
2 there any evidence that antilippid antibodies,
3 cartiolithen, for example, are produced in response to
4 this vaccine?

5 PARTICIPANT: To answer your second
6 question we have no evidence of that, indeed, we don't
7 know that.

8 DR. STEPHENS: I'm sorry?

9 PARTICIPANT: To answer your second
10 question we don't know that.

11 To answer your first question you
12 mentioned --

13 DR. STEPHENS: I'm sorry, you haven't
14 looked at the lippid that is contained in this
15 vaccine?

16 PARTICIPANT: If we have looked in the
17 lippid, at the lippid?

18 DR. STEPHENS: What is the lippid portion
19 of the protein.

20 PARTICIPANT: Those are palmodic acid at
21 the interminus of the protein through its natural
22 processing. This is a mechanism that is very common
23 through, in many bacterial proteins. This is during
24 the process.

25 DR. STEPHENS: What is the lippid

1 component of the vaccine, structural?

2 PARTICIPANT: Structurally those are
3 palmodic acids, three palmodic acids at the end of it.

4 DR. STEPHENS: And the e coli vector puts
5 those on in the same way that borrelia does?

6 PARTICIPANT: Yes. This post-transitional
7 modification is something that is common to many
8 bacteria.

9 DR. STEPHENS: I appreciate that, but
10 there is a lot of difference in how bacteria may
11 attach certain fatty acids to their proteins.

12 PARTICIPANT: I agree. We have checked,
13 and the profile, the lippid profile of the protein
14 producing e coli is similar to the one observed in the
15 protein produced by borrelia.

16 DR. STEPHENS: So the lipid portion of the
17 protein is the same as that produced by borrelia?

18 PARTICIPANT: Yes.

19 DR. STEPHENS: Now, the follow-up question
20 has to do with any evidence of antilippid antibodies
21 produced by the vaccine.

22 PARTICIPANT: We will look at that.

23 CHAIR DAUM: You've not looked at that?

24 PARTICIPANT: No. The first question you
25 asked was about the mechanism --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. STEPHENS: The expert, presumably the
2 tick, the OspA in -- and that data, I think, goes back
3 to the '92 study looking at immunofluorescent data in
4 ticks with or without the vaccine.

5 Is there any other follow-up data to talk
6 about how this vaccine works?

7 PARTICIPANT: Well, all the more recent
8 data still confirm that the mechanism, as it was
9 described at that point, and you have to take into
10 account two aspects. The first is that OspA is
11 expressed when borrelia is in the midgut of the tick,
12 that is one.

13 And so when the tick ingests some blood,
14 or some serum containing anti-OspA antibodies, it
15 could be killed, borrelia would be killed within the
16 tick midgut.

17 This is one point. Now, all the clinical
18 experiments that have been conducted since then, using
19 direct challenge experiments, show that you will clean
20 the ticks from their borrelia infection when they feed
21 on animals that have been immunized with OspA. Does
22 this answer --

23 CHAIR DAUM: I think so. We are going to
24 move on. There is three more people lined up on the
25 question list, and then I'm going to begin the process

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of eliciting some summation comments from the
2 committee., based on this discussion.

3 Dr. Luft you are next, then Dr. Manley and
4 Dr. Diaz.

5 DR. LUFT: I just wanted to comment about
6 the lippidation. That the actual lipoprotein, the
7 fact that it is lippidated does almost act as a
8 mitogen, and it gives a whole host of other -- so, I
9 mean, that is --

10 In a way I feel like I'm almost in a
11 twilight zone when we are talking about surveillance
12 and these adverse events, and I forgot the name of the
13 -- one of the vice presidents from Smith Kline.

14 What disturbs me is that in the SmithKline
15 presentation there were 950 adverse events. There was
16 a nice presentation of that. And this afternoon we
17 heard testimony from 20 individuals of 20, of
18 approximately 20 people who had very significant
19 adverse events.

20 And the disconnect for me is I'm hearing
21 that, and I'm seeing that data, and I don't see any
22 reflection of one to the other as if we were in two
23 different universes.

24 I'm not ascribing what the validity is to
25 these complaints. Certainly I was moved by it. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the fact of the matter that it didn't even enter into
2 the discussion, or into the charts, or the tables, is
3 disturbing.

4 And there is some problem in the actual,
5 the adequacy of the surveillance that is currently
6 going on, in that we are not seeing that data in the
7 company's presentation.

8 And it goes back to my original point
9 about the ICD codes. I think in this particular
10 situation, where you may have an Amazon.com, you have
11 to be able to get assurances, you have to be able to
12 feel secure, you have to make sure that actually there
13 is a very active surveillance system that is going to
14 out, that is going out and actually pulling in these
15 types of cases.

16 And I think that that is something that we
17 have to consider. I don't think the idea of a passive
18 type of system, or a system that is going to take
19 three to five years to kind of figure out whether we
20 had an adequate power, or whether we had an adequate
21 input of the right information, or whether we were --
22 whether we cast a wide enough net will really be
23 adequate.

24 And I invite the sponsors to give me some
25 insight as to why there seems to be this discrepancy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But, in a way, I think I'm just restating the obvious.
2 This is -- I mean, I can't --

3 DR. MANLEY: That is my concern,
4 specifically, so if I can speak now, because it is the
5 same question.

6 CHAIR DAUM: Why don't you, and then we
7 will get an answer for both questions from the
8 sponsor.

9 DR. MANLEY: I echo that concern, and had
10 a couple of questions which, I guess, this could help
11 us.

12 How can the manufacturer, or is it the FDA
13 assure the committee that we know what the physicians
14 are doing, and saying to patients, and what kind of
15 information patients are getting before they agree,
16 because since it is not an active surveillance system,
17 how can we be assured, with some degree of comfort,
18 that patients know what some of the side effects, or
19 some of the things that are being reported about the
20 vaccine, before they get it?

21 And that is really tied to the other
22 question about how can we assure that we have better
23 more active surveillance now that the vaccine has been
24 approved.

25 CHAIR DAUM: We will ask for a bicameral

1 response. We will hear from the sponsor, and then I
2 think we should hear from the FDA about this, also.

3 DR. WHEADON: First of all let me say that
4 we, as a manufacturer of pharmaceutical products and
5 vaccines, take any report of an adverse event on any
6 of our products, seriously.

7 And certainly the things that we heard
8 today we take seriously. That is notwithstanding we
9 have to also understand that the way the post-
10 marketing reports surveillance system works in this
11 country, not just for LYMERix, but for all vaccines,
12 for all drugs, you do not in how these things are
13 collected capture the emotion that we heard here
14 today.

15 I'm not saying that that is belittling, or
16 minimizing what we heard. But the way the system is
17 you take the sort of emotion and the gestalt, and the
18 stories that we heard, and you have to then transfer
19 that into event terms like arthritis, like arthrosis,
20 like congenital deformities in the case of whatever.

21 It all goes into a data base where you do
22 your analysis as objective, and as scientific, and in
23 as rigorous a fashion as possible, to discern whether
24 or not there is, indeed, a signal.

25 And that is something that you've heard

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Dr. Ball talking about, that is something you heard
2 Dr. Hoet talk about, and that is something that we do
3 on a daily basis.

4 So the fact that what we present on the
5 screen did not carry the same weight, emotionally, as
6 what you heard today, I can't give you a better
7 explanation than what I've just given you.

8 But I can assure that any and every report
9 that we are made aware of is captured and included in
10 the analysis that we presented to you today.

11 CHAIR DAUM: Does anyone from the agency
12 want to comment on these two questions? Dr.
13 Ellenberg, Dr. Ball?

14 DR. KEITEL: Yes, I just want to make a
15 specific comment. One of the difficulties we have
16 with VAERS is we often get incomplete information. So
17 one of the specific reasons we are doing a follow up
18 survey focused on reports of joint problems, is to get
19 complete information, both from patients and from
20 their medical records, in the hope of capturing more
21 of the information about exactly the course of the
22 adverse events that are being reported.

23 DR. MANLEY: My question really related to
24 before the adverse events. I am still concerned about
25 what level of information is transmitted to patients,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and how can we be assured that they are getting the
2 information they need prior to the immunization?

3 And can anyone answer that question?

4 DR. MIDTHUN: There are a number of
5 different things that we can do. I mean, we obviously
6 start with having the package insert or the label.
7 And I think we've heard a lot of discussion today
8 about things in the label that could likely be better
9 addressed.

10 And we have communicated with the sponsor,
11 and asked them to address certain issues that have
12 arisen since licensure, and as they indicated, they
13 are working on that, and we are awaiting their
14 response shortly, because obviously this is a very
15 important issue.

16 I think that the label, itself, is
17 primarily designed for physicians. There is a section
18 in the precaution that says patient information. But
19 it is more information that the physician is given to
20 relay to the patient.

21 And I think that one of the things that we
22 can consider are other avenues such as patient package
23 inserts, or med guides, or other sorts of things to
24 get information directly to the patient.

25 And I think that, you know, we invite

1 comment on that in the discussion.

2 CHAIR DAUM: Over and above the package
3 insert maybe Dr. Snider might comment, the CDC and the
4 American Academy of Pediatrics have developed little
5 lay language information sheets for vaccines. I have
6 no idea, does such a thing exist for the lyme vaccine,
7 and is it routinely deployed and available?

8 DR. SNIDER: There is a vaccine
9 information sheet prepared for most of the childhood
10 vaccines. I'm not aware of a vaccine information
11 sheet that is used for lyme. Is there one?

12 DR. MIDTHUN: I think there is one.

13 DR. MIDTHUN: I didn't see one in our
14 package.

15 DR. ELKINS: At the time of licensure we
16 were asked to comment on a CDC draft of one, and did
17 so, and it was my understanding that it was proceeding
18 through the vaccine program office. But I confess I'm
19 not quite sure of its ultimate fate.

20 CHAIR DAUM: Well, I think the committee
21 is going to suggest that the word go out that we think
22 that should be prepared quickly, and deployed fairly
23 aggressively to people who are about to be immunized.

24 DR. FERRIERI: I must say that in my
25 experience it is uncommon for physicians to read

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 package inserts of drugs, or vaccines, and they are
2 depending on what their nurses may say and read.

3 But I would never rely on a patient
4 hearing from a physician who has read the package
5 insert, and all the details. You can get all this
6 information off websites, and it is voluminous data,
7 and at submicroscopic level of reading it isn't easy
8 to get through all of it.

9 You have to be very, very motivated to do
10 that, in my opinion.

11 DR. MANLEY: I agree, and that is the
12 basis of my comment. That this is intended for the
13 physician and not the patient. And if a patient has
14 to sign that they have read the material prior to
15 receiving the vaccine, you have a completely different
16 situation in your hands.

17 CHAIR DAUM: At least in part. Dr. Diaz,
18 you have been patient.

19 DR. DIAZ: Well, likewise I would just
20 second that a vaccine information statement could be
21 very useful in a setting like this, for patients.

22 I had two comments. One was something
23 that Dr. Goldberg brought up that actually I was --
24 when I commented on this, the plan case control study
25 that I was wondering, also, I know there are many

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 states that are developing vaccine registries, and I
2 think Maine is one in particular, and I don't know
3 about the rest of the East Coast, and at what level
4 they have done so, nor whether adult vaccination is
5 really entered into that.

6 But I bring that up as a potential if such
7 exists that one might be able to, very quickly,
8 identify larger numbers of individuals who have been
9 vaccinated, and perhaps add them, or work with them in
10 a differently, perhaps, study.

11 The one comment that I wanted to make that
12 I guess is really disconcerting to me, in a sense, is
13 that we don't really have any background population
14 base data, that I'm aware of, regarding some of the
15 findings that are being reported by individuals in
16 association with this vaccine, and how they occur in
17 populations regardless of vaccination, ie, rheumatoid
18 arthritis, or transverse myelitis.

19 I recognize the difficulty with some of
20 these diagnosis, and arthritis, as an example, putting
21 all arthritis together, is -- which may be multi-
22 factorial, could be a problem.

23 And yet it is still very disconcerting to
24 me that the only thing, the closest I think I came to
25 seeing anything suggestive of knowledge of the general

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 population was when someone made the comment we would
2 expect to see more women than men reporting rheumatoid
3 arthritis, and that was the closest we came.

4 I don't know if the data exists, or how
5 poor the data perhaps is. But, additionally, not
6 having that information, and not having that
7 information age stratified makes trying to sort this
8 out really difficult.

9 DR. BALL: We have tried to look at
10 background incidents for the arthritic conditions.
11 And, as you are suggesting, there is not much data,
12 only really for rheumatoid arthritis is there some
13 population base data, and even that is fairly limited.

14 And as you've also just alluded to, we
15 have the additional problem of not knowing the age and
16 gender distribution of vaccine recipients, which both
17 of those factors influenced the incidence of
18 rheumatoid arthritis.

19 And then there is a number of other
20 limitations in trying to apply that to sort of observe
21 versus expected analysis of the reports that we
22 receive.

23 DR. DIAZ: And I agree, and I kind of
24 expected that answer, and I guess when we talk about
25 things that might be done, it seems so many times we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are sitting here, or other places, with the same kinds
2 of questions, you know, how much of this is occurring
3 in the general population, vaccinated our
4 unvaccinated.

5 And if there was any way to quickly try
6 and identify that information in some form or manner,
7 again, I realize it won't be pure, but that might be
8 very helpful in the long run.

9 CHAIR DAUM: Thank you, Dr. Diaz. I would
10 like to -- did you want to make one last comment?

11 DR. O'FALLEN: Well, pertaining to this
12 issue we certainly have the age and sex distribution
13 of the over 10,000 subjects who participated in the
14 pivotal study.

15 And I asked exactly this question this
16 morning, what was the expected numbers, and obviously
17 they didn't know. And clearly the rates for
18 rheumatoid arthritis are available for several
19 different kinds of populations, and that could easily
20 have been assessed.

21 And pertaining to the disconnect, a number
22 of the people that we heard from today said they
23 participated in that clinical trial, and the adverse
24 effects that they reported were never allowed to be
25 reported in that clinical trial.

1 We had a very small subset of those people
2 in which adverse events were systematically sought
3 out. That has been very disturbing to me throughout
4 the entire discussion.

5 CHAIR DAUM: Thank you very much. I want
6 to take Dr. Estes question, and then I'm going to pose
7 some scenarios for the committee, and ask for some
8 comment from each member. Dr. Estes.

9 DR. ESTES: Well, I think this is a
10 vaccine that is used in some very specific areas, and
11 we've heard comments today from people who feel
12 they've had an adverse event from taking the vaccine.

13 What we haven't heard, and maybe this is
14 not something that is normally done. But there must
15 be data on practices, or specific physicians who use
16 this vaccine.

17 And this question came up because I
18 recognized a physician in the audience who recognized
19 some complications with a previous vaccine. And that
20 physician, themselves, actually brought this forward
21 and it turned out to be a real event.

22 Are there physician comments, are there
23 physicians that are very happy in routinely giving
24 this vaccine, and they just don't see a complication
25 with it? Are some of these complications when we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a new physician in a new area, perhaps a patient that
2 goes to the physician and for the first time asks them
3 to give the vaccine.

4 Are some of these events occurring in
5 those isolated areas where there might be another
6 reason of why there is a problem?

7 CHAIR DAUM: Well, I'm a pediatrician
8 living in a pretty lyme-free area. So maybe I will
9 ask Dr. Datwyler to comment on this.

10 DR. DATTWYLER: Well, one of the things
11 that strike me, and I will answer indirectly, is that
12 what we are talking about we didn't see it in the
13 10,000 initial study. A big problem.

14 But if something is fairly uncommon it
15 would slip through. And the highest incidence of this
16 disease is from Rhode Island to Maryland. And that is
17 not what is being looked at.

18 And I think that there are many physicians
19 in those regions that have probably given a lot of
20 vaccine, and that is probably where the bulk, that is
21 where the bulk of the disease is, that is where the
22 bulk of the patients who receive the vaccine is.

23 Why don't we encourage a large active
24 study to get to these -- get enough power to answer
25 the question that really needs to be answered, is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there a problem, is there a low event but a bad thing
2 happening out there that we have to know about.

3 And none of the data, to this point, tells
4 us that. And I totally agree with you that there are,
5 probably, physicians who have vaccinated hundreds of
6 people in these endemic areas, and shouldn't they be
7 the ones that are the targets of a very active study,
8 and you can figure out, in their practices, if you can
9 match them with the vaccinated population, and get on
10 with the study and do it.

11 CHAIR DAUM: Thank you, Dr. Datwyler.

12 DR. SNIDER: Dr. Daum, could I clarify the
13 issue about the vaccine information sheet?

14 CHAIR DAUM: Certainly.

15 DR. SNIDER: We went out and checked on
16 it. For people who are not familiar, there are
17 vaccine information sheets that are required to be
18 developed in relationship to the vaccine compensation
19 program. And so those vaccine information sheets are
20 official, and are really required that physicians use
21 them.

22 But there is a vaccine information sheet
23 that has been developed for LYMERix. And even though
24 it is not an official one, there is one available.
25 And perhaps it needs to be more widely used.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I don't have any information about how
2 widely promoted and used it is, but one does exist.

3 CHAIR DAUM: You may hear, in the
4 comments, as we go around, that people would like it
5 put out pretty aggressively by CDC, and made known
6 that it exists, because it sounds like people didn't
7 necessarily know that it does.

8 I would like to try to move to another
9 phase of our discussion now, and see if we can do
10 that. And that is to deal with the FDA's discussion
11 issue. And to refresh everybody's memory is to please
12 discuss the safety data and the plans for continued
13 safety evaluation of the lyme disease vaccine.

14 And I would like to make a couple of
15 focusing comments before we call on members to make
16 their own comments.

17 And that is that we had, as Dr. Ferrieri
18 articulated beautifully, a safety profile view of this
19 vaccine several years ago at the time our opinion was
20 being sought prior to licensure.

21 And I think the question, as I understand
22 it, that the FDA would like us to think about, has
23 your view of that view changed? In other words, is
24 the safety profile we are hearing about today, in
25 aggregate, both from the manufacturer, and from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 FDA, and from the reports from people who journeyed
2 here to talk to us, has something changed? And if so,
3 what kind of response should be made toward that
4 change?

5 In there you heard considerable detail
6 about programs that have been put into effect by FDA,
7 by the sponsor, to continue to gather safety data. In
8 your view, are those adequate? Are there enough
9 things in place to capture the information you believe
10 we need?

11 Dr. Midthun asks us to also extend this to
12 the package insert, irrespective of your views of who
13 reads it. Do we need to revise it, is it adequate, is
14 it disclosing sufficiently?

15 WE've heard comments that could be
16 reiterated as we go around the table. There is,
17 obviously, a lot of basic science missing. I don't
18 think it is the sponsor's sole prerogative to provide
19 that basic science, but this committee is well
20 situated to make a statement that we need it.

21 What do we need? Let's hear it.

22 And finally, I've heard a couple of calls
23 for active surveillance of vaccine side effects. That
24 is quite an undertaking. And if you really mean it,
25 when it gets to be your turn give us a sense of how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 would you do that, and how would you gather data like
2 that, who would pay for it, and how would the data be
3 analyzed and collated.

4 Other things that people wish to sort of
5 raise and reflect on as we go around are welcomed as
6 well. And to be, variety is the spice of life, so we
7 are going to start today with Dr. Estes and go around
8 to our membership this way. Thank you very much. Dr.
9 Estes.

10 DR. ESTES: Well, I was not at the
11 Committee meeting when the vaccine was originally
12 licensed, but I think I'm struck by several things.

13 First I think that Lyme Disease is an
14 important disease. I think it's a disease where a
15 safe vaccine could be very important to our
16 population.

17 I think that this may be a safe vaccine,
18 but I think my bottom line when I look at everything,
19 and I look at what the recommendations were by the
20 Committee made two years ago, my assessment is that we
21 haven't come too much further past beyond those in
22 terms of answering the questions that the Committee
23 wanted to have answered two years ago.

24 I personally have some questions about how
25 some of the studies were stratified relative to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 previous self-reporting versus Western Blot data.

2 That's not an area where I'm an expert but
3 I would like expert people to really look at that
4 carefully from some of the original studies.

5 I found that the studies on the cellular
6 immunity not to be convincing and I think additional
7 studies need to be done.

8 The studies that were done in the mice did
9 not address, for me, any issues relative to whether
10 this vaccine does or does not exacerbate infection
11 with lyme disease.

12 I think the pregnancy registry was a start
13 but it's certainly not complete and I didn't come to
14 any conclusions with regards to that. I think the
15 VAERS data is very important but we certainly heard
16 all of the limitations of that data.

17 I think the follow-up studies there are
18 extremely important and need to be done. I'm
19 concerned about the Phase IV Study. I think
20 everybody's heard really the specific concerns about
21 what -- where we get the data.

22 I don't think it's coming fast enough and
23 I think other studies really need to be designed to
24 look at the safety of the vaccine.

25 CHAIR DAUM: Thank you very much. We're

1 off to a good start. That was very well articulated.
2 Ms. Fisher, please.

3 MS FISHER: Well, as the consumer member
4 of the Committee, I want to thank the members of the
5 public for coming here and telling what happened to
6 them and to someone they loved after being vaccinated.

7 I know how hard it is to do that in this
8 kind of forum and if I had been in the audience I
9 would have applauded too to give you moral support.

10 Last night as I was reviewing the
11 information we were given on Lyme Disease and Lyme
12 vaccine, it became more apparent as I kept going
13 through it, that it was a different disease, different
14 vaccine, same story.

15 The reluctance, or the willingness of
16 industry and doctors to write off adverse events
17 following vaccination as coincidental, is widespread,
18 and it absolutely impacts on the vaccine adverse event
19 reporting to -- to VAERS.

20 At the National Vaccine Information Center
21 after 19 years of receiving vaccine adverse event
22 reports, the number one high risk factor that we have
23 identified, is doctor's continuing to vaccinate in the
24 face of clear adverse event symptoms.

25 And some children are literally vaccinated

1 until they die or are brain damaged because doctors
2 are unwilling to recognize that an event is -- is
3 connected to the vaccine.

4 The second high risk category is
5 vaccinated with the coinciding viral or bacterial
6 infection. And the third is vaccinated individuals
7 who have a strong family history of autoimmune
8 disease, particularly Rheumatoid Arthritis, thyroid
9 disease and other kinds of autoimmune disorders.

10 And I found it very interesting that there
11 has been an identification, a potential
12 identification, of a genetic factor, with regard to
13 this vaccine.

14 I support better labelling by the
15 manufacturer what is known now regarding reported
16 adverse events and also the moving of some of these
17 from a precaution to a contraindication, particularly
18 with regard to vaccinating individuals who had --
19 have had previous Lyme Disease or have had symptoms of
20 Arthritis, etc. after vaccination.

21 And, certainly basic science research,
22 FDA-driven basic science research, particularly into
23 antigenetic predisposition to adverse response to
24 vaccination and then, of course, active surveillance
25 of the vaccine adverse events that are being reported

1 around the country.

2 CHAIR DAUM: Is your view that the basics,
3 that the safety profile of the vaccine has changed,
4 though, since we heard it two years ago, or is this an
5 ongoing concern of yours about the same?

6 I need a sense of -- that what you're
7 feeling is about the change.

8 MS. FISHER: Well, since I was not on this
9 Committee when the decision was made all I can say is
10 that looking at what little I know about what the
11 Committee looked at then, this appears to be a
12 continuing problem that -- that is simply magnified
13 now over -- over time, and that it cannot be
14 dismissed.

15 We cannot continue to dismiss these as
16 coincidental events, when we continue to have the
17 patterns, and they are clear patterns, and I found --
18 the reason I made the statement I did is that I found
19 that this -- this is the same with regard to other
20 patterns that have been seen after vaccination.

21 And, of course, a really good lesson that
22 we learned was with DPT Vaccine. Those patterns were
23 found to be correct because now we are seeing far
24 fewer reactions to DTAP than we did to DPT.

25 And so that experience, that anecdotal

1 evidence that was presented, has been shown to be
2 correct with the lessening of the symptoms after DTAP.

3 CHAIR DAUM: Okay. Thank you for
4 clarifying. Dr. Diaz.

5 DR. DIAZ: Dr. Estes covered a lot of
6 the comments that I was going to make actually.

7 I, likewise, was not here initially and
8 yet, based on the materials that have been provided to
9 me, and the information set forth, based on the
10 studies and analyses done so far to date in my mind
11 the safety profile of this vaccine hasn't changed
12 significantly in terms of the data from when it was
13 presented for licensure.

14 That having been said, perhaps that's --
15 I also tend to agree that there's not enough data,
16 though, to say that it won't change in -- like this
17 Phase IV Studies that are currently being done I don't
18 feel that, based on the enrollment, that there is
19 enough data there to -- to really make a statement
20 along those lines from the standpoint of -- of the
21 safety.

22 So I'm actually sitting in a position
23 where I -- almost doesn't matter whether I was here
24 two years ago or here today, I feel like the
25 information is fairly comparable, in a sense.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And, yet, some of the extra data that's
2 been presented -- like the mouse model data, I didn't
3 think was really -- answered any of the questions
4 about autoimmunity in particular.

5 And I'm not sure that projected studies
6 will necessarily answer all of the questions that have
7 been raised, likewise.

8 I would be very much in support of further
9 educating the public, certainly, and physicians
10 regarding information about the vaccine; who should be
11 vaccinated and who should be considered for
12 vaccination.

13 Likewise, I would encourage the FDA to
14 work very hard with the sponsor to address some of the
15 concerns, perhaps such as HLA typing, prior
16 vaccination, etc. and work out some way to -- to at
17 least inform people of those concerns, albeit them not
18 proven at this point in time.

19 And finally, I again raise my concerns
20 over the enrollment issues with the studies and it's
21 disconcerting that we -- certainly not from any lack
22 of effort, obviously, on the sponsor's part to do so.
23 It's just that the numbers aren't there and yet the
24 numbers do probably exist out there somewhere.

25 And I would herald what was commented upon

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that there probably are many, many physicians who have
2 given hundreds of doses of this vaccine.

3 And if a study were designed, one could
4 perhaps answer the question a little bit faster than
5 what is currently projected. Albeit, again, I guess
6 I have to say that I don't know how many more people
7 will actually come into the database once the
8 Minnesota and the other groups are enrolled. So I
9 would temper that by looking at those projections.

10 CHAIR DAUM: Thank you. Before I call on
11 Dr. Manley, I guess just to clarify one thing.

12 I don't think people needed to be
13 physically present here to compare the database that
14 was available at licensure with where we are today.

15 Some of the same data were presented this
16 morning and the information has been available. So I
17 would like to hear people's comments as to whether
18 they think it's basically a question of whether
19 there's new concerns or whether they think that we're
20 still --- we have concerns and we still have concerns
21 but -- and we'd like them answered more quickly.

22 It's a slightly different spin on the same
23 issue.

24 DR. DIAZ: Right. I might clarify,
25 because obviously, you interpreted what I said,

1 perhaps in a different light. My comment in saying
2 that I wasn't here before and yet, am here today, was
3 not to put forth any concerns about being able to look
4 at the data from that time to now.

5 It was the issue that there's not very
6 much new data.

7 CHAIR DAUM: Thank you. Doctor Manley,
8 please.

9 DR. MANLEY: Well, I essentially concur
10 with what the two previous speakers have said that the
11 concerns that were expressed two years ago seem to be
12 the same concerns that we have today.

13 And, even though the sponsor said we know
14 a lot more, we have not really resolved some of the
15 issues that were before this Committee then.

16 I, too, am concerned about the slow
17 enrollment and it seems that at the rate we're going,
18 it's going to take us a long time to answer the
19 questions that, are frankly, quite troubling, I think
20 certainly to me, and I'm sure to others.

21 And there are some things that are more
22 troubling than others, certainly the pregnancy
23 registry and almost the lack of almost no information
24 in that area that we can really relate to right now.

25 Certainly pediatric age group and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question that came up near the end of this discussion
2 and that is what patients know and when do they know
3 it, and how much assurance we have that physicians are
4 communicating with patients about quotes even if they
5 are not proven, some of the adverse reactions that
6 have been reported.

7 It seems to me that that is very troubling
8 and that whatever we direct FDA and the sponsor to do,
9 going forward, that that has to be addressed and that
10 the surveillance should be much more active than it is
11 currently being described to us.

12 And that short of being able to address
13 these issues, one has to really look at the cost
14 benefit ratio again.

15 You know, it's been said many times and
16 outlined very clearly. This is geographic, age
17 distribution, treatable with antibiotic and I think
18 that ultimately this question has to be addressed
19 again by this Commission.

20 CHAIR DAUM: Thank you, Dr. Manley. Dr.
21 Midthun did you want to make a comment?

22 DR. MIDTHUN: Yes I would. I think as
23 people go around and perhaps some obviously -- there's
24 been the issue of a higher, the linkage shall we say
25 an association between DR4 and the treatment-resistant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Lyme Arthritis and, therefore, concerns whether
2 perhaps a certain HLA type might put you at increased
3 risk for something for vaccine -- for vaccination
4 adverse events related to vaccination.

5 I guess I would like to just go back
6 though to the efficacy study and visit the issue that
7 likely roughly 30 percent of the individuals enrolled
8 in that study were DR4 positive just based on what we
9 know of the prevalence of that.

10 And that we, in that particular study, did
11 not see a difference in the rates of Arthritis or
12 Arthrosis or other things. So perhaps if people might
13 as they go around if they want to address that
14 particular issue and how that might be explored
15 further, given that backdrop, that would be very
16 helpful.

17 CHAIR DAUM: Thank you and we'll go to Dr.
18 Griffin.

19 DR. GRIFFIN: Okay. I also was not here
20 two years ago, but I have looked at the data and it
21 seems like that we have more data but what we have is
22 more of the same data. And that what we don't have is
23 any new insights or more in depth examination of the
24 kinds of questions that were raised at that time.

25 As I think I've already indicated, I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think the animal model is, contributes much, but it
2 sounds like some other people have animal models, that
3 might actually be useful in trying to sort out some of
4 these issues.

5 And I think that really needs to be some
6 basic, more basic science approach to a better
7 understanding of the immune response to this vaccine
8 of the types of immunologic abnormalities or whatever
9 may be ongoing, and people who have complications.

10 And I'm sure that a lot of that kind of
11 information is available for Lyme Arthritis but also
12 for various complications of Lyme Disease.

13 But that the opportunities are available
14 for really doing some excellent work and we get hints,
15 I guess is most frustrating to me, is there would be
16 hints that actually studies have been done, the data
17 didn't show much, but we weren't allowed to see that
18 data so there was no way that I can independently say
19 I don't -- you know -- I think that that shows that,
20 you know, it's very reassuring or whatever.

21 So, I was frustrated by that lack of
22 sharing with us, I guess, the data that does exist,
23 particularly for cellular immune responses to OspA,
24 the relationship of that to HLA, and types.

25 And I think that would be the kind of data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'd be asking for, would be a better understanding if
2 those people do respond differently than the people
3 that have a different HLA type.

4 They may not be important but we can
5 probably figure that out. But those kinds of studies
6 ought to be done and they ought to be shared.

7 I certainly agree with the need to get
8 active, some sort of surveillance that answers the
9 question that basically, I think, Dr. Luft said most
10 directly: Is there a problem or isn't there?

11 And, right now, I don't think any of us
12 feel comfortable in saying there's not a problem or
13 uncomfortable in saying there definitely is a problem.
14 We just really don't have the data on which to be able
15 to make that judgment.

16 So those are the things that I would
17 suggest.

18 CHAIR DAUM: Thank you very much Dr.
19 Griffin. Let's move on to Dr. Kim, please.

20 DR. KIM: Well, I also agree that we still
21 have similar safety concerns remaining with us
22 compared to two years ago. Again I did not perceive
23 any improved understanding or knowledge on those
24 issues whether I feel more safer now than two years
25 ago.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think is the same concerns are currently
2 under investigation and ongoing. But I think it
3 requires continued investigations to address the
4 issues that have been with us for the last two years.

5 And the second issue, again, along the
6 lines, again everybody, the previous speakers have
7 indicated issues regarding HLA DR and OspA
8 interactions.

9 I think that certainly needs to be
10 addressed soon in a format that is scientifically of
11 acceptable fashion and at the same token we have seen
12 many vaccines have changed the format over the years.

13 So if, indeed, you know again, we all
14 agree that this is important this is, therefore,
15 vaccine is needed, then I consider the current vaccine,
16 as, perhaps, first generation.

17 Then I think that we need to look into, a
18 perhaps, second-generation vaccine which, if that is
19 possible, then perhaps, eliminating the cross-reacting
20 epitopes, apparently that -- those regions do not
21 overlap with the protective epitopes.

22 I'm sure that those kinds of constructs
23 can be serum proteins and purified proteins can be
24 constructed and I don't know whether they would be
25 functional or not, but if indeed they are then I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 some of the issues then need to be considered for
2 developing safer vaccines for Lyme Disease.

3 And then, third issue, is I also support
4 that some sort of a vaccine package needs to be
5 developed to indicate or to at least to share the
6 concerns that have been presented to us today with the
7 consumers and physicians.

8 I think they need to know what is going
9 on, you know, whether this is real or not, you know,
10 there was a meeting to address these issues. I think
11 they need to know that.

12 And then, lastly, there is a study going
13 on in pediatric population I'm very concerned about
14 that despite, you know, having all the issues
15 discussed today and I soon like to see a very close
16 monitoring of a pediatric studies for the safety and
17 other issues that have been brought to our attention
18 today.

19 CHAIR DAUM: Okay. Thank you very much,
20 Dr. Kim. Dr. Stephens, you're up.

21 DR. STEPHENS: I think the comparative
22 safety data that's been presented really hasn't
23 changed, in my opinion, from what we saw from the '98
24 review.

25 I wasn't on the Committee at that time,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but certainly, the data provided doesn't suggest that
2 there's been a significant change. What has changed
3 in my mind is the weight of what is largely anecdotal
4 data, but certainly a huge body of anecdotal data
5 suggesting that there may be, that we may be missing
6 something with this vaccine.

7 I think that's the concern of -- of many
8 of the Committee members. I'm bothered by the issue
9 of this vaccine in the setting of prior Lyme Disease
10 and I'm also bothered by the issue of this vaccine
11 with certain HLA types.

12 And I don't think we know a lot about the
13 immune response to Borrelia in general or specifically
14 to this vaccine.

15 I would certainly, a point made about
16 active surveillance in endemic areas is something that
17 I think should be strongly considered as well as
18 increased patient information and potential increasing
19 warnings regarding the package, package insert.

20 CHAIR DAUM: Thank you very kindly, Dr.
21 Stephens. Dr. Snider.

22 DR. SNIDER: Well I was here. I remember,
23 and I think there is one thing that's different about
24 the atmosphere and that is that the characterization
25 of Lyme Disease was different at that meeting, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that there were a number of people from the general
2 public who made comments about how devastating Lyme
3 Disease had been.

4 And I do recall very vividly subsequently
5 when the Advisory Committee on Immunization Practices
6 released its statement about Lyme Disease should be
7 considered for people in certain high risk areas with
8 certain high risk activities, that in that we made
9 some comment, which seems rather benign, to the affect
10 that most cases are treatable with antibiotics, that
11 we received thousands of letters from the public
12 indicating that that wasn't true.

13 And, that there were a lot of treatment
14 failures and we weren't being as supportive of the
15 vaccine as we should.

16 And so I just remind people of that
17 particular environment, and that information that
18 people delivered.

19 With regard to the concerns, I guess since
20 some of my quotations were in the written document
21 it's clear that I had concerns at that time about
22 long-term affects.

23 I think we do have some more data, and I
24 appreciate the sponsors obtaining that additional data
25 for us. Unfortunately, as in many cases with many

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 vaccines, when we're talking about uncommon events, if
2 not rare events, we don't have enough data to be able
3 to draw any definitive conclusions.

4 And so I would agree with a lot of my
5 colleagues here that the concerns that we had back
6 then have not been completely alleviated, and in fact,
7 additional studies that had been done in the interim
8 have raised our concern.

9 And we certainly are concerned about what
10 has happened to the people who spoke here, and their
11 family members, and have a great deal of concern about
12 whether that is related to the vaccine or not.

13 As Dr. Estes pointed out, you know, a
14 number of studies could be done from the standpoint of
15 animal studies, in vitro immunologic studies, clinical
16 studies, and so forth.

17 But I think we have to choose very
18 carefully because there aren't unlimited resources.

19 I do think the post-marketing cohort study
20 was an excellent idea as I think everybody else is
21 very disappointed, and I'm sure the sponsors
22 disappointed as well, with regard to the enrollment of
23 persons into that study and the fact that we don't
24 have more information now.

25 I do have concerns when we talk about

1 doing active surveillance, although on the surface it
2 sounds like it might be -- help pick up more cases, it
3 would have to be done in a way that doesn't bias the
4 study as Dr. Platt alluded to.

5 Because if everybody knows that you're
6 looking for certain conditions that might result from
7 LYMERix, then that's what they'll give you.

8 And, therefore, you would have to do a
9 very carefully designed study in a manner that I
10 haven't thought of exactly right now. That's not to
11 say it's impossible, but to more aggressively go after
12 cases and invoke vaccinees and controls.

13 The registry idea is something that I
14 wouldn't totally give up on. I think it's worth
15 exploring. I realize that all of these things would
16 be quite costly and logistically difficult and may not
17 get us down the road any more rapidly than what -- the
18 speed we're going with regard to the post-marketing
19 cohort study that's already been designed.

20 I am very concerned about the potential
21 long-term effects, and one of the things we haven't
22 talked about is, you know, how long will efficacy
23 remain in future years, are there going to have be
24 additional boosters?

25 And if there have to be additional

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 boosters will that present additional problems. So I
2 think the problems with this vaccine are going to
3 continue to be in front of us, or at least the
4 potential problems.

5 I agree with folks who indicated that
6 there need to be some modifications in the package
7 insert and that we should more aggressively promote a
8 vaccine information sheet that has the appropriate
9 information.

10 I apologize I didn't look at the package
11 insert but it sounded to me from what Sid Wolfe said
12 that in the indications area perhaps need to be
13 modified to reflect the geographic risk as well as the
14 activities risk.

15 I think the manufacturer already has
16 indicated a desire to put in something about
17 hypersensitivity reactions.

18 And then there is the issue of what to say
19 about the possibility of chronic arthritides or other
20 autoimmune diseases. And I don't think we have
21 definitive information that indicates that those are
22 long-term adverse events.

23 On the other hand we do have some
24 plausible hypotheses that have not been disproven and
25 so it's not clear to me in this kind of a setting how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrross.com

1 one deals with that in a vaccine information sheet or
2 in a package insert in a way that is understood by the
3 average practitioner or the average patient.

4 CHAIR DAUM: Thank you very much Dixie.
5 I'm going to do a little bit a reverse field here
6 because there are some -- we're starting to encroach
7 on airplane schedules, assuming that planes are
8 running on time.

9 And, we'll actually start at this end of
10 the table with Dr. Ferrieri and work our way up, if
11 that's okay. And, we are aiming for a 5:30
12 adjournment. So, please be succinct, if some things
13 already been said in some detail, you can merely say
14 that you agree with it.

15 But please feel free to expand on points,
16 should you wish to. Dr. Ferrieri

17 DR. FERRIERI: Well, I was here the last
18 time on this subject, also. Dear BBC, New York Times,
19 London Times and CNN and everyone else, please don't
20 call my office.

21 I don't return any calls on Lyme vaccine.
22 What I say is part of the public record. It will be
23 posted on FDA's website. Sorry if that seems
24 intractable but I feel that we can only be misquoted
25 on what we say.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I had my chance to say several things at
2 the beginning today, so I won't reiterate them. I
3 feel that there is more data to examine, but the
4 concerns that I had personally before have not been
5 assuaged by anything I've heard today. And I feel the
6 background noise that we're hearing may be greater.

7 My concern is greater than it was before
8 and there are several areas that we have not yet been
9 able to gain information on that I commented on before
10 and that Dr. Snider has resurrected, the issue of
11 further boosters, the length of protection, etc.

12 In a nutshell, I think FDA has to grapple
13 with the serious issue of is it sufficient to do
14 revisions to the package insert. Well, that really --
15 how far will you be pushed to have to do something
16 more drastic than that, Dr. Zoon and Dr. Midthun, et
17 al?

18 I think that you have to deal with what
19 you have in front of you. Are we going to be able to
20 resolve these issues expeditiously or should you put
21 a moratorium on the vaccine until you are able to very
22 critically examine what we have and what is realistic
23 to move forward.

24 It's with great regret that I say this to
25 you. I've never had to say this before. I've never

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 heard, in all of the years I've been on the Committee
2 heard this type of concern iterated without Agency
3 response that has satisfied the dissatisfying from my
4 point of view.

5 I consider what we're dealing with today
6 to be very, very serious and I would like to throw
7 back to you the need for you all to reexamine how this
8 fits in to your mission and in the public health
9 realm.

10 And, so, I agree with others who would
11 like more basic science work done as I iterated in the
12 beginning. The Phase IV Study dissatisfaction may
13 not, perhaps it will come forward sooner -- maybe not.

14 There are too many ifs here for us to feel
15 secure that the answers will be forthcoming.

16 So, again with great regret, I think that
17 you have to examine where you are and what we owe to
18 the public.

19 CHAIR DAUM: Thank you, Dr. Ferrieri. Dr.
20 Myers, please.

21 DR. MYERS: Well, I think we do have more
22 data. I wasn't here. And, it's reassuring, but it's
23 very limited. It's a cross-over design functionally.

24 I think it's important to say that at this
25 point there is no evidence of chronic arthritides

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 being associated with the vaccine.

2 That said, though, I think everybody's
3 expressing the same concern that such an association
4 could exist, it has biologic plausibility. I've heard
5 a couple of people comment that they suspect that the
6 possibility of a VAERS signal, and that this needs to
7 be aggressively pursued.

8 I think the concern that I have is that we
9 need the data as quickly as we can possibly get it
10 from as many sources as possible to allow the
11 assessment of likelihood of causation versus
12 coincidence.

13 I just don't think we have that. I think
14 vaccine information, providing vaccine information, is
15 -- it would be very important.

16 But I think the real issue that I would
17 like is to see an aggressive approach to getting the
18 data to allow an assessment. I think the Cohort Study
19 is really important. It's going slowly. It's going
20 to be an important study and I think it's important
21 not to dilute it or allow it to collect data that
22 isn't accessible across the whole study

23 With that said, there are an enormous
24 number of vaccinees that aren't being collected that
25 are in areas where the attack rate for Lyme Disease is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 much higher than Massachusetts.

2 Rhode Island, I guess, is going to be part
3 of the Cohort Study. But, there's Connecticut.
4 There's Long Island. There's all the way down through
5 the mid-Atlantic States.

6 And I think it's really critical that we
7 try and get that data as quickly as possible so that
8 we can do the assessment that needs to be done and
9 either say that this is a problem or we can allay the
10 concerns about it. I guess that's it.

11 CHAIR DAUM: Thank you very much, Marty.
12 Dr. Goldberg.

13 DR. GOLDBERG: I was not here two years
14 ago, and I must admit, as I reviewed the materials,
15 that I might have had difficulty approving the vaccine
16 at the time -- voting for approval at the time.

17 I don't see sufficient new data. And it
18 makes me very nervous that the rate of accrual of new
19 data is too slow. And so, what I would urge, is that
20 with all speed, you start to do some surveillance.
21 Whether it's active surveillance, registries in
22 combination with the ongoing efforts.

23 Because I think you have to
24 cover the bases on a lot more fronts than you are and
25 much more aggressively if you want to get some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 resolution.

2 I do believe that patient information has
3 to be made much more accessible. One possibility is
4 that that all done, that all provided, the rates of
5 vaccination will decrease even more and so it will
6 become even harder to definitively collect more data.

7 And I think you have to weigh all of
8 these, somebody has to be, the FDA and the sponsor
9 have to be working out what the numbers are and what
10 kinds of timetables you have to come up with to get
11 some of these projects underway.

12 I also was concerned about the discussion
13 of case definition that came up in the open part of
14 the hearing. And, the fact that in the original
15 studies this very specific definition was used and I
16 would urge, that if it's possible, to reanalyze that
17 data with sliding definitions of cases. And
18 determine, what kind of affects misclassification on
19 case definition could have on the efficacy results.

20 I don't know if that was done. There
21 wasn't enough detail provided. Basically, I think
22 everything else I would say was covered already.

23 CHAIR DAUM: Thank you very much. Are
24 there any more airplane concerns on the remaining
25 people that need to speak or can we just go in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sequence? Good. Dr. O'Fallen.

2 DR. O'FALLEN: I too was underawed by the
3 amount of data that were available two years ago
4 regarding adverse affects, and read with a great deal
5 of interest about the Cohort Study that we now hear is
6 in serious jeopardy.

7 But that was why I was asking so much
8 about it because I thought it would be so essential.
9 I think those data collected in a systematic a way as
10 possible, and I truly do approve of the design of the
11 study that is currently underway and I only wish that
12 they could access more data. I think we do need more
13 data.

14 There is evidence that something's going
15 on out there, I truly believe, and my answer to the
16 question that was posed so eloquently and so
17 frequently from the floor several times today, is no.

18 CHAIR DAUM: What question is that?

19 DR. O'FALLEN: Would I take the vaccine.

20 CHAIR DAUM: Dr. Davis, please.

21 DR. DAVIS: Thank you. I have several
22 issues that I certainly concur with our prior speakers
23 regarding. Not in any one particular order.

24 One question I have would be the impact on
25 what we haven't heard and unfortunately we didn't hear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in a more of an anecdotal way, from one physician, who
2 had provided a letter for us to read.

3 But what is the impact on the occurrence
4 of Lyme Disease in communities where the vaccine has
5 been more widely used? Are there any decent
6 surveillance data in those communities where we can
7 get at least some assessment of trends in actual
8 occurrence of the disease?

9 Some of these communities may be actually
10 smaller and I think being able to make an appropriate
11 assessment of data in those communities may be
12 difficult to do. But I think very important.

13 Along those lines, what Dr. Dattwyler had
14 recommended earlier, doing an objective assessment of
15 physicians experienced with using the vaccine and
16 their experience with side effects, I think would be
17 important.

18 I certainly concur with that and I'd also
19 want to make sure that the whole issue of their
20 recognition of side effects is important as well,
21 because of the issue that was raised, are people not
22 adequately recognizing what may actually be an event.
23 So I think probing that, of course, would be important
24 to do.

25 One thing I'd be interested in also, is

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701