

1 of our donors at collection sites. More different to gauge,
2 of course, are the self-deferral donors due to this policy.
3 However, we can accurately state the large impact on our RBC
4 supply if a new guideline would restrict the importation of
5 Euroblood. Also, any travel ban that extends to Continental
6 Europe will further erode our donor base of frequent
7 international business travelers.

8 Euroblood was established some 30 years ago to
9 deal with chronic shortages of blood that were particularly
10 common in large urban areas such as New York City. Currently
11 blood centers in three countries participate, Germany,
12 Switzerland and Holland. The Euroblood centers are FDA
13 approved collection facilities for NYBC. They collect under
14 NYBC's FDA license, use approved SOPs and are routinely
15 inspected by FDA staff. Thus, a unit of blood coming from
16 these Euroblood centers fulfills the exact same criteria as
17 a unit of blood collected locally. Euroblood in the past has
18 provided as much as a third of our area's RBC needs.

19 With changes in demand for fractionated plasma and
20 internal restructuring of blood programs, the availability
21 of European red cells has declined over the past three
22 years, dropping by about a third to its current level. We
23 have compensated for this loss by increasing our collection
24 rate over 20 percent during this period. Attempts to replace
25 Euroblood with imports from U.S. centers have been largely

1 ineffective. Nationwide slow growth in collections and
2 accelerating transfusion demand have created a chronically
3 deficient red cell supply, most seriously, of course, in the
4 now longer and more severe seasonal shortage periods. These
5 shortages are leading to unsettling medical practices in our
6 hospitals. These include delay of 'urgent or elective
7 surgery, postponement or reductions of transfusions for
8 cancer patients, and transfusion of Rh-positive blood to Rh-
9 negative recipients. Also, we have had reports of emergency
10 departments having to close for admissions due to low blood
11 availability.

12 A sudden, dramatic reduction or elimination of
13 Euroblood will worsen these medical issues and have a
14 catastrophic impact on the delivery of hospital care in our
15 area. Replacement of this resource with our own collections
16 is our long-term goal. It cannot be achieved, however,
17 abruptly or without substantial planning and investments.
18 Rapid replacement from other sources is also not realistic
19 given current global blood shortages. Therefore, any new
20 policy that eliminates Euroblood will in effect reduce the
21 availability of blood to our hospitals by 25 percent or, put
22 another way, approximately 1.5 to 2 percent of the nation's
23 supply. We feel it safe to say that this magnitude of blood
24 shortage will likely produce increase in hospital mortality
25 in our area.

1 We are very concerned about the safety of the
2 blood supply. We support all regulations that have a clear
3 impact on blood safety. However, we believe there must be a
4 balance between any theoretical risk and the measurable risk
5 of a deficient blood supply. We respectfully request that in
6 making your recommendations you take into account the
7 consequences of any action that would cause either'
8 additional donor deferrals in our area or sudden elimination
9 of the Euroblood program.

10 Thank you again for this opportunity, and I
11 welcome questions if you have any.

12 DR. FREAS: Thank you, Dr. Jones. Our next speaker
13 will be Mr. Chris Healey, President of the ABRA, a trade
14 association for setting standards for the plasma industry.

15 MR. HEALEY: Good morning, and thank you for the
16 opportunity to address the committee. I have just a few
17 brief comments.

18 ABRA is the trade association and standard setting
19 organization for the producers of plasma for further
20 fractionation. ABRA members include approximately 380
21 community-based collection centers across the U.S. that
22 produce roughly 11 million liters of plasma for
23 fractionation in the U.S. and Europe. Plasma donors are
24 valued members of a society whose donations provide the raw
25 material for a wide area of life-saving and life-sustaining

1 products.

2 Assuring an adequate and healthy donor base is one
3 of the industry's primary goals. Over the last decade great
4 strides in plasma safety have been made through effective
5 regulatory policies, such as those set by this body, and
6 industry imposed safety and quality standards. As a result
7 of industry standards and government policies, plasma
8 therapeutics are safer today than ever before.

9 Despite these safety gains, industry recognizes
10 the need to remain vigilant about potential health risks
11 from emerging and newly identified pathogens. As a result,
12 the plasma industry approaches the vCJD problem as though
13 the risk were real today. This is why we have taken a number
14 of steps to further assure the safety. For example, we agree
15 with regulatory authorities to withdraw products derived
16 from plasma of vCJD donors, while we defer donors who have
17 spend considerable time in the U.K. while we perform studies
18 to evaluate partitioning of prions and fractionation steps,
19 while we prepare studies to investigate prion infectivity in
20 vCJD blood and plasma, and while our members invest in the
21 development of improved methods for prion testing.

22 Further, ABRA, along with its partner association
23 PPTA, has established expert working groups to address TSE
24 risks. These working groups provide a venue for information
25 and research exchange among industry members. They also

1 serve a key liaison function with regulatory authorities to
2 assist in the science-based decision-making that must
3 accompany decision-making with regard to vCJD risks.
4 Finally, they produce materials to educate consumers about
5 the current state of knowledge regarding vCJD risks.

6 As noted, assuring the adequacy and safety of
7 plasma is one of our primary objectives. For this reason, we
8 ask that you carefully consider any policy that might
9 negatively impact the current donor base. Notwithstanding
10 this, the plasma industry stands ready to take whatever
11 steps are necessary to minimize the still theoretical risk
12 associated with vCJD. Thanks.

13 DR. FREAS: Thank you, Mr. Healey. Our next
14 speaker will be Dr. Merlyn Sayers, for the American Blood
15 Centers. Dr. Sayers?

16 DR. SAYERS: Thanks, Dr. Freas. I would like to
17 just read this brief statement into the record on behalf of
18 America's Blood Centers. America's Blood Centers, or ABC,
19 represents 75 not-for-profit community independent blood
20 programs that together account for something like 50 percent
21 of the nation's volunteer donor supply.

22 ABC appreciates the fact that the FDA has, as a
23 result of regular meetings of this committee, encouraged
24 frequent review of the emerging information about bovine
25 spongiform encephalopathies and other encephalopathies as

1 well. Against the background that there still is no evidence
2 to demonstrate that new vCJD is more than a theoretical risk
3 for human blood transfusion recipients, ABC also appreciates
4 FDA's commitment to requesting review of previous
5 restrictions on donors for their continuing appropriateness.
6 At the same time, however, ABC recognizes that the spread of
7 bovine spongiform encephalopathy to other European countries
8 must prompt debate about possible modifications of the
9 recent deferral criteria that apply to donors previously
10 visiting the U.K.

11 In considering any need for additional
12 precautionary measures, ABC asks the committee to balance
13 new restrictions on donation against continuing deferral of
14 donors. ABC recognizes that transfusion safety, which is of
15 paramount importance, is a goal that must be linked to blood
16 availability at a time when blood shortages are nearly
17 chronic in nature and increasingly result in the
18 cancellation of non-urgent surgeries.

19 That concludes my statement on behalf of ABC. I
20 would like a couple of sentences, Bill, on behalf of my own
21 blood program. I am taking off my ABC hat here. There is one
22 group of blood donors that we have ignored in these
23 considerations. It used to be that blood donation was a
24 volunteer activity involved in the release of one pint of
25 blood. Things have changed and their levels of altruism that

1 individuals express in their participation in blood
2 programs. Apheresis donors, platelet apheresis donors are a
3 particularly committed group. They are different in that
4 they are prepared to donate something like two hours of
5 their time to the procedure.

6 These are a different batch of individuals, and
7 some of them have the characteristics that have been pointed
8 out earlier. They are an older group of people, quite often
9 more educated, quite often from a different socioeconomic
10 group. In our own experience in a large community blood
11 program in Dallas, these are the folks that have most
12 frequently traveled. Our loss of these individuals to any
13 new restrictions will be quite devastating, and I would like
14 the committee to bear in mind that it is not just whole
15 blood donors that we are concerned about the loss of, but we
16 are also concerned about a very important group, the
17 platelet apheresis donors as well. Thanks, Dr. Freas.

18 DR. FREAS: Thank you, Dr. Sayers. Our next
19 speaker is Dr. Rebecca Haley, speaking on behalf of the
20 American Red Cross.

21 DR. FREDERICK: Thank you. I would like to thank
22 the committee for this opportunity to address this group on
23 an important safety issue. I am Jackie Frederick, the
24 Executive Vice President for Biomedical Services at the
25 American Red Cross. The American Red Cross provides almost

1 half of the blood needed in this country to patients and
2 hospitals worldwide.

3 The safety of the blood supply is paramount and is
4 the Red Cross's number one priority. The Red Cross and the
5 Food and Drug Administration believe it was a prudent step
6 to ensure blood safety by deferring blood donors who have
7 traveled to or lived in the United Kingdom based on the
8 theoretical risk of vCJD and the lack of a blood screening
9 test.

10 The current deferral is for people who have
11 traveled to or resided in the United Kingdom for six months
12 or more between 1980 and 1996. The American Red Cross
13 supports expanding this deferral to include France, as well
14 as western Europe given the growing evidence of BSE in those
15 countries.

16 We believe the TSE committee should consider a
17 further tightening of the deferral period to less than six
18 months in the U.K. We also believe the committee should
19 examine extending the exposure period between 1980 to the
20 present instead of the current deferral between 1980 and
21 1996.

22 There is evidence in animal models that TSE is
23 transmissible through blood. We must be cautious to ensure
24 the safety of America's blood supply for vulnerable
25 patients. The American Red Cross calls for expanded research

1 to better understand the TSE pathogen and to create a TSE-
2 specific blood screening test. We believe that if this is
3 done in the next two to three years we will have a means to
4 assess the true risk which will better inform our donor
5 selection criteria.

6 We estimate that expanding the deferral criteria
7 would reduce the current number of American Red Cross blood
8 donors in the range of approximately 6 percent or an
9 additional 4 percent. Therefore, it is our shared obligation
10 to embark on a sustained national campaign to educate the
11 public to increase the number of Americans who donate blood.

12 The one thing we can control during this time is
13 blood availability. Only 5 percent of Americans donate
14 blood. Recently, in the past year, the American Red Cross
15 instituted the U.K. deferral which resulted in potentially a
16 2 percent donor loss, and implemented new screening
17 methodologies for donors for hemoglobin determination, which
18 resulted in an immediate 6 percent loss of our donor base.
19 But I am proud to say that today we are collecting 3 percent
20 more blood than we did last year. So, clearly, the American
21 public will respond to the availability issue, and it is an
22 issue that we can control.

23 The American Red Cross knows it will take a major
24 investment of time, money and resources to attract new
25 donors and retain current donors to meet the increasing

1 needs of patients nationwide. We are prepared to take on
2 this public responsibility along with others who share our
3 mission to ensure a safe and available blood supply. The
4 Red Cross is prepared to implement tightened donor criteria
5 across our nationwide system. Thank you.

6 DR. FREAS: Thank you very much. Our next speaker
7 is Dave Cavanaugh, from the Committee of Ten Thousand, an
8 advocacy group for persons with HIV and AIDS.

9 MR. CAVANAUGH: The Committee of Ten Thousand
10 represents people with hemophilia who contracted HIV from
11 the blood supply -- their medicine, if you will -- in the
12 1980's, and we are very pleased to acknowledge that Congress
13 finally passed appropriations for relief payments to these
14 families, just last month, 1999 after the injuries occurred
15 in '82 to '87.

16 On the question before us, I would definitely
17 encourage the committee to take the most conservative line
18 possible with a disease of such unknown characteristics and
19 newly emerging sources. Please be wary of arguments in favor
20 of protecting the supply at the expense of exploring some of
21 the possible true safety issues. Supply can be affected
22 through campaigns, through presidential announcements which
23 have been too rare, and many other means. I know it is
24 difficult but it can be done, and it doesn't have to be done
25 through merely compromising the quality of the product.

1 There are people here who are still calling the
2 risk of CJD transmission through blood supply theoretical,
3 and I think the more we are hearing of risk at each meeting
4 through the elapsing of longer incubation times, more and
5 more systems that are coming on line in various countries,
6 and the clinical data that we are finding leading to some
7 cases that have been found and remain open files without
8 cause identified, it would be only prudent to rescind that
9 label for now and remain open to examining the different
10 sources. For example, last summer at the meeting we had
11 presentations from representatives from various countries,
12 like today, except that Portugal was not represented on the
13 panel and they have a very high rate. I did a bit of
14 searching of the Portuguese press and found a number of
15 things that were quite disturbing -- 10 BSE-related deaths
16 every month. The head of the national veterinary surgeons
17 organizations says food control in Portugal is inefficient.
18 The union leader for meat inspectors says inspection of food
19 products is non-existent. The conclusion of the
20 veterinarians is that Portuguese beef is unsafe.

21 We have the FDA, a matter of a week or two ago,
22 finding that beef processing in this country is not
23 observing some of the requirements on it. We have evidence
24 on the CWD coming out, chronic wasting disease, in animals
25 in this country, and we cannot expect those who eat beef in

1 this country not to donate blood, but there are a number of
2 sources of transmission of this infection into the blood
3 supply which I hope the committee will heed in realizing
4 that the countries of Europe and those otherwise
5 constituting as second wave of the U.K. epidemic pose a
6 threat to America's blood users. Thank you.

7 DR. FREAS: Thank you, Mr. Cavanaugh. Is'there
8 anyone else in the audience who at this time would like to
9 address and make brief comments before the committee? I see
10 no one, therefore, I turn the microphone over to Dr. Brown.

11 Committee Discussion

12 DR. BROWN: There are nine questions before lunch,
13 each of which, the FDA tells me, requires a vote. What I
14 would like to do, with the committee's approval, therefore,
15 is change the format just a little bit and actually save the
16 discussion for each question. Therefore, what I would like
17 to do is pose the question, have a discussion if there is
18 one, and then have a vote. Because of the number of votes,
19 not only this morning but during the rest of the two days,
20 we will not have any explanatory commentary associated with
21 vbting. We are going to do it like the U.S. Congress and
22 simply vote through.

23 The questions are going to be put on the screen,
24 and they are in Topic 1. The first question is, are recent
25 data on prevalence of vCJD in the U.K. or the potential risk

1 of transmitting vCJD by human blood or plasma sufficient to
2 warrant a change in current FDA policies regarding deferrals
3 of blood and plasma donors based on a history of travel or
4 residence in the U.K.?

5 So, the first question relates strictly and
6 uniquely to the United Kingdom, and it is simply a
7 reassessment of the what the committee had recommended in
8 its previous meetings. Is there sufficient data to warrant a
9 change? That question is now open for discussion. Ray?

10 DR. ROOS: One of the speakers mentioned the
11 possibility of changing from six months to three months. We
12 have figures on six months, at least the prediction was an
13 86 percent person day decrease in theoretical risk with 2.2
14 percent increased number deferral of donors, and I want to
15 know what those figures would be actually for three months.

16 DR. BROWN: Allan? This is probably only your first
17 reappearance. Do you want to put anything on the screen? I
18 think you had it on one or two slides. If you have it in
19 your head we don't need the slide.

20 DR. WILLIAMS: The first slide I presented was the
21 analysis for the current U.K. deferral, 2.2 percent loss.
22 Given a deferral of three months with the six-month deferral
23 already in place, not de novo -- in fact, if you want to
24 look at the three-month de novo a year ago and what the
25 impact would be, that is at the end of your handout -- but

1 given a change to three months with the six months in place,
2 the residual risk removed is 21.2 percent. Considering the
3 European picture, the total theoretical risk removed is 77.5
4 percent. Additional donor loss above and beyond the six
5 months is 1.2 percent. The percent residual removed,
6 compared to a 1 percent donor loss, is 17.6.

7 DR. BROWN: Allan, let me clear this up. First of
8 all, these are figures just for the U.K., not for Europe.

9 DR. WILLIAMS: This is only the U.K.

10 DR. BROWN: So, three months instead of or on top
11 of -- I don't know what you mean by on top of six months.

12 DR. WILLIAMS: Six months is already in place; if
13 you change that to three months.

14 DR. BROWN: So, the six months is what percent
15 loss?

16 DR. WILLIAMS: On top of the six months it is 1.2
17 percent.

18 DR. BROWN: So, 1.2 plus 2.2? That is 3.4.

19 DR. WILLIAMS: I think it might be easier --
20 instead of trying to turn the incremental analysis around,
21 let's look at the three months by itself, which is at the
22 end of your handout. It is U.K. three months considered by
23 itself without consideration of what is already in place.
24 The U.K. risk removed is 93.3 percent instead of 86 percent.
25 That is what you are looking for. The donor loss is 3.4

1 percent.

2 DR. BROWN: That is what you wanted, wasn't it,
3 Ray?

4 DR. ROOS: Yes.

5 DR. BROWN: Roughly 3.5 percent donor loss and the
6 risk removal was what, Allan?

7 DR. WILLIAMS: It was 93.3.

8 DR. BROWN: Against the present?

9 DR. WILLIAMS: Against the present 86 of U.K. risk.

10 DR. BROWN: And you pick up a few percent and you
11 lose a little better than one percent more donors than are
12 currently lost. Other discussion? Other questions? Yes?

13 DR. GAYLOR: I have quite a concern about the
14 estimates based on the Red Cross 1999 donor travel survey. A
15 50 percent response rate to a survey is not good; 50 percent
16 of your population is unknown to you. You recognize that
17 there is more travel among the higher age people, more
18 educated people, presumably with higher economic status.
19 Quite possibly these are the people that are also responding
20 to the survey. There tends to be a higher response rate
21 among the higher educated, older people. I suspect you are
22 overestimating the amount of travel in your donor
23 population. It might just be a small overestimate; it might
24 be substantial. So, I think we are dealing with quite
25 possibly overestimates of what the impact of the deferral

1 is.

2 Typically, when you have such a large population
3 of non-responders in a survey, as you follow up the non-
4 responders, not with a mail survey but with a telephone
5 survey, maybe a small percent of them, only five percent of
6 the non-responders, and determine if the non-responder
7 population is like the responder population in order to
8 really estimate what is going on here. So, you know, there
9 is nothing one can do about that today but something that is
10 important in the decisions that are going to be based on a
11 survey should also include some follow-up of the non-
12 responders.

13 So, I would take all of these calculations with a
14 grain of salt. You know, we will do the best we can. We have
15 to assume non-responders are like responders, but this is
16 not typically what happens in surveys.

17 DR. BROWN: Thanks. We have a habit of using a lot
18 of salt in our deliberations. Do you want to respond?

19 DR. WILLIAMS: I agree with the comment, and I
20 think I alluded to that problem when I said that the
21 education and the age factors were higher. One thing I
22 didn't mention is that this is an anonymous mail survey so
23 secondary validation measures weren't possible for this. We
24 would have liked to have had more than 50 percent but you
25 get what you get and we were on a short time frame. So I

1 acknowledge the comments that it is a possibility that we
2 are over-calling the travel risk.

3 DR. BROWN: Stan?

4 DR. PRUSINER: It seems to me, with respect to the
5 first question about the U.K., we have several issues that
6 we really should address. One is how good is this 2.2
7 percent number because now we have 8 months that have gone
8 lby? Was the estimate that you gave us -- how does that match
9 what we really know in terms of loss? Because that, I think,
10 impacts these decisions which are really guesses.

11 DR. BROWN: Well, bear in mind the talk that Paul
12 McCurdy gave. After this was all in place, it was a flat-
13 line supply. So, even if it is not 2.2 percent, whatever
14 percent it was, was being met.

15 DR. PRUSINER: I understand that. I agree. I think
16 this is very important. So, the theoretical increase if we
17 take it to three months of 1.2 percent, a 3.4 percent loss
18 may not be a 3.4 percent loss at all if we think that Paul
19 McCurdy's data is correct. So, I think this is a very
20 important issue to discuss. I am not sure what conclusions
21 to come to.

22 The second issue is that I am not sure still that
23 1996 is the right cut-off time. It may be that we should be
24 taking it to the present. The problem for me with the U.K.
25 data on BSE incidence is that those graphs showing the

1 decline on BSE are based upon the clinical diagnosis and
2 confirmed pathology of BSE cases in the U.K. What we are
3 seeing in other parts of Europe is that a large number of
4 the cases that are now being reported are being reported as
5 BSE positive cases in animals without symptoms. So, we kind
6 of have apples and oranges here, and we have a false sense,
7 I think, of the decline approaching zero in the U.K. where
8 the numbers are still much higher than in any other part of
9 Europe. So, I am not totally convinced that we should just
10 say, okay, 1996 is the right date.

11 DR. BROWN: Yes, one other comment about that and
12 that is that, while that may be true in the United Kingdom,
13 the measures taken to prevent the introduction of even a
14 potentially infected cow exceed by far those of virtually
15 any other European country. So, even if there were more cows
16 than we think that were infected with BSE, my guess is that
17 probably British beef at the moment is about the safest beef
18 in the world.

19 DR. PRUSINER: Well, we would have a dispute over
20 that, Paul.

21 DR. BROWN: No! Don?

22 DR. BURKE: It seems that we are getting ahead of
23 ourselves here in asking questions about the impact on the
24 blood supply, but the specific question is are the recent
25 data on the prevalence of the change in vCJD or the

1 potential risk of transmitting vCJD by blood sufficient to
2 make a change? And, I think we should address ourselves to
3 that right now.

4 I will summarize my own impression of the
5 presentations so far, and that is that I think there is a
6 difference in interpretations of the prevalence of vCJD and,
7 if anything, it is at the upper boundary of the epidemic.
8 Since we made this recommendation the estimates for the
9 upper boundary of the epidemic in humans has been dropped.
10 So, if anything, the worst case scenario in the U.K. doesn't
11 look as bad as it did when we mad the recommendation in the
12 first place.

13 The second item that we are to discuss is are
14 there any new data with regard to the risk of transmission
15 by blood, and I think the answer to that one is no. I have
16 not seen any one way or the other, and I am open to
17 additional presentations, if there are some.

18 DR. BROWN: Well, the only "con" piece of data
19 that has been published since our last deliberation is the
20 incubation period, the presumed transmission of BSE from an
21 incubation period sheep to another sheep. That, I think,
22 does not fundamentally change our way of thinking but it is
23 one more little piece of evidence in the direction that,
24 yes, at least under experimental circumstances, blood can be
25 shown to be infectious. Again, all that assuming that that

1 transmission is a true transmission.

2 DR. PRUSINER: I think the big difference between
3 two years ago and now or a year and a half ago is that if
4 one looks at the number of vCJD cases by year -- and Paul
5 Brown presented Bob Will's data -- I think it is really
6 dramatically different. In 1996, including the few cases
7 retrospectively in 1995, there were 10, 12 cases of vCJD. In
8 the next year, 1997, there were about 12. Then it jumped to
9 17 in 1998. Then it dropped back to 12 in 1999 and everybody
10 thought, well, you know, this is the variation, that it
11 bounces around. Now we see about 30 cases for the year 2000.
12 It seems to me that we don't know where this curve is going
13 to go. We don't know whether next year the number will be
14 15, in which case it will be part of this fluctuation and
15 all of these very conservative estimates are reasonable. If
16 the number goes to 60 next year, so it is a doubling, then
17 we have a very different view of how this is going to go and
18 we are really not going to know for the next few years. So,
19 I don't really think that we can believe for a moment that
20 we have an understanding that this process is going to be
21 not as big as we once-thought, and all of these estimates
22 are made on too little data.

23 DR. BROWN: Still, with every year that goes by
24 there is more data, and what we have seen is that the data
25 that has accumulated, granted the rising slope of new vCJD

1 in the British Isles is, in fact, less steep than it was
2 originally thought, and the more data that comes through
3 each year, the lower the upper limit of the eventual number
4 of cases. Granted, modeling isn't perfect, for sure, but, of
5 course, we don't know exactly what is going to happen. We
6 are not soothsayers. But I would suggest also that the rise
7 in vCJD that has occurred has actually led to models or
8 modeling in which the ultimate outcome is less severe than
9 was originally modeled. Ray?

10 DR. ROOS: I just want to return to the issue of
11 recent data about transmission from blood. Although there is
12 an N of 1, near as I could figure out, that is, there was a
13 blood transfusion that took, nevertheless, I have to pay
14 attention to that data as being potentially troubling
15 because it was a subclinical animal, as I understand it, and
16 because it did transmit by the peripheral route, by blood
17 transfusion. So, I do think that is recent data that
18 certainly gets one's attention at this point.

19 It might be of concern with respect to the whole
20 modeling that one has at this point which I think, in a way,
21 doesn't deal with the possibility that one could get
22 amplification in human to human transmission. We have no
23 data at the moment that that has occurred but, of course, we
24 only have human cases for the last six years. If that were
25 to occur we might see a lot more unsuspectingly vCJD. The

1 fact that we do have this N equals 1 transmission gets my
2 attention and the concern that one should consider the
3 possibility of being more stringent with respect to U.K.
4 residents and the limits of it if the downside isn't too
5 much of a penalty.

6 What I see with respect 'to the downside is that
7 although there may be an increased number of donors that are
8 deferred, nevertheless, blood banks and Red Cross have
9 responded and they were able to recoup that decrease. So, I
10 suspect that the predictions with respect to increased
11 deferment might occur but I also wonder whether we will just
12 still have a flat line with respect to supply because of
13 increased energies to get the blood in other ways.

14 DR. BROWN: Pedro?

15 DR. PICCARDO: Regarding the estimates, we have to
16 consider that of the uncertainties that we have so far only
17 the methionine homozygotes developed the disease. So, in
18 this box of uncertainty we have to consider that it is very
19 possible that people who have these zygotes will develop the
20 disease. So this adds to the uncertainty. I think we have to
21 keep that in mind.

22 DR. BROWN: Yes, that is an interesting point. On
23 the other hand,, it is possible that a human adapted DSE,
24 that is a primary CJD in the event of a transmission might
25 not just transmit to met/met. We just don't know but I don't

1 think we can assume that because primary transmission from a
2 cow to a human has to date only affected the methionine
3 homozygotes that a secondary infection might not be
4 indiscriminate.

5 DR. PICCARDO: Right. My point is that we probably
6 have to be open to the possibility --

7 DR. BROWN: Sure, exactly. Go ahead.

8 MS. FISHER: Public confidence in the safety of
9 the blood supply is of paramount importance, and the
10 American Red Cross, I think, is arguing for the
11 precautionary principle to be employed, and I think as the
12 primary supplier of blood to Americans -- as a consumer I am
13 very persuaded to heed the implications of theoretical risk
14 and the limitations in screening technology, and I believe
15 that the committee needs to take very seriously the position
16 of the American Red Cross arguing for a change.

17 DR. BROWN: I would, in contrast, hope that the
18 committee paid absolutely no attention to the American Red
19 Cross recommendation and made a completely independent
20 decision. Yes?

21 DR. NELSON: To me, somehow the idea that lowering
22 the cut-off to three months has less of an effect than
23 lowering it to six months is counter-intuitive. I would
24 think that the shorter the time someone spent, that there be
25 an incremental loss of donors.

1 DR. BROWN: That is right.

2 DR. NELSON: Between three and six months it is
3 half the number of donor loss as six months and above, and I
4 just wonder. To me, that is counter-intuitive. I think the
5 shorter the period, you should probably exclude a larger
6 number of donors and I wonder if somehow that relates to the
7 incomplete responses.

8 DR. BROWN: I am not sure that you haven't
9 misunderstood or perhaps I have misunderstood you. Allan,
10 would you again put our committee member straight in case
11 there was a misunderstanding?

12 DR. WILLIAMS: I suggest that in case there is a
13 problem with the calculation of the three-month implemented
14 on top of the six-month that you only consider the three-
15 month as a stand-alone, in which case donor loss is 3.4
16 percent versus the cumulative six-month of 2.2 percent.
17 Those are the figures that were considered before and I
18 think do make conceptual sense.

19 DR. BROWN: In other words, what you intuit is, in
20 fact, correct, that if you drop the deferral to everybody
21 who has been at least three months you lose 3.5 percent of
22 the donors. If you have a six-month deferral, that is they
23 had to stay in the U.K. for at least six months, the donor
24 loss is less because there are fewer people who stay in the
25 U.K. that long. That is correct.

1 DR. NELSON: I would have thought that the shorter
2 the interval, there would be an increasing number of donor
3 losses.

4 DR. BROWN: There is. That is exactly what he
5 said. Yes.

6 DR. PRUSINER: Paul, I think what he is saying is
7 he would have thought that it might have gone to 5 percent
8 or 6 percent.

9 DR. NELSON: That is exactly right.

10 DR. PRUSINER: That is what he is trying to say.

11 DR. NELSON: Yes, I realize that 3.4 is more than
12 2.2 but 2.2 versus 1.2 is the issue I am getting at.

13 DR. WILLIAMS: The greater than five-year interval
14 goes all the way up to the 17-year period. So, you have
15 people who have been over there for 10 and more years
16 included in that larger group and it really weighs that
17 initial cut.

18 DR. BELAY: I have a question for Dr. McCurdy.

19 DR. BROWN: He was just going to ask you
20 something.

21 [Laughter]

22 DR. BELAY: I am still concerned about the supply
23 issue and the impact of the U.K. donor deferral policy that
24 has already been put in place. Now, you showed data through
25 November, 2000 and we know that different blood centers were

1 implementing the policy at different times. So, do you
2 believe there was sufficient time for full implementation of
3 the policy to have exerted its effect so that you would pick
4 it up in your data?

5 The second question is did you notice any regional
6 differences in the supply, which may not actually show in
7 the graph that you showed us?

8 DR. MCCURDY: I think that the requirement placed
9 by the FDA asked all blood centers to implement the U.K.
10 deferral by April, 2000. Many blood centers, perhaps close
11 to half of the blood centers we sampled, implemented it
12 before October, 1999. Some of them implemented fairly soon.
13 So, I think that it is very hard to look at the graphs and
14 say there is no blip here and, therefore, there is no
15 change. I think the supply remained fairly constant over
16 that period of time. So, I think probably the impact of
17 implementing the procedure is reflected in those data,
18 although not any individual blip.

19 You asked about regional differences, and we have
20 relatively small samples from various different regions of
21 the country, but we do have the data broken down by PHS
22 regions and the northeast, Mid-Atlantic area from roughly
23 Washington, Baltimore, north, suffered perhaps a little bit
24 of a gradual decline in collections. The rest of the country
25 was relatively constant or there was some increase over that

1 period of time. So, there was some difference by region. I
2 didn't show that because each individual curve on the graph
3 would have such a small N that I would worry about the
4 significance of it.

5 DR. BROWN: I must remind you that if we continue
6 the discussion we are not going to get to lunch until three
7 in the afternoon, and as soon as possible I would like to
8 put this question to rest. Go ahead.

9 DR. KATZ: We have seen the supply side of this
10 and I think it needs to be on the record that that is less
11 than half the question. The demand side of it is critically
12 important. Demand for components is rising, depending upon
13 where you are, approximately 5 percent per year. And, I
14 think we have all heard reference to the increased seasonal
15 shortages and appeals that have been required during the
16 past year. So, critically important is that the committee
17 considers this balance and that they understand that a flat
18 supply does not address increasing demand.

19 DR. LURIE: I guess Don said we should be
20 discussing (a) separately from (b), and (a) is about the
21 prevalence. I actually disagree with that and I think the
22 way the committee is talking about that reveals that it
23 doesn't actually make that much sense to discuss them
24 separately.

25 Really, the question is from either of these

1 sources of data, prevalence data or donor deferral data, do
2 we, in sum, feel that there is justification for a change in
3 FDA policy? One might feel yes to (a) and no to (b), or vice
4 versa. So, I think really that ought to be combined and we
5 should just discuss whether the totality of what the data
6 show is sufficient to justify revisiting of the question.

7 DR. BROWN: My own opinion is that you are
8 absolutely right, and that the (a) and (b) are on the table
9 here and what we are being asked basically is, is the data
10 sufficient to warrant a change. The data is obviously risk-
11 benefit data. Question (a) is worded neutrally and question
12 (b) is not. There is that little word "adverse" in question
13 (b). But if we took the "adverse" out -- I mean, I don't
14 frankly feel bound by the language, even though I passed on
15 it.

16 DR. LURIE: It is improbable that donor deferral
17 would have a positive effect on the blood supply.

18 DR. BROWN: Yes. I think (a) is asking whether or
19 not there is evidence that warrants a change, not whether it
20 should be more stringent or more relaxed. That is how I read
21 question (a). All question (a) is asking for is a change. It
22 doesn't stipulate whether it should be a change for the
23 better or the worse. To that degree, it seems to me that
24 what we are talking about is correct. We are really asking
25 should there be a change. Probably the question should have

1 been does the committee consider that anything has happened
2 since the last meeting to warrant a change, (a) and (b), and
3 in what direction should the change go. Ray?

4 DR. ROOS: I think when we sat around the table a
5 couple of years ago we didn't know what the impact of our
6 recommendation would be.

7 DR. BROWN: Yes.

8 DR. ROOS: And now we have data about that, and
9 when it came to **time** as to how much of a limit on residence
10 time we would allow with respect to U.K. -- should it be one
11 week or three months or six months, we did this predictive
12 study and then we have some data at least to know what the
13 impact is.

14 DR. BROWN: Another way to deal with this is to
15 leave (a) and (b) in, but (a) is now just as such. (a) is
16 being voted on as such and it is being voted on based on
17 what we will loosely call the science -- has anything
18 happened scientifically in the past year or two to warrant a
19 change? Then, the second question deals with supply. So, the
20 first question doesn't really have to do with the benefit or
21 the adverse effect on benefit. The first question really has
22 to do with risk, and is there anything that has happened in
23 the past year or two that warrants a change in thinking
24 about risk? That would be a decent question, and that is how
25 I understand the question to have been worded. Yes?

1 DR. EWENSTEIN: If we look at it that way, and I
2 agree with you, we are basically left I guess with three
3 facts or sort of facts. One is the anecdote of the sheep,
4 which I think is an experiment that is ongoing. Two is the
5 lack of transmission in the human population, although there
6 are very limited data that you presented. And, three is what
7 I believe to be a much better looking curve on the modeling
8 but we can accept the fact that it is still very early on
9 and perhaps needs another year to better define the curve.
10 But, I do think that it is unlikely, especially from your
11 presentation of Dr. Will's data, that the curve could
12 possibly be as bad as we had thought it might be a year and
13 a half ago.

14 With all those three together, it would be my own
15 personal feeling that we should probably stay the course
16 because none of those pieces of data that I see on the table
17 are that compelling in either direction and they tend to
18 almost neutralize each other.

19 DR. BROWN: I am putting the question as written,
20 1(a), to the vote. Ray?

21 DR. ROOS: I would say no.

22 DR. LEITMAN: Paul?

23 DR. BROWN: Yes?

24 DR. LEITMAN: I just wanted to make two points
25 before you start the vote, based on what I heard this

1 morning. One has to do with donor availability. Families
2 tend to donate together and spouses tend to both be donors.
3 So, the elimination or deferral of a donor who comes to
4 donate based on U.K. travel deferral often defers two
5 subjects, the second of which never shows up. So, that is
6 hidden in the fact that 0.8 percent is the actual written
7 loss or documented loss as opposed to the slightly greater
8 than 2 projected loss. I think it is exactly a 2 to 2.2
9 percent loss from our own institution.

10 My second point is kind of a difficult one to make
11 perhaps to the consumer representative. An argument made by
12 an industry supplier, commercial supplier such as the
13 American Red Cross, of this nature may be made out of
14 economic or political or with other strategies in mind that
15 are not purely scientific and don't purely have the public
16 health benefit in mind, which is why I most strongly support
17 Dr. Brown's statement that the committee should be assessing
18 the information presented here scientifically rather than on
19 an industry recommendation.

20 DR. BROWN: I won't read your names. If you would
21 just kind of tick your votes off, Dr. Freas will tally them
22 up.

23 DR. DETWILER: Yes.

24 DR. EWENSTEIN: No.

25 DR. BURKE: No, there has not been sufficient

sgg

1 change to change the current course.

2 MS. FISHER: Yes.

3 DR. MCCURDY: No change.

4 DR. PICCARDO: No change.

5 DR. GAYLOR: No.

6 DR. NELSON: No.

7 DR. BOLTON: No.

8 DR. BROWN: No.

9 DR. BELAY: No.

10 DR. CLIVER: No.

11 DR. LEVIN: No.

12 DR. WILLIAMS: No.

13 DR. PRUSINER: Yes.

14 DR. BROWN: The question that is now before is
15 have the recommendations of the FDA concerning blood donor
16 referral, because of residence in the U.K. -- still talking
17 about the U.K. -- had an adverse effect on the blood supply
18 sufficient to consider a change? Discussion? I will put the
19 question to a vote. Ray?

20 DR. ROOS: No.

21 DR. BROWN: Do you want me to start alternately
22 every now and then to give you a break?

23 DR. ROOS: Please.

24 DR. DETWILER: No.

25 DR. EWENSTEIN: No.

1 DR. BURKE: No change.
2 MS. FISHER: No.
3 DR. MCCURDY: No.
4 DR. PICCARDO: No.
5 DR. GAYLOR: No.
6 DR. NELSON: No.
7 DR. BOLTON: No.
8 DR. BROWN: No.
9 DR. BALEY: No.
10 DR. CLIVER: No.
11 DR. LURIE: Yes.
12 DR. WILLIAMS: No.
13 DR. PRUSINER: No.
14 DR. FREAS: One yes vote, zero abstained and 15 no
15 votes.
16 DR. FREAS: Unofficially I have two yes votes, no
17 abstained votes and 14 no votes. The yes votes -- correct me
18 if I am wrong -- are Dr. Detwiler, Ms. Fisher and Stan
19 Prusiner. Correction, there were three yes votes, 13 no
20 votes and zero abstained.
21 DR. BROWN: The question that is now before is
22 have the recommendations of the FDA concerning blood donor
23 deferral, because of residence in the U.K. -- still talking
24 about the U.K. -- had an adverse effect on the blood supply
25 sufficient to consider a change? Discussion? I will put the

1 question to a vote. Ray?

2 DR. ROOS: No.

3 DR. BROWN: Do you want me to start alternately
4 very now and again to give you a break?

5 DR. ROOS: Please.

6 DR. BROWN: Linda?

7 DR. DETWILER: No.

8 DR. EWENSTEIN: No.

9 DR. BURKE: No change.

10 MS. FISHER: No.

11 DR. MCCURDY: No.

12 DR. PICCARDO: No.

13 DR. GAYLOR: No.

14 DR. NELSON: No.

15 DR. BOLTON: No.

16 DR. BROWN: No.

17 DR. BELAY: No.

18 DR. CLIVER: No.

19 DR. LURIE: Yes.

20 DR. WILLIAMS: No.

21 DR. PRUSINER: No.

22 DR. FREAS: One yes vote, zero abstained and 15 no
23 votes.

24 DR. BROWN: Yes?

25 DR. KATZ: I am just interested, as a guest from

1 industry, in the yes vote on that question, a very brief
2 reaction.

3 DR. LURIE: The reasons are that, in sum, the
4 predicted decreases in the supply, best as we can tell, seem
5 to have been overestimates and, at least if looked at from
6 the supply side, we see the flat line. Your point about one
7 has to look at demand as well is a well taken one, but the
8 evidence, as I see it as presented to us today, suggests
9 that there was an overestimate of the amount of impact on
10 the blood supply.

11 DR. BROWN: The crucial word was "adverse." We
12 will allow a vote change to a unanimous no vote.

13 Now we get into a little bit of uncharted
14 territory, and we ask approximately the same questions anew
15 with respect to France. Should the FDA recommend deferral of
16 blood or plasma donations by persons with a history of
17 travel or residence in France for an aggregate period of ten
18 years or more after 1980?

19 Now, there are two points I want to emphasize
20 here. One is the ten years versus six months, based on what
21 you have heard about probabilities of exposure. The second
22 is that it is open-ended. All right? It is 1980 to the
23 present. Probably, rationally it might be 1985 to the
24 present but 1980 is all right, and the reason for that
25 simply is, as you have heard this morning, that the risk in

1 Europe probably had a lag period of several years relative
2 the risk in Great Britain, and continues. That is the
3 point -- and continues and may possibly, in some countries,
4 be worse next year than it is this year. We just don't know.
5 So, those are two important points to bear in mind when we
6 have this very brief discussion. Yes?

7 DR. EWENSTEIN: You know, this ratio of 20 to 1
8 which seemed to be based on the imported risk makes some
9 sense, and it fits, as you pointed out, very roughly with
10 the incidence of the human disease so far. This is probably
11 what the committee should have voted on when it was
12 considered last time, if we just wanted to be consistent.
13 But what I think is more difficult now is the question of
14 whether there is an endogenous risk, in which case this
15 ratio of 20 to 1 in 10 years to 6 months doesn't make as
16 much sense. And, I think that is the part that maybe other
17 folks on the committee understand a little bit better. In
18 terms of the imported risk these numbers are consistent and
19 do make sense to me.

20 DR. BURKE: Although you use the number 20 to 1,
21 we heard two other estimates of what the relative risk
22 estimates were for France versus the U.K. We heard one from
23 Dr. Giulivi of about 100 to 1, as I understood it, and we
24 heard one from Dr. Williams of 10 to 1 in their estimates,
25 as I understood it. It might be useful if we had a defense

1 of those. My guess is they are indefensible.

2 DR. BROWN: Yes, and don't overlook the fact that
3 the committee is certainly within its responsibilities to
4 say we couldn't make a recommendation, with respect to a
5 given time, without more information about just what you
6 said. That is, I know the question is phrased in such a way
7 that it says 10 years from 1980 to the present. We have
8 every possibility in saying no to that but yes to something
9 that is either vaguer or requires a little more work on the
10 part of the people who are data suppliers.

11 DR. BURKE: Again, as I understood it, our
12 Canadian colleagues did make a recommendation that was
13 different than this. They have the six-month block as well.
14 Is that correct?

15 DR. BROWN: Yes, I think that must have been
16 strongly influenced as well by the fact -- maybe I am wrong.
17 Tony, was that influenced a good deal by Quebec as well?

18 DR. GIULIVI: That 100 to 1 is a traveler, a
19 Canadian traveler to France coming back, but the endogenous
20 risk, you know, of importing foods from U.K. to France is
21 still 1 in 10. So, that still stays the same. It is how many
22 people in Canada went to France on a national level, and
23 that is the risk.

24 DR. BROWN: You are including Quebec travelers?

25 DR. GIULIVI: Quebec travelers, yes. Dr. Belay?

1 DR. BELAY: What percentage of the blood supply in
2 the United States will be impacted by a ten years residence
3 in France?

4 DR. BROWN: That, I guess, Allan, is something
5 that you didn't have a figure on, or perhaps you did? Were
6 your figures based on six-month combined U.K., or was it ten
7 years France, six months U.K.?

8 DR. WILLIAMS: I didn't present data specific to
9 France because of the way the survey was constructed. We had
10 intervals for travel to Europe and total prevalence for
11 visits to France.

12 DR. BROWN: So, U.K., France was whatever period
13 was sliced on the chart. That is, travel to the U.K. or
14 France for such-and-such a period.

15 DR. WILLIAMS: Right.

16 DR. BROWN: Okay. The other practical issue on
17 this is what do you do if someone tells you they were in
18 Yugoslavia for five weeks, France for eight and a half years
19 and the U.K. for two months? Does that add up to a deferral?
20 That is a heavy piece of arithmetic for the question askers.

21 DR. PRUSINER: That is question number four.

22 DR. BROWN: Oh, it is? I have anticipated
23 something. So, we are still back on 2(a).

24 DR. BELAY: Dr. Brown, I would still like to have
25 an estimate or a guesstimate, if you will, based on the

1 survey conducted by the American Red Cross. What would be
2 our guesstimate for the ten-year period in France based on
3 the data that you collected?

4 DR. BROWN: Do you have any idea, Allan, what that
5 might be?

6 DR. WILLIAMS: The curve for the U.K. deferrals
7 for one year goes out to 1.5 percent and gradually decays
8 from there. I don't have the information for that five-year
9 data point but I believe it is around 0.5 percent. So, if
10 you use that correction factor for France, 0.7 times 0.5
11 percent, one could guesstimate perhaps around 0.3 for that
12 time period.

13 DR. BROWN: My sense of one of the reasons the
14 committee didn't mess with France the last time is that,
15 yes, it would be logical and consistent, and if it were
16 deferral based on ten years, given a 1 to 20 relationship,
17 which would be a logical one, the yield of Americans who
18 spend ten years in France would probably be so small it
19 wouldn't be worth asking the question. Ray?

20 DR. ROOS: Well, I guess what has changed over the
21 last six months are some perceptual aspects with respect to
22 France and BSE, which I think are worth noting. The BSE
23 cases are relatively small but this grocery store incident,
24 although it is an incident, brings home the realization that
25 infected BSE material may not enter into the human food

1 chain and that is certainly concerning. So, although the BSE
2 outbreaks are small still, we are not quite sure as to
3 contamination of human food and how often that might have
4 occurred over the number of years that BSE has occurred in
5 France.

6 DR. BROWN: Yes, this again boils down to such an
7 easy decision, one, all the things we don't know -- we don't
8 know the risk in France for a traveler, over what period of
9 time. We don't know if someone is exposed if they are going
10 to get infected. We don't know if they are infected, if they
11 are going to have blood that is infectious. And, we don't
12 know if the blood that is infectious is going to transmit to
13 disease. So, we should be able to make a decision.

14 [Laughter]

15 DR. EWENSTEIN: All that aside, another piece of
16 data that we hadn't discussed that was in our information
17 packet is the paper that came out, I think it was in Nature
18 late in 2000, on the sort of gearing ratio between cow and
19 human infection, and it appeared to be about --

20 DR. BROWN: I am sorry, what ratio?

21 DR. EWENSTEIN: Sort of a gearing ratio, in other
22 words, how many people would be infected, based on the U.K.
23 epidemic, from a single cow. And, we had talked about
24 thousands of people potentially from a cow and the new
25 numbers seemed to be more like two, again, based on

1 modeling. If that is true, then the absolute number of
2 infected cows in the country would have a tremendous impact.
3 For example, in France, although the numbers are increasing
4 the absolute numbers are still very small. So, if you look
5 at the maximum people at risk of every one of the 100 *
6 infected cows, you know, transmitted to two individuals
7 would still be very different than our worst estimates. So,
8 with that in mind, I think the question is that we have a
9 period in time when the risk was from the U.K. beef and now
10 we have a period in time when we are not sure what the
11 epidemic in the cattle is, and it is very hard to come up
12 with a recommendation for total number of years based on two
13 very disparate risk factors. I mean, I am not sure if
14 everyone is agreeing with this analysis --

15 DR. BROWN: Yes, and that is also true for the
16 whole of Europe. That is to say, anything that is going on
17 in Europe now is certainly endogenous. It may have
18 originated as contaminated feed infecting cattle in Italy or
19 Austria or France but now it is almost certainly the result
20 of having recycled that original material into French-born
21 cattle or Austrian-born cattle, or whatever.

22 DR. EWENSTEIN: Right, but what I mean was there
23 was an actual risk of U.K. beef exposure --

24 DR. BROWN: That is right.

25 DR. EWENSTEIN: -- and now we are talking about

1 the reintroduction or the introduction of infection --

2 DR. BROWN: That is right, yes.

3 DR. EWENSTEIN: So, I think the ten-year period
4 makes sense based on what I am calling exogenous risk for
5 France, and what is harder for us to calculate now -- and
6 this is going to be true for all the BSE countries -- is the
7 new emerging endogenous risk and this is where I would agree
8 with the open-endedness and certainly not using '96 as a
9 cut-off. That makes no sense for the countries in which BSE
10 infection may be just emerging.

11 The question is can we use ten years as a
12 reasonable number for now? It seems like a reasonable
13 compromise, recognizing that we don't know how rapidly the
14 number of new BSE cases will go up in these countries but
15 what we say about France should be consistent with what we
16 are going to say later on, in the next question, about the
17 other BSE-infected countries. And, I think that is going to
18 have to be deferred until we know more about those
19 epidemics. So, I think for now the ten years, the open-
20 endedness, makes sense for France based on the prior risk
21 data that we have.

22 DR. BROWN: It really boils down to whether the
23 committee would like to take a very, very conservative
24 position in the presence of ignorance, for the time being,
25 or a more liberal position, saying either way we really

1 don't know what the situation is yet. Probably in a year,
2 six months to a year, the whole BSE situation in Europe will
3 be vastly clarified. So, in the interim do we want to add
4 more deferral for the sake of prudence and the possibility
5 that Europe may explode, or think that we really should stay
6 at status quo until we find out. Yes?

7 DR. LURIE: I agree with what Dr. Ewenstein was
8 saying and in other respect comments put the focus back on
9 the cows and less on the people, and there only are three
10 cases in France, which is the primary reason we are focusing
11 on France. But, I have brought along a slide from Dr.
12 DeCrow's presentation earlier, one of our earlier meetings,
13 which looked at the rates of infected cows per million
14 cattle over the age of two, by country. I went over this at
15 a previous meeting where I previously argued on my own for
16 an extension of a ban beyond Britain. The U.K. rate was 422
17 per million cattle. The Portuguese rate in 1999 was 236 per
18 million cattle; Switzerland, 53; Ireland, 27; and everybody
19 else, including France, was in the single digits. Now, those
20 numbers have changed since then and I don't know what the
21 rates are because they weren't presented to us today. I
22 would have personally found that very helpful.

23 But, I think that particularly when the number of
24 human cases is as low as it is, I find the cow cases,
25 whatever their limitations and there certainly are based on

1 the degree of active case finding that one engages in -- I
2 find that very important, and that makes me feel that we
3 need to focus on those, and I mention Portugal in
4 particular.

5 DR. BOLTON: My concern with regard to France and
6 other countries is that a 20-fold ratio I think seems to
7 hold for the exogenous risk up to, say, 1996 or '98, but it
8 is not clear to me that that holds at the present or in the
9 future. So, six months in France in the last year or two may
10 be a much higher risk than ten years from '85 to '95.

11 DR. BROWN: Is there any sense that the committee
12 would like to answer that question without stipulating a
13 time, and just answer the question without the time? Jean-
14 Philippe would like to say something. Come.

15 DR. DESLYS: I am not on the committee but there
16 is a difference between before '96 and after '96. The
17 observation that it was transmissible to man changed many
18 things and, notably on the reality on the ban of offals. So,
19 the fact that it has been really applied and that it
20 couldn't enter anymore, or not in such proportion, into
21 human food has changed many things.

22 DR. BOLTON: But that sort of relies on at least
23 the possible face of an increasing epidemic curve of BSE in
24 France. You are relying on new regulations to prevent
25 contaminated beef or beef products entering the food chain.

1 DR. BURKE: Again, my understanding in the U.K. is
2 that there are no cows over the age three that go into the
3 human food supply now. Is that a correct statement?

4 DR. BROWN: Yes, I think it is over the age of 30
5 months, and throughout Europe shortly no cow over the age of
6 30 months is going to get anywhere without a brain exam.

7 DR. BURKE: Right, the point being that there may
8 be infected cows in those areas but there will not be cows
9 ingested which are older than 30 months, whereas in the U.K.
10 during the height of the epidemic that was not the case, and
11 it was a very different ratio of the risk to humans during
12 the height of the epidemic in the U.K. than it would be in
13 the future where there is a limitation on the age at which
14 animals can be eaten. So, even there I am not sure you can
15 apply a formula that allows you to extrapolate into the
16 future about what the human risk will be based on the cow
17 formula. I would like to be able to do that and it is
18 probably the best number we have but a direct extrapolation
19 can't be done.

20 DR. LURIE: I didn't actually do that. All I did
21 was I spoke to the point prevalence in 1999. I didn't make
22 any comparison back to a previous point. Your point is well
23 taken but it isn't what I said.

24 DR. BURKE: Okay. That would be the logic that
25 would have extended the argument.

1 DR. BROWN: Dr. Cliver?

2 DR. CLIVER: There were actually three measures in
3 he U.K. I don't know to what extent they are going to be
4 mplemented in Continental Europe but the specified bovine
5 ffals, the 30-month ban and incidentally the beef on the
6 one ban were all measures that meant that just counting
7 ick cows or possibly BSE-positive cows is going to be a not
8 very appropriate way of assessing risk for a while to come.

9 DR. BROWN: Dr. Belay and then we will vote.

10 DR. BELAY: In terms of specifying time, I think
11 what we are doing is a balancing act, the risk on one side
12 and also the impact on the blood supply on the other hand.
13 So, I believe certainly a ten-year period would have less of
14 an impact on blood supply than, for example, six months in
15 France. So, I suggest voting on the question the way FDA has
16 actually phrased it because of the possible impact on the
17 blood supply.

18 DR. BROWN: Okay, let's do it. Let's start the
19 other way around. Stan? We are voting on question (a) as it
20 is written, should the FDA recommend deferral of blood or
21 plasma donations by persons with a history of travel or
22 residence in France for an aggregate period of ten years or
23 more after 1980?

24 DR. PRUSINER: No.

25 DR. WILLIAMS: No.

1 DR. LURIE: Yes.
2 DR. CLIVER: No.
3 DR. BELAY: Yes.
4 DR. BROWN: No.
5 DR. BOLTON: Yes.
6 DR. NELSON: Yes.
7 DR. GAYLOR: Yes.
8 DR. PICCARDO: No.
9 DR. MCCURDY: Yes.
10 MS. FISHER: Yes.
11 DR. BURKE: No.
12 DR. EWENSTEIN: Yes.
13 DR. DETWILER: Yes.
14 DR. ROOS: Yes.
15 DR. FREAS: I have six no votes. I have 10 yes
16 votes and zero abstained.
17 DR. BROWN: That moots part (b). So, good for us!
18 Other BSE countries, should the FDA recommend deferral of
19 blood or plasma donation from persons with a history of
20 travel or residence in other countries identified by the
21 USDA as having BSE in cattle for an aggregate period of ten
22 years or more after 1980? An identical question to question
23 2(a) but now we are talking about all other European
24 countries. Dr. Cliver?
25 DR. CLIVER: I hadn't known until we got our

1 folders today about the Euroblood program. Obviously, the
2 way we are going, if we are collecting blood even at FDA
3 licensed centers in Europe, in the countries that were
4 mentioned, these are people who live there so that whole
5 program is history. I think, one, we need to consider that
6 in the specific context that was presented but, beyond that,
7 it is hard for me to believe that even though New York
8 City's blood supply is the only one mentioned here we are
9 actually operating three blood centers in Europe for the
10 sole benefit of the New York Metropolitan area. So, I think
11 we need to know a little bit more about the impact of
12 obliterating the Euroblood program that is beyond what we
13 heard about the impact in New York City. We can't defer
14 people for staying some period of time in these other BSE
15 countries without obliterating the Euroblood program
16 totally.

17 DR. BROWN: Yes, assuming that the FDA took that
18 advice and issued that guidance, and assuming that the
19 Euroblood program followed suit. That is, I could imagine
20 that the Euroblood program is not bound legally to do what
21 the FDA asks -- I think.

22 DR. CLIVER: Oh, I am sure that is true but, all
23 the same, what blood supplier in this country would import
24 blood against the recommendations of this committee, at the
25 risk of whatever publicity would result?

1 DR. BROWN: We have the representative here. Maybe
2 we could answer that question.

3 DR. CLIVER: But my question is not what is this
4 going to do to New York. We have already heard that. The
5 question is how many other blood supply areas of the United
6 States are subscribing to the Euroblood program in addition
7 to the New York Metropolitan area

8 DR. BROWN: Anybody have an answer to that
9 question?

10 DR. KATZ: Yes, essentially none. Euroblood is
11 peculiar to New York, and developed out of differences in
12 transfusion practices between Europe and the United States
13 when, unlike here where plasma is a byproduct, in Europe the
14 red cells were a byproduct of their practices and it was a
15 good source of high quality product for New York. But they
16 are the only ones in our industry right now who are
17 dependent.

18 DR. BROWN: So, is it your thought, assuming is
19 yes, we will defer Europe, France, that the Euroblood
20 program in New York City could function apart from that?

21 DR. KATZ: No.

22 DR. BROWN: No.

23 DR. KATZ: No, it would be gone.

24 DR. BELAY: What is the feasibility of gradually
25 phasing out --

1 DR. KATZ: Phasing out Euroblood is in the
2 strategic plan. I am speaking for New York Blood Center to a
3 certain degree here. That is in their plan. They are
4 actively trying to do that. It brings up a point I was going
5 to make at some point in summary, that much of what we are
6 talking about that is difficult with these issues could be
7 taken care of if we had the right kind of top-down approach
8 to blood donor recruitment that we think we need. That is,
9 the highest levels of the government making this a high
10 priority, which was going to lead me to request that the
11 committee ask FDA to discuss this with the new
12 administration as a very, very high priority but we get
13 ahead of ourselves, I guess.

14 DR. BROWN: Yes, Linda?

15 DR. DETWILER: I just want to point out for the
16 committee that the point about the specified risk material
17 ban is a very important one, I think, when you are talking
18 about the remainder of the Continent because not all the
19 countries in the European Union had in place SRM ban and
20 that went into effect just this past October, and that would
21 be taking these high risk tissues out of the food and feed
22 chains. So, that went European Union-wide just this past
23 October, 2000.

24 DR. BROWN: One of the things we have to remember
25 is that there is the future and there is the past. In the

1 future things are probably going to be quite a lot better
2 but there are a lot of people walking around now which is
3 what we are talking about, 1980 to the present.

4 DR. MCCURDY: I have a couple of comments and a
5 question or two. One is whether the American Red Cross, who
6 pushed for this type of change or an even more stringent
7 one, is prepared to replace that 25 percent of the New York
8 Blood Center's supply by collecting it and shipping it into
9 New York from their blood centers around the country.

10 A second comment is that at one time, that is in
11 the '70's and '80's, the Washington, DC Red Cross Blood
12 Center, which has now merged with Baltimore, was importing
13 about 100 units of red cell products a week from the New
14 York blood program, most of which came to Europe. I guess I
15 would assume from the comments that this is no longer the
16 case, but I think this needs to be verified.

17 The other comment is that on several occasions
18 publicly -- I am not quite sure on which of the advisory
19 committees it was done, but on several occasions publicly I
20 offered the Red Cross to be a broker for high level
21 participation in the blood program in the government,
22 particularly in the Washington, DC area, but with the idea
23 that this would ultimately be exported throughout the
24 country and would not be restricted to the Red Cross as the
25 blood collection in the country is not restricted to the Red

1 across. Although there was very limited discussion of this
2 offer, nothing ever came of it.

3 DR. NELSON: I am trying to remember the
4 representation by the military, but it would seem to me that
5 extending this ban to ten years in Europe could have a
6 devastating effect. I have forgotten the percentages, but
7 certainly it would be more profound perhaps even than New
8 York City.

9 DR. BROWN: What he said was that the bottom line
10 was that they would probably have to have a 50 percent donor
11 increase of available donors.

12 DR. NELSON: Was that based on ten years? Was that
13 based on a ten-year cut-off?

14 COL. FITZPATRICK: It was based on six months. Ten
15 years wouldn't have any effect because most of the tours in
16 Europe are 18 months to 3 years, although there are repeat
17 tours. So, we would have to assess the impact but there
18 would be less impact.

19 DR. BROWN: Yes?

20 DR. PRUSINER: I just wanted Jay Epstein to
21 comment upon the authority of FDA because I was under a
22 different impression.

23 DR. BROWN: With respect to?

24 DR. PRUSINER: When you said the FDA couldn't
25 abolish the Euroblood program. I thought that they could do

1 that.

2 DR. EPSTEIN: Yes, let me state clearly that if
3 the FDA recommends an exclusion based on residence or travel
4 in Europe of whatever period, that ban would apply to any
5 and all attempts to import blood that did not meet that
6 criterion. In other words, we would take enforcement action;
7 there would be no Euroblood, and it is within our authority.

8 DR. BROWN: But you would issue a guidance, a
9 recommendation or a regulation?

10 DR. EPSTEIN: Well, we would issue a
11 recommendation, which is the same thing as saying a
12 guidance. However, in doing so, we would be taking the point
13 of view that we felt that it was within the interpretation
14 of the regulations, in other words, that we felt it was
15 essential to assure safety, purity, potency of the products.

16 DR. BROWN: Right. So, basically it is a big stick
17 but it hasn't been used. Eh? I understand what you are
18 saying.

19 DR. EPSTEIN: Well, there is no current violation.
20 In other words, the European imported red cells do meet all
21 current U.S. standards. The facilities are licensed; they
22 are licensed as facilities of the New York Blood Center.
23 They are subject to all U.S. standards, including donor
24 screening, use of U.S. approved tests, and we do inspect
25 them to assure that they meet our standards. So, at the

1 present time, with the current standards, they are suitable
2 products. If we change the standard and we argue that it is
3 on the basis of the authority to assure safety, purity and
4 potency of biologic products, including blood, then any such
5 imports for human use for transfusion would become inviolate
6 and we would take enforcement action. So, we can control our
7 borders and we would regard as an enforceable policy.

8 DR. BROWN: So, if you issue a guidance on
9 anything -- anything, then to not follow the guidance is
10 illegal?

11 DR. EPSTEIN: No, that is not, in fact, true.

12 DR. BROWN: That was my point.

13 DR. EPSTEIN: Guidance, in and of itself, is not
14 binding on the agency or on the industry. It is a statement
15 of FDA's policy or interpretation of regulations. When an
16 establishment seeks to deviate from guidance the presumption
17 is that they will make a case before the agency and propose
18 an alternative procedure. So, from a purely legal standpoint
19 that is true. It is hard to understand what alternative
20 might be proposed given the current scientific limitations
21 but, yes, if there were proposals for alternatives from
22 guidance they would be considered and they wouldn't be
23 presumptively in violation.

24 DR. BROWN: Are you clear on that, Stan? It is
25 sometimes amusing, and other countries sometimes find it

1 amusing that FDA typically issues guidances whereas other
2 countries issue mandates. You know, I don't want to get into
3 a long discussion about why we do that, but the fact is a
4 guidance can be flaunted at least once, and not go to jail,
5 and that "ain't" true in other countries.

6 DR. EPSTEIN: Again, this probably isn't the time
7 and place to discuss the legal structure of what we do, but
8 if we believe that the deviation from the guidance itself
9 would constitute a violation of existing regulations, then
10 it is, indeed, directly enforceable. That doesn't mean that
11 it couldn't be challenged in court. And there are sort of,
12 two levels. If it is a violation of the letter of the law in
13 statute or regulation, then the issue in court is only
14 whether it happened or didn't happen. If it is a matter of
15 interpretation, then the issue in court is what we call a
16 battle of the experts. So, there is a larger legal
17 framework. I am only trying to explain that the issuance of
18 a guidance does not automatically create the equivalent of
19 an enforceable regulation. The guidance per se is not
20 enforceable. However, if the guidance is a statement that we
21 believe is violative of regulations or statutes, then it is.

22 DR. BROWN: It is a kinder, gentler way, Stan, and
23 it is also democratic and it usually works because the blood
24 industry pays attention to guidances. Yes?

25 DR. DAVEY: Yes, I think that is certainly true if

sgg

1 guidance is issued, for all intents and purposes the blood
2 establishments follow it.

3 Just a couple of broader comments, if I could,
4 Paul. The committee is being asked really again to make
5 major and far-reaching decisions on really inadequate data,
6 grossly inadequate data. It is a situation that the
7 committee has faced in the past and done very well.
8 certainly, if we are going to make a decision to extend the
9 ban to all countries with BSE it has to be all or none. I
10 don't think we can nit-pick between countries. I am a little
11 concerned, actually, about the last vote on France.

12 So, that is what has to be done. But I think we
13 have to look at the data we do have and the transfusion data
14 are reassuring, and continue to be reassuring about
15 transfusion-transmitted BSE or vCJD. That is in Europe and
16 certainly here, in the United States. And, there is a great
17 experiment going on in the United Kingdom right now which we
18 can watch with care to see what happens over there. That
19 certainly is a country where we are going to learn our
20 lessons from.

23 But what should we do here? I think we do have to
22 look at the impact on blood supply incredibly closely, and
23 that impact is real and it is documented. People are not
24 crying "wolf" about this. This is a real problem. Last
25 summer there were shortages, many shortages across the

1 country and I think it is important for the committee to
2 also note that these are not just broad shortages, they are
3 especially acute in group 0. Group 0 blood is in incredibly
4 short supply year round, and last summer was desperately
5 short in many areas.

6 The industry will lose more repeat donors and more
7 plasma apheresis donors, I believe, as has been mentioned by
8 other speakers, and I certainly support the efforts that the
9 blood industry has made to get new donors. We have to get
10 new donors but that is hard. The industry has been working
11 on this for thirty years with dedicated professionals. It is
12 hard to get new donors, The industry can do better. But to
13 make up the shortfalls that will occur, especially in New
14 York City and elsewhere, are going to be monumental and very
15 difficult. We have to talk to the people on the front lines
16 and in the hospitals who are not going to be getting enough
17 blood. It is a problem that will impact patient care.

18 So at least in my view, we certainly have to be
19 responsible for the safety of the American blood supply.
20 These are critical issues. The caution flags are flying
21 high. But, it is not safe to not have enough blood. It is
22 not safe to have people going with cancelled surgeries or
23 worse in this country, and we have to approach these bans
24 with great caution. And, I certainly hope the committee will
25 certainly do that on this question.

1 DR. CLIVER: That was something that bothered me
2 in the presentations this morning. It looks as if you could
3 conclude from the zero slope of the inventory that
4 absolutely nothing we do here is going to make a difference
5 in available blood in the United States, and I can't accept
6 that. I really have to believe that, given our present
7 method of motivating and collecting, we are going to be
8 shorter and shorter and shorter even with the constant
9 inventory.

10 A high profile case of this week was Ted Williams'
11 open heart surgery. I don't know how many units of blood
12 they expanded on an 82-year old there. He is not at risk of
13 transmissible spongiform encephalopathies. If there were a
14 second level of blood that we could administer to people in
15 that situation maybe it would take a little of the pressure
16 off, but if we are doing a one-size fits all, zero risk
17 blood supply, why, then we have to start applying some
18 criteria for deferring recipients and, given his notoriety,
19 he probably would never have gotten left out but it does
20 make me wonder how much of somebody else's life we are going
21 to expand on people of that age.

22 DR. LURIE: I appreciate all the comments made
23 about the blood banking, but it is true also that the
24 organization that represents 50 percent of blood donated in
25 this country has come to a different conclusion. Although I

1 understand that there might be political things operating as
2 well, I notice that the representative of the Red Cross
3 seems to want to make a comment and so I would like to add
4 to her the question of how is it given the data available to
5 this committee, or are you aware of some data of which we
6 are not aware, that you came to the conclusion that you did?
7 What was your thinking?

a DR. BROWN: Yes, you have been waiting patiently.

9 DR. FREAS: Could you state your name and
10 affiliation for the transcript?

11 MS. FREDERICK: Yes, I am Jackie Frederick,
12 American Red Cross. Let me address the three questions and
13 one statement made. No, the Red Cross does not import blood
14 from Europe, and has not, as far as I am aware, for many,
15 many years. I think there was an instance back in the '60's
16 or '70's. So, no, we do not.

17 Two, yes, we absolutely would help out New York
18 Blood Center, as I believe every blood center in this room
19 would. We are humanitarian, not-for-profit organizations and
20 if we decide for safety purposes to take a step that reduces
21 availability, I am absolutely sure that we will all come to
22 the aid of patients anywhere who need it and the New York
23 Blood Center.

24 Three, I was unaware of Dr. McCurdy's offer to
25 help us expand collections in the Washington, DC area but

1 would be very, very happy to do that.

2 How did the Red Cross come to the decision that we
3 could implement these deferral criteria for safety purposes
4 and maintain the blood supply? I believe this is our fifth
5 year of continuing to grow blood collections in this
6 country, and we have been successful in doing that. I
7 believe this is a great country with all the expertise that
8 knows how to reach the public and consumers. We have shown
9 it over and over, and it is just a matter of devoting the
10 right resources and the right time and the right effort, as
11 someone here said, to getting it done. We have done it.

12 On August 14th of this year, we instituted a
13 change in procedures to go from an ear sampling to finger
14 sampling to protect donor health. We immediately lost 6
15 percent of our donors, and we immediately made it up because
16 we planned for it and took quick action. But we have serious
17 shortages that have to be addressed.

18 MS. FISHER: I know I sound like a broken record
19 but it is of paramount importance that we ensure the safety
20 of the blood supply, that we ensure public confidence in the
21 safety of the blood supply, and that we ensure that the
22 confidence of the people in FDA's ability to ensure the
23 safety of the blood supply, and the donor base problem is a
24 separate issue. I agree with the American Red Cross, that
25 can be addressed with making donating blood a priority, more

1 of a priority than it has been in society; making sure that
2 those who donate are healthy and that we eliminate even a
3 theoretical risk of contamination of the blood supply,
4 especially with contaminants that we don't even thoroughly
5 understand yet.

6 DR. BROWN: I think we will have just one more
7 question, or two, or comment and then we will put this to a
8 vote.

9 DR. BURKE: I would like to address the question
10 as directly as possible. I see that the other countries in
11 Europe that are BSE countries are a separate problem and
12 different from France. France has the problem that it was a
13 major importer of British beef and, as Bruce pointed out,
14 there are two issues to decide upon. One, what was the risk
15 of importation, and over the last 10 or 20 years did people
16 eat potentially contaminated beef? And, two, is there
17 currently an epidemic in which they are having exposure to
18 contaminated beef?

19 For the rest of the countries, from the data that
20 I have seen so far, over the last 20 years or so the other
21 countries have had essentially zero or very low risk of
22 ingestion of beef from Britain and the issue is in the last
23 few years whether or not they have had exposure to their own
24 endogenous BSE.

25 So, the phrasing here for a ban going back 20

1 years would be essentially irrelevant for most of these
2 other countries because during that time, from all the data
3 we have seen, they have had very little exposure to
4 potentially contaminated material. So, my own feeling on
5 this one is it wouldn't make a lot of sense to go back 20
6 years and, therefore, I couldn't endorse it.

7 DR. BROWN: It probably would make sense if you
8 were moving along this direction and go back 15 years,
9 however. It is not so much the imported beef, Don; it is the
10 imported material that was fed to cattle. So, that is what
11 caused the endogenous --

12 DR. BURKE: If that were the case, then we would
13 apply the Lurie formula, that the risk is directly
14 proportional to the number of infected animals in the
15 country at that time.

16 DR. BROWN: Not at that time. Now. 1

17 DR. BURKE: Well, now, and there was no
18 perceptible risk as measured by infected animals in these
19 other countries at a time when the U.K. had a huge epidemic.

20 DR. BROWN: That is exactly right.

21 DR. BURKE: And, France had a spill-over from
22 that, and there is no evidence that these other countries
23 had a spill-over by importation of beef or beef products
24 that were intended for human ingestion when we looked at the
25 data on import-export.

1 DR. BROWN: Well, if you look at the data on
2 import-export, for example -- I am not really disagreeing --
3 France is in a category by itself in terms of import-export.
4 What I am saying is that beef is not the crucial matter; it
5 is flours, MDM, stuff that went into cattle and that went
6 into cattle in a big-time way starting about 1985.

7 DR. BURKE: Well, what matters is what goes into
8 people.

9 DR. BROWN: Ultimately.

10 DR. BURKE: I think we are in agreement, but I
11 think there is a delay so that in one case -- and this is
12 going to come up when we talk about the armed services -- in
13 one case you basically have what we are now calling a spill-
14 over from the U.K. epidemic, and that has its own time
15 period and the risk that we are trying to assign is based on
16 some proportion of the U.K. risk which we are now trying to
17 define.

18 Now, for these other countries what we are looking
19 at are the effects or potential effects of a second wave
20 and, there, there is a delay because the material had to get
21 into the animals and then into the people potentially. And,
22 so far we haven't even seen it in people yet in these other
23 countries. So, it doesn't make any sense from that point of
24 view to use the same cut-offs because just scientifically I
25 think we are all accepting the same fact, that is, we are

1 looking at two different phenomena. There is the U.K.
2 phenomenon and its spill-over, and then there is a second
3 wave that may be visible now, or about to be visible, in the
4 rest of Europe.

5 DR. BROWN: Well, I see three categories. The U.K.
6 obviously is one, and I really do see France as an
7 intermediate category. I mean, there are two very
8 distinctive things about France that are not true at this
9 time for any other European country. One is that they have
10 vCJD. They imported a huge amount of material that could
11 have been contaminated that went into their cattle and
12 humans, much more than any other country in Europe.

13 DR. BURKE: But I think those two are related, and
14 I think the direct human contact --

15 DR. BROWN: I do too.

16 DR. BURKE: But I think the direct human contact
17 is what is unique, and I am just trying to separate that
18 from the indirect contact, if you will, where it had to go
19 through a second wave of infection.

20 DR. BROWN: Last question. Ray?

21 DR. ROOS: We are zeroing in on specific
22 countries, U.K. and France, and in this question there is a
23 big lump and I am not even sure how many countries are on
24 the USDA list --

25 DR. BROWN: Essentially all of Europe. Is that

1 right? I mean, it is all of Europe.

2 DR. ROOS: Or what the specific numbers are with
3 respect to BSE in those. Maybe Linda wants to comment about
4 risk of other countries vis-a-vis France just to put things
5 in perspective. But it is difficult for me to vote without
6 the detail that we have and review with respect to France.

7 DR. DETWILER: Right now in the USDA list it is
8 countries that are known to have BSE cases but, in addition,
9 those that are high risk factors and that does include the
10 entire Continental Europe.

11 To answer Dr. Roos' other question, it is
12 difficult right now to make an assessment because of what
13 Dr. Lurie has pointed out, which is that you really need to
14 have this data over a denominator, and right now, because of
15 the surveillance starting, you know, on January 1, you would
16 still have a reported occurrence but that would give you
17 some proportion because if you look at Portugal, it does
18 stand out as far as cattle cases.

19 But you are still talking about a human factor
20 here and what got into humans, and I still think exposure
21 and/or SMBs are another thing to take into consideration.

22 DR. PICCARDO: I agree with Linda. The issue of
23 Portugal is an issue that we should consider separate from
24 the rest, I think. The fact that vCJD has not been diagnosed
25 in Portugal doesn't mean -- I mean, maybe it is just a

1 failure in the diagnosis. I mean, we don't know. Portugal is
2 a country that has a lot of cattle that is infected.

3 DR. BROWN: Allan, what would be the impact again
4 on loss of donors if we just wiped out the whole of Europe
5 for ten years?

6 DR. WILLIAMS: I don't have a ten-year value. At
7 the five-year value, which we have, it would be
8 approximately 0.7 percent.

9 DR. BURKE: That is donors traveling. That doesn't
10 include Euroblood and I think that is important.

11 DR.. WILLIAMS: Correct.

12 DR. BELAY: The New York Blood Center -- I believe
13 they told us that 25 percent of their blood supply would be
14 cut off by using ten years because this essentially would be
15 people who have resided in Germany, Switzerland and Holland,
16 if I understand it correctly, that contribute to Euroblood.
17 So, getting rid of 25 percent of the blood supply in New
18 York, they told us, would be devastating for the New York
19 area. I suggest that we give the New York Blood Center a
20 chance to phase out their source of blood products from
21 Euroblood and consider for the time being -- and this is a
22 proposal for me -- consider for the time being the other
23 high risk countries in Europe such as, for example,
24 Portugal.

25 In Portugal, I agree with Linda and also Pedro,

1 has probably the highest risk of BSE in Europe, second only
2 the United Kingdom. In addition, as far as know, Portugal
3 had not implemented, for example, the over 30 months scheme
4 probably until recently. So, the risk to humans in Portugal
5 will probably be higher than many other European countries.
6 So, I would propose potentially adding Portugal and possibly
7 also Ireland because Ireland has a new vCJD case and
8 probably frequent travel to the U.K., as evidence by that
9 vCJD patient possibly contracting the disease in the United
10 Kingdom. So, I would propose adding those two countries and
11 leaving out the rest of Europe for a period of ten years.

12 DR. BROWN: Comments? Ray?

13 DR. ROOS: I wonder whether Dr. McCurdy wanted to
14 comment on the situation in New York and what the impact
15 would be, for example, if there was a ten-year ban with
16 respect to all of Europe. What is your perspective on the
17 impact on the New York area?

18 DR. MCCURDY: Well, I think that I am very
19 concerned about supply in the U.S. as a result of a ten-year
20 ban on all of Europe, and it is primarily making up the
21 deficit in the New York BloodCenter. One question I was
22 going to ask of Dr. Freas is it is my understanding that
23 this committee, like BPAC, is supposed to deal with science
24 and not supply, but it looks to me as though, in the absence
25 of reasonably hard data, better data than we have or perhaps

1 movement toward making up that deficit and reducing the
2 dependence on Euroblood, I would be inclined to talk about
3 the risk-benefit here being riskier to get rid of that blood
4 than it would be to continue to use it, at least for a
5 period of time.

6 DR. FREAS: I am sorry, I am going to pass it on
7 to our policy experts to make a comment on that, not take it
8 myself.

9 DR. NELSON: It is a separate question, but I
10 can't see how we can separate this question from the impact
11 on the military blood supply. It is inconceivable to me that
12 we would have one set of criteria for the civilian
13 population and another set of criteria for the U.S.
14 military. To me, I think the adverse impact on the U.S.
15 military blood supply which, you know, could face
16 substantial needs, would probably be even greater than in
17 New York City.

18 DR. BROWN: Yes, I think the military was
19 separated because so much of its product comes directly from
20 the U.K., you know, wherever they were.

21 DR. NELSON: Well, they are already following the
22 U.K. ban, isn't that right, Jay?

23 DR. BROWN: In the U.K. they are.

24 DR. EPSTEIN: The point of clarification is this,
25 that for the active duty military and dependents stationed

1 outside the U.K. in Europe the meat products were heavily
2 sourced from the U.K. during the U.K. risk period.

3 DR. BROWN: Yes.

4 DR. EPSTEIN: So, they are already adopting the
5 policy of deferral for residents or travel in the U.K. but
6 the issue is exposures that occurred outside the U.K.
7 attributable to U.K. beef.

8 Let me also clarify that the policy would not be
9 different for the military and the civilian blood donor. The
10 policy would be the same. It is whether an exposure due to
11 being active duty military or dependent in Europe in the
12 risk period should be a base of deferral. That would then be
13 applied equally whether it was a civilian donation or a
14 military donation.

15 DR. NELSON: Well, it could have a differential
16 impact.

17 DR. EPSTEIN: Yes, that is true but it is not
18 because the standard for donation is different. The impact
19 would be different.

20 DR. BROWN: We have answered three questions. It
21 is 1:30. We have questions to answer before lunch. Either
22 the FDA is going to have to punt on some of these questions
23 and issues or we are just going to have to run through roll
24 call votes. There is no way that this meeting will be over
25 at this rate until midnight. So, I guess I should ask the

1 representatives of the FDA if they find it more valuable for
2 us to continue discussing these things, you know, at some
3 length and in detail and simply not address some of these
4 questions because we will never get to them.

5 DR. EPSTEIN: I think we are happy to just call
6 the vote.

7 DR. BROWN: Okay. The vote is on the question you
8 see before you.

9 DR. LURIE: I want to ask a question about that,
10 which is, my understanding of the question then since I may
11 have blown it before, is does this mean that it would be all
12 other countries identified by USDA? Are we talking about for
13 all other countries? As soon as USDA identifies one of them
14 as a BSE country, then you are out -- no distinction among
15 them? That is what the vote seems to be on.

16 DR. BROWN: That is what the vote is on.

17 DR. LURIE: Which prevents those of us who might
18 vote for some but not the others from offering a coherent
19 vote.

20 DR. BROWN: Yes, but your comment is now on the
21 record, being paid attention to by all of the FDA people in
22 the room.

23 DR. LURIE: The FDA has a habit of doing that with
24 my comments.

25 [Laughter]

1 DR. BROWN: So, as I understand the FDA's final
2 decisions on these things, it is really not just a question
3 of the vote; they really do look at the transcript and
4 decide on balance what they ought to do. Yes?

5 DR. EWENSTEIN: Can I propose, because I think
6 there is a consensus growing here, that if the vote comes
7 out on this one no that there be a second vote on perhaps,
8 as was suggested, on Ireland and Portugal as part (a) to
9 this? I have a feeling that that will produce a different
10 result.

11 DR. BROWN: Okay, let's do it. Let's vote on (a)
12 as written. I have no problem with adding a second question
13 phrased in that way. So, everyone who is voting will now
14 understand that a no vote will not close the issue but that
15 we will rephrase it with respect to (a) country or (b)
16 country or (a), (b), (c). Ray?

17 DR. ROOS: No.

18 DR. DETWILER: No.

19 DR. EWENSTEIN: No.

20 DR. BURKE: No.

21 MS. FISHER: Yes.

22 DR. MCCURDY: No.

23 DR. FREAS: Dr. Burke said no, and Barbara Loe
24 Fisher?

25 MS. FISHER: Yes.

1 DR. MCCURDY: No.

2 DR. PICCARDO: No.

3 DR. GAYLOR: No.

4 DR. NELSON: No.

5 DR. BOLTON: No.

6 DR. BROWN: No.

7 DR. BELAY: No.

8 DR. CLIVER: No.

9 DR. LURIE: No.

10 DR. WILLIAMS: No.

11 DR. PRUSINER: No.

12 DR. FREAS: I have one yes vote.

13 DR. BROWN: Okay. We are now voting on question
14 3(a) subset (1) which is exactly the same question, except
15 now we say Portugal and Ireland. I want to be sure that the
16 committee agrees that is a decent question to vote on,
17 particularly those two countries. Everything else is the
18 same. If you want to discuss it, it is okay with me. I mean,
19 it is a brand-new question and it may not be one that the
'20 FDA loves. I don't know, but it has been a proposal. It
21 seems reasonable and the restaurant closes at 2:00 p.m.

22 [Laughter]

23 I can say in view of that, for sure, we will not
24 consider the military before lunch. For sure. This is going
25 to be the last vote because I think (b) will be mooted. Is

1 the committee happy about those two countries as being
2 identified as a group at the moment? We are talking about
3 the Republic of Ireland and Portugal.

4 DR. BURKE: I am not entirely comfortable with
5 this process right now. I am sorry. I don't see a sharp
6 distinction between those two countries and it would be
7 helpful if we could review the BSE prevalence per country
8 before we made the decision that these were the two
9 countries that we felt were so different.

10 DR. DETWILER: Paul, if I may, maybe I can help a
11 little bit with Portugal. The European Union had a
12 geographical risk assessment conducted and Portugal and the
13 United Kingdom both were in category four, which is the
14 highest risk. So that would give you some basis for that.
15 The Republic of Ireland actually, in discussions, kind of
16 was borderline. The remainder came in category three.

17 DR. BURKE: Okay. Again, it would be helpful to
18 see the statistics, and I like looking both at the absolute
19 numbers as well as the prevalence per unit population. I
20 think both of those numbers would be useful to inform this
21 decision. I am sorry if it takes longer but I think this is
22 an important decision.

23 DR. ASHER: We have discussed briefly both
24 countries in previous meetings. From the agency's point of
25 view, I would say the only issue might be that we have not

1 reviewed either of those countries and their situation in
2 any depth at this meeting.

3 DR. BROWN: Another problem is stipulating USDA
4 category risk four --

5 DR. DETWILER: It is not a USDA categorization; it
6 is the European Union's categorization. Actually, Ireland
7 was classified in three. It ended up being in three but it
8 was one that moved up and down a little bit.

9 DR. BURKE: I would also like to point out that
10 these curves are about 0.001 or 0.01 of what the curves were
11 in the United Kingdom at the height of the epidemic in terms
12 of the total burden of infected animals. So, depending on
13 how you want to express the risk to humans, as the
14 prevalence per unit population or the absolute number of
15 animals in a given area, I keep struggling for some measure
16 of relative risk to human populations in the different
17 countries and my own assessment, correctly or incorrectly,
18 is that it is on this order of 0.01 of what it was for the
19 United Kingdom, for which we have already established
20 policies.

21 DR. BROWN: Linda, is the identification and
22 separating out of these two countries a reasonable -- is it
23 reasonable to pick these two countries? If we are going to
24 pick on a country at all, what countries would you think
25 present the greatest risk of having, you know, increasing

1 BSE numbers?.

2 DR. DETWILER: I think that is hard to predict,
3 but I think the two countries for control measures for human
4 health were very different and that might be a good point
5 that David made and we would really have to go back and
6 really look at those. I don't have that right off the top of
7 my head. That is the bottom line, you are still talking
8 about what the human population was exposed to.

9 DR. BROWN: Right.

10 DR. DETWILER: And when they put control measures
11 in and how successful they are. I think that is really the
12 bottom line. Peter said about looking at just cattle disease
13 reported and then you can have countries that stick out, at
14 least right now.

15 DR. BROWN: Right.

16 DR. DETWILER: However, the bottom line is they
17 are different in that regard.

18 DR. BOLTON: I believe that those factors are
19 included in the GVR assessment, and they came to the
20 conclusion that those are really high risk countries.

21 DR. DETWILER: But the GVR did decreasing risk and
22 static or increasing, and I think that is where you really
23 have to look and, I apologize, I don't have this right at
24 the top of my head with all these countries.

25 DR. BROWN: Well, we can vote on this question

1 because you can always vote no. I mean, these were two
2 countries that several members of the committee thought
3 might well be put in a different category and maybe most of
4 us don't think that we have enough information to do that,
5 but we can vote on it if the committee wishes to vote. I am
6 not phrasing these questions. Does' the committee want to
7 vote on the question separating out the Republic of Ireland
8 and Portugal?

9 DR. ASHER: If the committee wants to rely on the
10 scientific steering committee's geographic BSE risk, France
11 is also a category three country and there are others. So,
12 you have some justification for separating out those two
13 category three countries from the others, if you wish to
14 rely on the EC system.

15 DR. BROWN: That is why I asked Linda, do you
16 think there is any basis for doing that? Is that a sensitive
17 question?

18 DR. DETWILER: Again, I think the bottom line for
19 this committee though is, you know, just using reported
20 disease wouldn't tell you the whole story for this
21 committee. The other thing with the Europe evaluated
22 countries, they submitted data to them. So you would still
23 have other countries that did not submit data.

24 DR. BROWN: On the other hand, I boxed myself in
25 because I told the committee that we were going to have an

1 opportunity to vote on that question. So, we are going to
2 vote on that question. So, the question is the same as the
3 question which was already voted, should the FDA recommend
4 deferral of blood or plasma donations from persons with a
5 history of travel or residence in Portugal and the Republic
6 of Ireland for an aggregate of ten years or more after 1980?
7 That is the question. I will defer.

8 DR. DETWILER: I am going to vote no because I
9 think it needs to be evaluated more.

10 DR. EWENSTEIN: Yes.

11 DR. BURKE: No.

12 MS. FISHER: Yes.

13 DR. MCCURDY: No.

14 DR. PICCARDO: Yes.

15 DR. FREAS: Dr. Piccardo was a yes. Dr. Gaylor?

16 DR. GAYLOR: Yes.

17 DR. NELSON: No.

18 DR. BOLTON: Yes.

19 DR. BROWN: No.

20 DR. BELAY: Yes.

21 DR. CLIVER: No.

22 DR. LURIE: Yes.

23 DR. WILLIAMS: No.

24 DR. PRUSINER: Yes.

25 DR. FREAS: The no votes are Detwiler, Burke,

1 McCurdy, Nelson, Brown, Cliver, Williams. Seven no votes.
2 Eight yes votes. My apologies, there was one abstained. It
3 was seven-seven and one abstained.

4 DR. BROWN: That really does it because it means
5 we don't have to vote on question (b) --

6 DR. PRUSINER: No, no, we need a recount.

7 DR. BROWN: We all have to take the train south.

8 DR. PRUSINER: We need a recount. There are 16 of.

9 DR. FREAS: For the no votes it was my math that
10 was off. The yes votes are Dr. Ewenstein, Barbara Loe
11 Fisher, Dr. Piccardo, Dr. Gaylor, Dr. Bolton, Dr. Belay, Dr.
12 Lurie and Dr. Prusiner, and that should be eight yes votes;
13 seven no votes and one abstained vote.

14 DR. BROWN: So, eight yes, seven no and one
15 abstention. That still moots question (b) so we are going to
16 go to lunch.

17 DR. FREAS: What time will we be back?

18 DR. BROWN: Well, the restaurant closes at 2:00 so
19 I guess we can be back at 2:15.

20 [Whereupon, at 1:35 p.m., the proceedings were
21 recessed, to resume at 2:25, this same day. 1

AFTERNOON SESSIONS

Committee Discussion (continued)

1
2
3 DR. BROWN: We actually did not address a final
4 question in advance of the military personnel question, and
5 this was touched on earlier, about aggregates of residence
6 in various countries. So, we are skating on even thinner ice
7 here and the question is should deferral of blood or plasma
8 donors be recommended based on some combined aggregate
9 duration of travel or residence in more than one BSE
10 country? If so, how should that be estimated appropriately?
11 Well, that certainly silenced the committee.

12 [Laughter]

13 DR. LURIE: I will take it; I can comment. I am
14 not necessarily putting this forth because I realize that it
15 is a question of, in effect, how do you add apples and
16 oranges. That, in effect, is what the question is.

17 If there is any rational basis, and I am not sure
18 that this is it, to the extent that we implicitly echo the
19 in 20 relative risk estimate by going with six months and 10
20 years, I suppose one could put forth something that amounts
21 to, you know, Britain plus 0.2 of other countries. So, that
22 is the only rational thing I can come up with.

23 DR. BROWN: That is exactly right. There is only a
24 single rational way to do that based on what the committee
25 has already recommended. I guess the question is whether or

1 not that is in any way practical, because if it is not
2 practical there is no point in doing it. We have Sue, who
3 could probably tell us whether or not anything of this
4 nature is even within the realm of possibility.

5 DR. LEITMAN: I think that the more complicated,
6 complex questions the donors get -- remember that they are
7 not always asked by skilled, experienced nurses. There are
8 sometimes technical people who are not that experienced in
9 asking these questions or not that skilled -- the more you
10 ask questions of this nature, the less attention may be paid
11 to more critical questions about donor safety because you
12 only have a limited time in the donor screening booth. So
13 that is a concern.

14 I can't imagine "X" months here plus "this" months
15 here, plus so many months "there" in some algorithm would be
16 practicable. Ten years in one country and the existing six-
17 month deferral would be, but to add them together I think
18 would not work.

19 DR. BROWN: Yes, I suppose the question could be
20 phrased, have you ever visited Great Britain and, if so, for
21 how long? It is already a complicated question. Cumulative
22 time since 1980, and then have you ever visited other
23 countries in Europe and cumulative time since 1980? Then,
24 the questioner would have to do some arithmetic.

25 DR. LEITMAN: But different periods. One period is

1 1980-1996, and another one may start at 1985 and extend to
2 present --

3 DR. BROW: Or '80 to the present. Yes, it is a
4 little different. Anybody on the committee? Yes, Don?

5 DR. BURKE: Again, I can't see that there is any
6 additional risk from having lived in, say, Portugal between
7 1980 and 1994. There is no data that says that Portugal had
8 any substantial risk either through importation of beef from
9 Britain or their own BSE herds. So, to have criteria that
10 adds cumulative years where there is no apparent risk
11 whatsoever would just seem illogical to me.

12 DR. LURIE: Don, it seems illogical but you voted
13 against the extension of the ban. So, to me, we have to work
14 now from the assumption that the previous decisions were
15 rational.

16 DR. BROWN: Always a risk thing to do, yes.

17 DR. LURIE: Like I said, an assumption. I am not
18 sure it is quite as complicated as you say. The way the
19 questions would go is have you been in Britain for six
20 months between such-and-such a period. If the answer is yes,
21 then you skip any questions about the rest of Europe because
22 it is irrelevant. Right? Then, the next question is have you
23 been to these other European countries for such-and-such a
24 period? Firstly, have you been at all, and if the answer is
25 no, then that is the end of that. If the answer is yes, then

1 you get some number and then the questioner just has to
2 divide that by 20, or whatever it is, and add the previous
3 two numbers.

4 DR. BROWN: Yes, it is that third possibility --

5 DR. LURIE: Yes but, remember, it is the
6 responsibility of the questioner not the questionee. Right?

7 DR. BROWN: Except to the extent that Sue implied
8 that --

9 DR. LEITMAN: There is all sorts of confusion that
10 comes up. We found a donor screener deferring everybody who
11 lived in the Falklands because that is part of the U.K., or
12 she thought it was. So, are the Azores Islands part of
13 Portugal? I am just thinking of extensions of this. It can
14 get very complicated.

15 DR. BROWN: Both are right on both counts. They
16 both have BSE and you are right about their country of
17 attachment. Good for you. I wouldn't have thought of that.

18 DR. BURKE: I would like somebody to explain to me
19 why there is additional risk between 1980 and 1990 in any of
20 these countries. Just tell me why we want to count that in
21 any risk formula. What risk is there whatsoever from these
22 countries?

23 DR. BROWN: From 1980 to 1990 --

24 DR. BURKE: Or even 1995, but just give me the
25 first decade.

1 DR. BROWN: Let's say country "X" imported from
2 Great Britain quite a lot of meat and bone meal that they
3 then fed to their own cattle. Okay? They did this in, say,
4 1983.

5 DR. BURKE: Give me a specific instance of what
6 information you have that will allow you to --

7 DR. BROWN: No, you are asking me under what
a circumstances "might" and I am telling you under what
9 circumstances "might". Now they got a few cattle that have
10 BSE. They are incubating BSE because they have been infected
11 by the meat and bone meal imported from Great Britain. But
12 they are slaughtered. They are not recognized as having BSE.
13 Now they are slaughtered and they are recycled in the
14 rendering plants, and this is the beginning of an outbreak
15 of BSE. Not only are they slaughtered but their carcasses,
16 their meat and everything else is going into the human food
17 chain.

18 DR. BURKE: So, you are willing to make the
19 backwards extrapolation that if they have BSE today, they
20 had it sometime between 1980 and 1990.

21 DR. BROWN: As I said before, I think '80 is
22 pushing it but I think it is entirely possible that any one
23 of a number of countries in Europe had BSE unrecognized
24 before 1990.

25 DR. BURKE: I can't refute that possibility.

1 DR. NELSON: Are we asking a blood bank or
2 somebody to add up four months and 23 days in the U.K., a
3 two-week trip to the Falkland Islands and divide something
4 by 20 -- I mean, we are going to have to have computer
5 iterate --

6 DR. BROWN: No, you know what we should do? We
7 should do this as a pilot project and see what happens in
8 one or two centers.

9 DR. KATZ: As we speak, we are implementing a
10 computer interactive donor screening package in my blood
11 center, and as we have figured out what would work well, the
12 one thing that we realized immediately was that there had to
13 be no keyboard available to the donor; that it had to be a
14 touch-screen yes/no only, and then we have trained personnel
15 who will review the answers off the computer. So, I mean
16 even in that system, which I think is getting kind of close
17 to the way we ought to be doing things generally, it adds an
18 extra level of screening. Where we used to have no turnover
19 in our donor room, with the tight labor market we now have
20 enormous turnover. These are barely above minimum wage jobs
21 and the error and accidents in this labor tight market, just
22 with the yes/no questions we use now, have gone up four- or
23 five-fold -- Clinton's revenge for a good economy, or
24 something. I don't really understand it. But this is
25 daunting. This concept is daunting.

1 DR. BROWN: Dr. Cliver?

2 DR. CLIVER: Further to confuse the issue, the
3 matter of who was using meat and bone meal in the U.K. and
4 how much of it is not a continuous variable. Apparently
5 around the time that they decided that those materials
6 couldn't be recycled to British cattle, some of the owners
7 hereof in the U.K. did a marvelous job of selling those
8 same material to Continental Europe. So, we have some
9 importation figures in the reading I received before I came,
10 indicating that a few target northern European countries got
11 way more of that material from the U.K. right after the ban
12 in Britain than they had ever had before. So, there was a
13 sudden perturbation in whatever had been the baseline.

14 DR. BROWN: Yes, that is absolutely right. The
15 other point that I suppose is amusing to make is that
16 Switzerland is one of the countries with the largest number
17 of cases, and according to the import-export figures they
18 were a very trivial importer of all the things we have been
19 talking about. So, there is no direct proportionality.
20 Bruce?

21 DR. EWENSTEIN: I was going to say I think we
22 shouldn't go back to the old debate. Obviously we were very
23 divided on most of Europe and the FDA is just going to have
24 to hear that divided opinion and come up with their own
25 opinion. But if we just look at our vote on France, for

1 example, and just limit this discussion to if we are going
2 to do algebra between exposure in the U.K. and exposure in
3 France or not. We heard from the Canadians and, as I
4 understand it, they decided not to. I think it is a matter
5 of practicality rather than science. I mean, it would make
6 sense, if we just agree on France as being an extension of
7 the U.K. epidemic, to have some sort of algebra that would
8 add the two.

9 I know you said it as a joke, but I think piloting
10 this makes some sense rather than trying to institute it
11 across the country in a way that just may not be
12 practicable. It makes sense to see if we could recommend
13 that something like this be tried and if it just can't be
14 done, it can't be done.

15 DR. BROWN: No, I was serious. I smile sometimes
16 when I am serious.

17 DR. ROOS: I think it is going to be difficult for
18 the donors to figure out -- I think there is probably some
19 difficulty to know whether it is before six months or after
20 six months, but when you start saying before six months, how
21 many months? I mean, just thinking about myself, you know, I
22 know I have been in U.K. less than six months over the last
23 twenty years but if you ask me how much time in order to get
24 this algorithm together, then it is very difficult. So, I
25 just don't think it is feasible because we need some

1 accurate numbers in order to add them together and we are
2 starting with something relatively rough to begin with. So,
3 it is fine to do a pilot, Paul, but I think it is going to
4 be a problem.

5 DR. BROWN: The other thing that would be
6 interesting to do, which won't ever be done, is to try and
7 verify in a pilot study just exactly whether or not their
8 estimates of the time accumulated in, say, the U.K. is
9 accurate. My guess is there is a gray zone of several months
10 where some might get excluded and some would be included, if
11 you spent ten years or a year in the U.K. there is no
12 problem.

13 Shall we vote on this question? Again, the
14 question is should deferral of blood or plasma donors be
15 recommended based on some combined aggregate duration of
16 travel or residence in more than one BSE country? If so, how
17 should that be estimated appropriately? Sue?

18 DR. LEITMAN: No.

19 DR. BROWN: Sue says no.

20 DR. WILLIAMS: No.

21 DR. FREAS: Dr. Williams was no.

22 DR. LURIE: Yes, I would like to see a pilot test.

23 DR. CLIVER: No.

24 DR. BELAY: No.

25 DR. BROWN: No.

1 DR. BOLTON: No.

2 DR. NELSON: No.

3 DR. GAYLOR: Yes.

4 DR. FREAS: That was a yes. Dr. Piccardo?

5 DR. PICCARDO: No.

6 DR. MCCURDY: No.

7 MS. FISHER: Yes.

8 DR. BURKE: No.

9 DR. EWENSTEIN: Yes, in a pilot program.

10 DR. DETWILER: No.

11 DR. ROOS: No.

12 DR. FREAS: The four yes votes I have are Dr.

13 Ewenstein, Miss Fisher, Dr. Gaylor and Peter Lurie. All

14 other votes were no votes, for a total of 12 no votes, four

15 yes votes.

16 DR. BROWN: Well, in principle our votes on the

17 military personnel should be a piece of cake because we

18 cannot diverge from what we have already decided for Europe

19 as a whole, but I may be wrong and we will see. Should the

20 FDA recommend deferral of blood or plasma donations from

21 persons with a history of six months aggregate potential

22 exposure to U.K. beef and beef products during service or

23 dependent status in the U.S. military in Europe from 1980 to

24 1996. Discussion? Bruce?

25 DR. EINSTEIN: Well, it seems to me that we all

1 accept the fact that the human disease is based on some
2 nvironmental factor that was existing in the U.K. I think
3 ost of us accept the fact that it was probably ingested. If
4 o, then the risk to the U.S. personnel in Europe would
5 ollow in some proportion to their food exposure rather than
6 o where they were actually stationed. But they weren't as
7 ully exposed to the U.K. food environment as those living
8 n the U.K. It was some proportion. I know we hate to have a
9 ot of different rules but in this particular case it may be
10 very important to try to a little bit more accurately define
11 what that risk was. I would have to go back and look at the
12 umbers that were presented to us, but if there was, for
13 xample, a 10 or 20 percent exposure to that food
14 nvironment, then I would think that the risk to those
15 ersonnel would be proportionate to that risk and we could,
16 hen thinking about blood donations, use that same
17 ropriationality, much the same way that we have tried to
18 estimate the risk in France.

19 DR. BROWN: Yes, I think one of the problems is
20 that what we saw presented was itself not uniform. That is,
21 northern Europe -- it is like Hannibal, north of the Alps
22 and south of the Alps, different intakes, different
23 suppliers. I mean, in once case U.K. from '85 to '90 went to
24 U.S. and in the north and didn't go in the south. The
25 proportionality of beef coming from the U.K. differed in

1 different commissaries. If the military representatives
2 would like to comment on the possibility of estimating any
3 kind of rational proportionality of risk based on what they
4 have heard this morning, I would be happy to listen. I know
5 that is not a happy question and it is not meant to put you
6 on the spot. In fact, you might perfectly well say
7 absolutely impossible; you are out of your mind.

8 COL. SEVERIN: To accurately come up with an
9 estimate of risk would be almost impossible. The best that
10 we could find out for potential consumption for the
11 commissary sales, which would be all your family members and
12 their spouses, 35 percent of the beef they would have
13 consumed could have come from the U.K. Soldiers living in
14 the barracks -- there is no way to know how much of the time
15 they ate in the mess hall, which would have been U.S. meat,
16 how much of the time they went down the snack bar or went
17 off the installation to eat locally. There is no way to come
18 up with that type of estimate.

19 When you look at the numbers that were in the
20 cafeteria or the other short, quick 7-Eleven type outlets,
21 20 percent of that beef came from the U.K. over that entire
22 16-year span.

23 So, like you say, it varies because of the way the
24 products were procured. So, there would be no way to put an
25 accurate risk number on it.

1 DR. BROWN: If one were to, nevertheless, try you
2 could probably say something in the range of 20-35 percent,
3 in this range, might have come from the U.K.

4 COL. SEVERIN: Yes.

5 DR. BROWN: Over the time period that we are
6 talking about, no matter where the base was in Europe, that
7 is south or north of the Alps.

8 COL. SEVERIN: Looking at it as a potential worst
9 case, yes, I would go along with that.

10 DR. NELSON: But given the population size of the
11 military and the population size, say, of France and the
12 importation, is there any evidence that more people would be
13 exposed in the military than would be exposed from British
14 beef that went to France or Germany? I mean, I am not sure
15 that we can really define that this risk is greater.

16 DR. BROWN: What was your total? It was about 4.5-
17 5 million people over the entire span of 15 years?

18 COL. SEVERIN: Right, 4 million, 4.4 million over
19 a 16-year span.

20 DR. BROWN: Right. So, that is about a fifteenth
21 of the population of France, for what that is worth but I
22 don't think it is worth anything.

23 DR. NELSON: If France imported 10 or 20 percent
24 of the among that the U.S. military imported and consumed
25 then the exposures would be equal.

1 DR. BROWN: Yes?

2 MS. FISHER: As a former military dependent who
3 spent four years in Europe as a teenager in the 1960's,
4 obviously not during this time period, I remember well that
5 we were sort of totally dependent upon the commissary food
6 but at every opportunity we went out to the local economy
7 and consumed the restaurant food.

8 But, please correct me if I am wrong, the only
9 identifiable U.S. population and traceable U.S. population
10 that has been exposed to **beef** or beef products from the U.K.
11 is the troops and the military dependents who lived in
12 Europe between 1980 and 1996. And, I we like to know how
13 aggressive and comprehensive the surveillance has been to
14 look for symptoms of vCJD in this U.S. population because I
15 think that might change things, although I do think it is
16 clear that this population had a much greater exposure than
17 the general U.S. population.

18 DR. BROWN: Well, that is certainly true and my
19 guess is there has been a good deal of diagnostic acuity
20 spent on this issue. Am I right, Colonel?

21 COL. FITZPATRICK: The Department of Defense has a
22 reportable disease database, of which new vCJD is not a
23 part. However, all of our bases and installations conform
24 with local policies on reportable diseases. So, while there
25 isn't a program of active surveillance monitored by the

1 Department of Defense for vCJD, our facilities participate
2 in the local requirements of CDC and the local county laws
3 and state laws on reportable and surveillable diseases. So,
4 we should be about equal to the rest of the United States in
5 monitoring for that.

6 MS. FISHER: The reason I asked the question is
7 because there has been some discussion here today about the
8 countries which were not doing active surveillance, and when
9 they found far more cases.

10 DR. BROWN: This is with respect to cows. Active
11 surveillance of CJD has been going on in Europe, big time,
12 for six years.

13 MS. FISHER: I am sorry, I thought it was for both
14 animals and humans.

15 DR. BROWN: No. Just as a thought, I think it
16 would be a good idea if the military really paid attention
17 to the possibility of vCJD occurring in their population
18 that has spent time in Europe, especially the population
19 that is no longer on active duty.

20 COL. FITZPATRICK: They are being seen --

21 DR. BROWN: Well, they are probably being seen by
22 VA hospitals too.

23 COL. FITZPATRICK: Well, they are not necessarily
24 all retirees.

25 DR. BROWN: No. The point is that I think we are

1 all worried that if we have a case of vCJD in an American
2 that a military person is a prime candidate.

3 COL. FITZPATRICK: We can take that back with your
4 concerns and I think that we will be interested in that and,
5 obviously, we answered a lot of questions yesterday
6 concerning that.

7 DR. BURKE: As a point of information, the
8 Institute of Medicine does have a panel now on disease
9 surveillance in the military as being at a high risk for a
10 number of emerging infectious diseases that may be found in
11 higher incidences in other parts of the world, and I will
12 make a point that this is included in that report.

13 DR. LURIE: I tend to think that it is going to be
14 very difficult to quantify that six months may simplify
15 things but it is probably okay. What we haven't really
16 talked so much about is the flip side of this, which is the
17 impact upon the blood supply for the military. As I
18 understood the presentations from the military, the rate of
19 blood donation was higher among military personnel, and I
20 also thought one of the gentlemen who presented implied that
21 it would, if anything; be easier to supplement that than is
22 generally true in the civilian population. So, that part of
23 it gives me some reassurance as well.

24 COL FITZPATRICK: If I implied it was easier, I am
25 not sure I was correct in doing that. The rate of donation

1 is higher in our population. Our recruitment may be more
2 aggressive. Our recruitment may be more successful. If this
3 is a six-month deferral we have to replace 19,500 donors
4 annually in order to maintain our current collection rate.
5 As had of the blood program, I consider that doable,
6 certainly not easily but I consider that doable.

7 DR. BROWN: As Dr. Nelson said, however, 'if we are
8 going to follow strict proportionalities we are certainly
9 not talking about six months. The maximum we would be
10 talking about would be a third of the possible exposure,
11 which would be 18 months or 24, you know, like two years,
12 which might make it much easier for you if the committee
13 goes that route.

14 COL. FITZPATRICK: Given the tour lengths in
15 Europe, a single, unaccompanied tour ranges from 18-24
16 months. An accompanied tour is usually three years and can
17 extent out to five or seven years depending on if the person
18 comes back.

19 DR. BROWN: So, it wouldn't make much difference.
20 Six months and 18 is essentially the same for you.

21 COL. FITZPATRICK: Twenty-four can make a
22 difference; 18 and six are probably about the same.

23 DR. BROWN: Right.

24 DR. BELAY: I was going to comment on the possible
25 occurrence of new vCJD in the United States. I think there

1 is plenty evidence that new vCJD has not been detected in
2 the United States. As you know, CDC had instituted several
3 surveillance mechanisms to specifically look for the
4 occurrence of new vCJD in this country. These mechanisms
5 include the establishment of a national center, which we
6 call the National Prion Disease Pathology Surveillance
7 Center. Dr. Gambetti is in the audience and he is the
8 director of it. They have systematically been testing brain
9 tissues from patients diagnosed with CJD or suspected with
10 CJD and they have not been able to detect the occurrence of
11 new vCJD in this country.

12 But this does not necessarily apply, for example,
13 for military personnel that may have been stationed outside
14 the United States in different parts of the world. We would
15 be willing to work with the military to set up a system of
16 potentially, you know, searching for those cases among the
17 military.

18 DR. EWENSTEIN: I was going to say the same sort
19 of principle that we used for the general population of
20 trying to find a break-point at which you could eliminate a
21 substantial amount of risk and have an acceptable amount of
22 impact on supply would make sense. I think it would be
23 reasonable to have the military do their own analysis on
24 that, but it does sound like you could justify, based on
25 this proportionality concept, two-or three-year type of

1 deferral lengths of time which would happily seem to
2 eliminate a lot of folks who were doing single tours. Again,
3 you would actually have to look at the numbers to come up
4 with something that was truly optimized, but I think that
5 would be my advice, to try to find a point around that two-
6 or three-year time frame.

7 DR. BROWN: I think we can probably vote'on
8 question (a) because I don't think anybody thinks six months
9 is worth anything,

10 DR. BURKE: A good number of these people in the
11 military will leave the military and then be civilians, and
12 they will donate there as well. So, the same question will
13 come up, will that be a question for civilian blood banks. I
14 think we can't divorce the military blood bank question from
15 the civilian blood bank question.

16 DR. LEITMAN: I was just going to comment on that.
17 I thought we heard data this morning that if you take these
18 4.4 million young adults, patriotic young adults who donate
19 at a higher frequency than other persons of that age in
20 America that the loss to the civilian donor supply was going
21 to be on the order of 3 percent. Was that Dr. Williams who
22 gave us that? Because 3 percent is very sizeable.

23 COL. FITZPATRICK: That was Allan's estimate, and
24 he adjusted for age of that population.

25 DR. LEITMAN: Three percent is sizeable.

1 DR. BELAY: As a reminder, what would the impact
2 on the military blood supply be?

3 COL. FITZPATRICK: With the six-month deferral, 15
4 percent of our population becomes ineligible to donate.
5 Again, to maintain our current collections, that means i
6 have to replace about 19,500 donors a year out of our active
7 duty population of 1.4 million.

8 DR. BROWN: Let's vote on part (a) and then we can
9 get to part (b). So, should the FDA recommend deferral of
10 blood or plasma donations from persons with a history of six
11 months aggregate potential exposure to U.K. beef and beef
12 products during service or dependent status in the U.S.
13 military in Europe, from 1980 to 1996? Ray?

14 DR. ROOS: No.

15 DR. DETWILER: No.

16 DR. EWENSTEIN: No.

17 DR. BURKE: No.

18 MS. FISHER: I just want to clarify something, are
19 we taking another vote on another time frame?

20 DR. BROWN: Part (b) of that, if not, do members
21 of the committee suggest some other policy for deferral? So,
22 we will be voting on that and that would obviously include
23 the possibility of a time frame policy.

24 MS. FISHER: I am going to vote yes.

25 DR. MCCURDY: No.

1 DR. PICCARDO: Yes.

2 DR. GAYLOR: No.

3 DR. NELSON: No.

4 DR. BOLTON: No.

5 DR. BROWN: No.

6 DR. BELAY: No.

7 DR. CLIVER: No.

8 DR. LURIE: No.

9 DR. WILLIAMS: No.

10 DR. PRUSINER: Yes.

11 DR. FREAS: I have three yes votes, Miss Fisher,
12 Dr. Piccardo and Dr. Prusiner, 13 no votes and zero
13 abstained.

14 DR. BROWN: Part (b) of that question is if not,
15 and we voted not, can the members of the committee suggest
16 some other policy for deferral of U.S. military personnel or
17 dependents due to exposure to U.K. beef products?

18 DR. PRUSINER: I voted yes because I think that
19 trying to make this 18 months, 2 years, 12 months is
20 splitting hairs about a subject that we really don't know a
21 great deal about, and I just think that we are putting a
22 level of precision into our thinking that doesn't belong
23 there. So, if we have picked this number of six months,
24 people don't want to change it; they have left it alone for
25 the U.S. To turn around and now say that we have a better

1 ay of judging this by putting 12 months or 18 months to
2 his just doesn't seem to me to be at all rational. I think
3 hat we have absolutely no reason to do that. And, if we are
4 orried about replacements, we have heard that 18 months and
5 months are the same. Then to turn around and say, well,
6 ou know, we are going to try to help the military a little
7 it and we are going to make it 24 months so we can cut it
8 own a little bit more -- this, to me, is just totally
9 rrational. So, I think we ought to just leave it at six
10 onths and that is why I voted yes.

11 DR. BROWN: Other discussion? Comments?

12 DR. GAYLOR: The rationale seems to be that about
13 a third of the beef came from the U.K. for service and that
14 is where the factor of 3 comes from for going from 6 months
15 to 18 months. But, of course, there is beef consumption
16 other than on base so it is a factor too. So, maybe you
17 could go to the 12 months. But there is some rationale and
18 logic based on what we have done in the past. If we are
19 still trying to be logical, there is some reason to extend
20 it.

21 DR. PRUSINER: How did we get to six months? Let's
22 don't for a minute believe that six months is based upon the
23 amount of beef. Six months is based upon coming to a number
24 that the blood supply can tolerate. So, let's not start with
25 that assumption and then turn around and make assumptions