

1 may be due to the relatively small sample size.

2 This slide presents the incidence of fever
3 following each dose and after any dose. As was seen
4 in study 011, the incidence of fever greater than 38
5 degrees was increased in the group receiving the
6 combination, compared with the separately administered
7 control arm, although this difference did not reach
8 statistical significance.

9 Again, this finding of non-significance
10 may be due to the small sample size.

11 The incidence of fever was further
12 evaluated in terms of the degree of fever greater than
13 38.6 degrees, or greater than 39.5 degrees. The
14 observed incidence of fever greater than 38.6 degrees
15 was increased in the combination, compared to the
16 control, although this did not reach statistical
17 significance.

18 DR. FLEMING: A clarification. You keep
19 saying did not reach statistical significance. Can
20 you go back to that previous slide?

21 DR. BALL: Okay.

22 DR. FLEMING: Confidence interval zero to
23 24.

24 DR. BALL: I'm sorry, as I pointed out
25 earlier today, this was really right there in terms of

1 showing a statistical significance, right on the
2 borderline, I mentioned that earlier, sorry.

3 This slide compares the incidence of fever
4 in study 011 to that of study 015. Recall that the
5 vaccination schedule study 011 was on a 3, 4, 5 month
6 schedule, and study 015 it was 2, 4, 6 month schedule.

7 The incidence of fever greater than or
8 equal to 38 degrees was fairly similar between the two
9 groups, especially in the groups -- in the incidence
10 of fever greater than 38 degrees centigrade.

11 The sample sizes for the two safety
12 studies comparing the combination, and the separately
13 administered vaccines were not powered to look at rare
14 events.

15 I want to emphasize that, specifically
16 events that occurred in the rate of one in several
17 thousand. However, the rates of these events were
18 looked at to see if there were any unexpected
19 findings.

20 For this purpose the groups receiving the
21 combination vaccine, and the groups receiving the
22 separately administered vaccines, here labeled
23 control, were pooled between studies 011 and 015, and
24 the difference in the incidence was calculated.

25 Note that the sample sizes of the pooled

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 combination was much higher than that of the control,
2 and thus the absolute number may appear larger in the
3 combination group.

4 We are here focusing more on the
5 incidence. As was mentioned earlier today, there were
6 no cases of anaphylaxis, hypotonic hyporesponsive
7 episodes, in addition there was no cases observed in
8 the combination recipients for invasive bacterial
9 disease.

10 Rates of hospitalization, death, and
11 withdrawals due to adverse events were not different,
12 were not significantly different among the groups
13 receiving the combination, as compared to the control.

14 And, again, recognizing the limitations of
15 this type of comparison, given the sample size, we
16 looked at the incidence of seizures in the groups.
17 For seizures over the full vaccination course, namely
18 during the primary series, 2, 4, 6 months, and one
19 month, to one month following the third dose, the
20 absolute number of events appears higher in the
21 combination vaccines, but there was no difference in
22 the incidence of the seizures when the subjects
23 receiving the combination were compared with the
24 control.

25 In addition there was no difference

1 observed in the incidence of febrile seizures.

2 To further evaluate whether the increased
3 rate of fever observed in recipients of the
4 combination translated into increased
5 hospitalizations, or increased evaluations for sepsis,
6 or increased febrile seizures, we looked at the
7 incidence of these events within seven days of
8 vaccination.

9 The rate of hospitalizations,
10 hospitalizations for fever and febrile seizures
11 observed in the pooled groups receiving the
12 combination compared with the separately administered
13 vaccines was not different. Although, again, I stress
14 the small sample sizes.

15 Now we will discuss data submitted to the
16 license that addresses the safety of the primary
17 series of the combination following a birth dose of
18 hepatitis B.

19 With regard to studies filed with the
20 license application there were no comparative trials
21 examining the use of the combination with and without
22 a birth dose of hepatitis B.

23 The application included the supportive
24 study DTPa-HepB-IPV 030 in which all infants received
25 a birth dose of the hepatitis B. Assessment of safety

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 data from this study was further hampered by the
2 inclusion of the combination vaccine that contained
3 wholesale pertussis as comparator to the combination
4 vaccine.

5 The manufacturer submitted data from a
6 related Infanrix based combination from study DTPa-
7 HepB-IPV Hib, study 003, to provide supportive data.
8 This study compared the primary series of this
9 combination at 2, 4, and 6 months of age following a
10 birth dose of hepatitis B with the primary series of
11 this combination given without a birth dose.

12 In this study the rate of grade 3 fever,
13 greater than 39 was somewhat higher in the group
14 receiving a birth dose. The rate of local reactions
15 appeared higher in the group that did not receive the
16 birth dose.

17 Not shown here were data that were
18 presented previously by the manufacturer on the
19 incidence of any fever greater than 38 degrees
20 centigrade.

21 Of note the rate of any fever for any dose
22 defined as greater than 38 degrees was similar in the
23 groups with and without a birth dose of hepatitis B.

24 Now I will present available data on
25 concurrent administrations given in the primary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 series.

2 Several studies in the license application
3 evaluated the concomitant administration of Hib
4 vaccine with the DTPa-HepB-IPV combination. Study 015
5 in the U.S. looked at one type of Hib, and supportive
6 study 012 evaluated the immune response from four
7 different Hib vaccines.

8 Study 011 different Hib vaccines were used
9 but only safety was assessed.

10 This slide presents the observed immune
11 response to the Hib component from studies 015 and
12 012. The level achieving anti-PRP responses greater
13 than or equal to 0.15 micrograms per Ml were 99 to one
14 hundred percent for all groups, and 88 to 95 percent
15 achieving anti-PRP responses greater than or equal to
16 one. The anti-PRP GMTs were between 5 and 7.

17 As was mentioned earlier there were no
18 data submitted with the license application on
19 concurrent administration of the combination with
20 Prevnar. It should be noted that Prevnar, again, was
21 not licensed, nor was it commercially available at the
22 time these studies were conducted.

23 Although there are no data on the
24 concomitant administration of Prevnar with this
25 combination, there was some data included in your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 briefing materials that suggested that concurrent
2 administration of Prevnar with two different acellular
3 pertussis vaccines, namely a cell amune, and an
4 Infanrix based combination vaccine, DTPa-IPV vaccine
5 showed a diminished immune response to Pertactin.

6 Now I will review data submitted to the
7 license application on a fourth dose of Infanrix
8 following the combination.

9 As was mentioned for proposed study for
10 the combination it is a three dose primary series.
11 Children receiving the primary series would then need
12 a booster of DTPa at 15 to 18 months of age.

13 The manufacturer has indicated that at
14 this time they are not seeking licensure for a fourth
15 consecutive dose of DTPa-HepB-IPV. And these data
16 were not reviewed, formally, as part of this file.

17 Note that a fourth consecutive dose of
18 this combination would mean an extra dose of IPV, and
19 an extra dose of hepatitis B vaccine. In addition if
20 a birth dose of hepatitis B vaccine is administered it
21 would mean two extra doses of hepatitis B vaccine.

22 These studies conducted data on a fourth
23 dose of Infanrix following a primary series of the
24 combination. Study 015B was the booster phase to
25 study 015 presented earlier.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Study 028 and study 061, specifically 061
2 was the booster phase to study 044 that was addressed
3 earlier. Some of these studies, as was mentioned
4 earlier today, evaluated fourth consecutive dose of
5 the combination.

6 The numbers presented here are just for
7 the Infanrix booster following a primary series.
8 Study 015 received a combination plus Hib in the
9 primary series include four received separately
10 administered vaccines.

11 In study 015B all children were boosted
12 with Infanrix. In this small study the safety and
13 immunogenicity of Infanrix as a target booster
14 following a primary series of the DTPa-HepB-IPV
15 combination was comparable to the safety and
16 immunogenicity following a primary series of
17 separately administered Infanrix, hepatitis B, and
18 oral polio vaccine.

19 To summarize the data submitted in support
20 of licensure, all pre-specified immunologic endpoints
21 for demonstrating non-inferiority of the DTPa-HepB-IPV
22 were met, with the exception of the percent responders
23 to FHA.

24 To summarize the data submitted in support
25 of safety, there was an increase incidence of fever

1 greater than 38 degrees centigrade in infants
2 receiving the combination compared with the separately
3 administered vaccines.

4 The incidence of fever greater than 39.5
5 degrees was not significantly increased. An increased
6 incidence of redness and swelling was observed in
7 infants receiving the combination compared with the
8 separately administered vaccines, but only in the
9 larger study was this difference statistically
10 significant.

11 Next slide. I would like to acknowledge
12 the individuals that contributed to the clinical
13 review, especially Theresa Fin, and the others listed
14 here, as well as the CBER Review Committee evaluating
15 the product.

16 I'm going to move on here to present the
17 questions. The first question pertains to efficacy or
18 immunogenicity, are the available data adequate to
19 support the efficacy of the DTPa-HepB-IPV vaccine,
20 when given to infants in a primary series at 2, 4, and
21 6 months of age. If the data are not adequate to
22 address efficacy what additional information should be
23 requested.

24 The following questions pertain to safety.
25 Are the available data adequate to demonstrate the

1 safety of the DTPa-HepB-IPV vaccine combination when
2 given in a primary series at 2, 4, and 6 months of
3 age. Please comment on the increased rates of fever.
4 If these data are not adequate to demonstrate safety,
5 what additional information should be requested.

6 The discussion point number 3, please
7 discuss data submitted in support of concurrent
8 administration of other routinely recommended
9 childhood immunizations with the combination in
10 infants, namely Hib vaccine, and the 7-valent
11 pneumococcal conjugate vaccine, Prevnar.

12 Discussion point number 4, please identify
13 any issues that should be addressed in post-licensure
14 studies of this combination, specifically, please
15 include a discussion on the safety and immunogenicity
16 of concurrent administration of other routinely
17 recommended vaccines, namely Prevnar, the safety and
18 immunogenicity of a fourth and fifth dose of Infanrix,
19 following a primary series of this combination, the
20 safety and the immunogenicity of the combination
21 following a complete or partial series of Infanrix, or
22 other DTPa vaccine, and finally the safety of a
23 primary series of the combination following a birth
24 dose of hepatitis B vaccine.

25 I think I will end here, and I will be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 happy to answer any questions.

2 CHAIRMAN DAUM: Okay, thank you very much,
3 Dr. Ball. I think I'm going to ask the Committee for
4 questions and comments about the sort of the meat of
5 Dr. Ball's presentation. And we will deal with the
6 questions after lunch.

7 So let's take comments. I see Ms. Fisher
8 first. Why don't you go ahead and start?

9 MS. LOE FISHER: May I ask a question?

10 CHAIRMAN DAUM: Yes, please.

11 MS. LOE FISHER: Earlier when I asked the
12 manufacturer about seizures in this study, it was my
13 understanding that there was one febrile seizure, and
14 it was judged to be due -- the child had an underlying
15 seizure disorder and resulted in the death.

16 You mentioned, it went by so fast, but
17 seven seizures, five of which were afebrile. Now,
18 what is it, one seizure, seven seizures, how many
19 seizures?

20 DR. BALL: I think it should be clarified.
21 I think what was referred to was the two seizures that
22 occurred within the seven day time frame after
23 vaccination.

24 The first slide that I presented, I'm
25 sorry, I can't pull that out for you right at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 moment, referred to seizures that occurred during the
2 whole vaccination course.

3 It could have occurred six weeks later,
4 you know, three weeks later after the vaccination.

5 MS. LOE FISHER: I really think we should
6 have more information about the seizure picture,
7 particularly the afebrile seizures.

8 DR. BALL: I'm sorry, what more
9 information would you like?

10 MS. LOE FISHER: How soon after did these
11 occur, was it the first time that it occurred in the
12 child, did the child have a pre-existing seizure
13 history, some more information about seizures.

14 And I have one more question, and then I
15 won't ask another question.

16 CHAIRMAN DAUM: Well, before you go on to
17 another question, is this information available?

18 DR. BALL: I think it is available, but I
19 think the manufacturer could probably clarify or
20 expand on the information that I have at the tip of my
21 fingers, which is that seizures were evaluated over
22 the full study course, and that was the first slide on
23 the serious AEs that I presented.

24 In addition seizures were presented, also,
25 within seven days of vaccination. There were two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 episodes of seizures within the seven day time frame.
2 And I think that perhaps the manufacturer would want
3 to clarify further regarding the timing after
4 vaccination, and whether or not there was an
5 underlying condition.

6 I think that infants -- my understanding
7 was that pre-existing conditions, pre-existing seizure
8 disorders would have kept the children out of this
9 study.

10 MS. LOE FISHER: Just one other one.
11 Nearly 5,000 of the 7,000 children came from Germany,
12 which unlike the U.S. has a generally homogenous
13 population with respect to genetic diversity.

14 And also the German children began their
15 vaccinations at 12 weeks, rather than 8 weeks. Can
16 you comment on the possible significance of this, when
17 we apply this vaccine to the U.S. population?

18 DR. BALL: Certainly. I think that that
19 was something that we looked at very closely, and with
20 regard to the timing of immunization I think that the
21 manufacturer may be able to bring up a slide.

22 It is in my briefing material that was
23 presented to you that looked at the timing of each
24 dose, and the overlap between the different doses.

25 And there was significant overlap,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 particularly for the second, and somewhat the third
2 dose. The timing, certainly for the third dose was
3 delayed between the infants in Germany versus the
4 infants in the U.S.

5 So the question that we could readily
6 answer is whether or not the incidence of fever, which
7 I think we've sort of identified as one of the key
8 focal points, did the incidence of fever differ
9 whether the vaccines were given at 2, 4, and 6 months
10 of age, versus 3, 4, and 5 months of age.

11 I think where this becomes clinically
12 relevant, particularly to parents, and physicians who
13 care for infants, is whether or not that fever that
14 would occur maybe in a 6 week old to a 2 month old,
15 would translate to more hospitalizations, sepsis
16 workups and so on, than perhaps if the immunization is
17 given at 3 months of age.

18 And I did show a slide that compared the
19 rate of fever between the two schedules, 2, 4, and 6,
20 and 3, 4 and 5, and the rate of any fever was
21 remarkably similar between the two groups.

22 MS. LOE FISHER: It also could have an
23 effect on death, and seizures, etcetera. I mean, the
24 8 weeks versus the 12 weeks starting.

25 DR. BALL: Do you mean in terms of the

1 occurrence of febrile seizures?

2 MS. LOE FISHER: Or afebrile. In other
3 words, the one month difference is going to have an
4 impact, could potentially have an impact, both on
5 immunogenicity as well as reactions.

6 Just because 5,000, almost 5,000 of the
7 7,000 children came from Germany, and had the
8 schedule, and were not genetically diverse like we
9 are, I think is an important point to --

10 DR. BALL: I acknowledge that point, and
11 I think that we looked at that as well.

12 MS. LOE FISHER: Thank you.

13 CHAIRMAN DAUM: Other comments? I would
14 like to ask a question that is along the similar kind
15 of lines, and maybe we know, and maybe we don't.

16 But I'm trying to gauge, in my mind, the
17 impact of the fever excess in the combination group.
18 And I saw some data on hospitalizations this morning,
19 and they were reassuring.

20 But you mentioned septic workup. I don't
21 know what is the standard of care practice in Germany,
22 where most of these children were. But in the U.S. we
23 tend to do probably an excess, but we do a lot of
24 septic workups in very young infants who are febrile.

25 We hospitalize them, and I get a sense

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that there wasn't an excess of hospitalizations. But
2 what did German pediatricians do? I mean, do they
3 react the same way we do?

4 DR. BALL: I think I will defer to the
5 manufacturer to answer that question.

6 CHAIRMAN DAUM: Because otherwise we have
7 to know how to interpret the fact that there were or
8 were not an excess of septic workups in the
9 combination group.

10 DR. KAUFHOLD: In general German
11 pediatricians, the clinical practice that German
12 pediatricians apply is very similar to what is applied
13 in the U.S.

14 So -- and this includes a very high
15 sensitivity of hospitalizations for sepsis workup. So
16 we don't see a difference between the U.S. and Germany
17 in this respect.

18 CHAIRMAN DAUM: Thank you. Dr. Gerber,
19 then Dr. Kohl.

20 DR. GERBER: Could I just follow up on
21 that? Was there an analysis done of just U.S. infants
22 in terms of hospitalization rates, and septic workups
23 between the combination group and the other group?

24 I believe all of the comments that have
25 been made so far have been on the combined German-U.S.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 group.

2 DR. KAUFHOLD: Yes. A similar analysis
3 has been done for the comparative study 015, and there
4 were no differences between groups.

5 CHAIRMAN DAUM: Dr. Kohl?

6 DR. BALL: I think it should also be noted
7 that, you know, the sample size is different between
8 the two studies.

9 CHAIRMAN DAUM: Smaller. Thank you. Dr.
10 Kohl, please.

11 DR. KOHL: I want to reinforce Ms.
12 Fisher's point with what I see as a ten percent rate
13 of fever greater than 101.5 in the combination group,
14 versus a five percent rate in the separate
15 immunization group.

16 And I honestly don't know enough about
17 U.S. practices. But if you have a four or five week
18 old with a 101.5, you are talking about a febrile
19 neonate.

20 And that pretty much triggers the into the
21 hospital sepsis work. Maybe Dr. Faggett can help us
22 these days with what is going on in the real world out
23 there.

24 DR. FAGGETT: I would be very concerned
25 with the managed care impact you would have fewer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hospitalizations in the U.S. than Germany. I would
2 submit that that would be my inkling. I don't have
3 the figures, but I think that we have to look at it in
4 context of limited hospitalizations here, recently.

5 CHAIRMAN DAUM: Dr. Stephens?

6 DR. FAGGETT: Let me, while I have the
7 mike, I think this speaks to the whole point that was
8 brought up about small sample size as well. I think
9 as a general comment I'm very concerned about small
10 sample size, here, 200 U.S. children compared to 500,
11 etcetera.

12 CHAIRMAN DAUM: Dr. Stephens, please.

13 DR. STEPHENS: My questions are on a
14 different subject, and that is the interference with
15 immunogenicity questions that arise, to some degree,
16 with the pertussis.

17 My specific question has to do with the
18 Hib data. And at least in some of the other studies,
19 that I think you gave us as part of the handout, there
20 was some evidence that the levels achieved against
21 anti-PRP for one were less.

22 Do you recall those data?

23 DR. BALL: I'm sorry, for one what?

24 DR. STEPHENS: For one microgram.

25 DR. BALL: One microgram.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. STEPHENS: Yes, the one microgram
2 levels for anti-PRP were somewhat less, as I recall,
3 in some of the other studies. I think 17 was one of
4 them. Do you remember that issue?

5 DR. BALL: Well, I can't speak to, on the
6 specifics. But I think that the PRP, while looked at,
7 was considered a secondary endpoint, and with regard
8 to statistical significance, there wasn't as much
9 emphasis to looking at a pre-specified limit of non-
10 inferiority for the PRP response.

11 But I think that the GMTs across the study
12 are reassuring.

13 DR. STEPHENS: Can you also comment,
14 further, on the Pevnar? I realize that is not data
15 related to this particular product, but it is
16 troublesome in terms of the -- I think it is
17 Pertactin, low levels with Pertactin with Pevnar.

18 DR. BALL: Right, and that information was
19 presented in the briefing materials, and it is
20 basically to highlight, I think that the underlying
21 concept is that we don't have data with Pevnar, and
22 so we don't really know what the effect of
23 administration of this combination would be with
24 concomitant Pevnar.

25 Although it should also be noted that the

1 same thing is holding true with infants that are given
2 Prevnar in the U.S., and some of the acellular
3 pertussis vaccines that are -- for which we don't have
4 specific data on, say, the Pertactin immune response.

5 And so then getting to your other point
6 with regard to the interference that was observed,
7 there were -- basically there were two vaccines that
8 we have some information on, Acel-Imune, which was a
9 part of the whole licensure package, and that
10 information is in the package insert for Prevnar,
11 where diminished immune response to the Pertactin
12 component was observed.

13 In addition there, as part of a post-
14 licensure commitment on the part of Wyeth Lederle, a
15 study in Germany was performed using an Infanrix based
16 combination, DTPa-IPV mixed with Hib, not the specific
17 combination in question here today.

18 And, again, with this particular vaccine,
19 a diminished immune response to the Pertactin
20 component was observed.

21 I think I also would defer to any specific
22 comments from my colleagues in the Pertussis labs,
23 Theresa Finn, with regard to what the potential
24 clinical relevance of the observed immune response
25 difference is.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 CHAIRMAN DAUM: Dr. Finn, do you care to
2 make a response?

3 DR. FINN: With respect to the pertussis
4 components I think that the data that the manufacturer
5 has presented on study 027, which was the exact same
6 lots in a larger study, and there was also in that
7 study a separate administration arm.

8 I think that that study met all pre-
9 specified endpoints with respect to percent responders
10 to all pertussis antigens, and GMTs to the P-antigens.
11 And also for lot-to-lot consistency, as well as
12 comparison to the separate administration vaccines,
13 which included Infanrix.

14 CHAIRMAN DAUM: Thank you. Dr. Ball, I
15 have a question for you.

16 I was thinking, reflecting on some of the
17 comments that were made about the German subjects, and
18 the lack of ethnic diversity among them.

19 But I recall seeing something in the
20 briefing materials we were sent for this meeting that
21 in the U.S. subjects there wasn't that much ethnic
22 diversity, either.

23 And I wonder if you would comment, from
24 FDA's perspective, about what you asked for, and how
25 you guide manufacturers in preparing for a meeting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 like this in terms of enrolling individuals of multi-
2 ethnic groups, and are there any requirements being
3 set there, and what are we doing to ensure that
4 everybody participates in these trials?

5 DR. BALL: I think with regard to your
6 first point, I just wanted to clarify that there was
7 one study in the U.S. that did have, you know, a
8 broader diversity of the study population.

9 And so it is possible, I think,
10 particularly in the U.S., to gather those data. I
11 think that I might defer to Dr. Midthun in terms of
12 any specific requirements. I'm not aware that there
13 is any regulations that indicated, with regard to
14 racial makeup of the participants, what that should be
15 for clinical trials.

16 DR. MIDTHUN: I think obviously that -- is
17 this on?

18 CHAIRMAN DAUM: Come to the table up here.

19 DR. MIDTHUN: Obviously we always
20 encourage diversity, and also there are guidelines
21 that also speak to having, you know, gender
22 presentation also, to make sure that one has a broad
23 group, that one has encompassed.

24 But with regard to any specific types of
25 numbers, I'm not aware that there are any. But,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

1 obviously, we always look for diversity and for gender
2 representation.

3 CHAIRMAN DAUM: More comments? Dr.
4 Griffin, please, Dr. Fleming next.

5 DR. GRIFFIN: Could I just clarify what is
6 proposed here, from a broader perspective, with
7 respect to hepatitis B?

8 It is my understanding that the current
9 recommendations are for immunization at 0, 1, and 6
10 months in the U.S., and some of that is based on the
11 thought that there is significant amount of
12 transmission that may be occurring peri-natally, or in
13 the early time of infancy.

14 So is the proposal here that you would
15 continue to give that dose at birth, and then add 2,
16 4, and 5? I just don't understand how this would fit.

17 DR. BALL: I think we have Dr. Wharton
18 that can clarify any remarks I made. There is not a
19 recommendation for a preferred dose at birth. It is
20 my understanding that it is basically, and if you look
21 at the immunization schedule that I put up, there is
22 a range, it is from zero to 2 months of age.

23 And so I think that we asked for data on
24 this vaccine after a birth dose, recognizing that a
25 large proportion of infants in the U.S. do receive a

1 birth dose, and that there may be some public health
2 reasons.

3 I also wanted to clarify that, you know,
4 in terms of the FDA review, we evaluate the proposed
5 indication, which is 2, 4, 6 months of age. Vaccine
6 recommendations are made by Advisory Committees such
7 as ACIP, or the AAP Red Book.

8 CHAIRMAN DAUM: Dr. Wharton, do you want
9 to comment on this very issue, birth dose?

10 DR. WHARTON: Yes. Just to emphasize what
11 Leslie said. The ACIP recommendations incorporate
12 lots of flexibility, and do not at present indicate a
13 preference for the birth dose.

14 And clearly it is a good thing to do in
15 many settings, where there is mothers in whom
16 screening has not been performed, and results are not
17 available at the time of birth.

18 But I don't expect as the ACIP
19 recommendations for hepatitis B vaccine are revised,
20 that there will be a preference for the birth dose,
21 although it is a good idea in many settings to deliver
22 it.

23 CHAIRMAN DAUM: And I guess before I call
24 on Dr. Fleming I can't resist one comment, and that is
25 that the CDC investigators, as well as people from our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 group in Chicago, have shown that birth dose
2 administration does, depending on how you slice and
3 add, make it more likely that you will be caught up to
4 date in your hepatitis B series, and in our study it
5 looked like it was more likely that you would be
6 caught up to date in all the series by the time you
7 were two years old.

8 So there was, beyond the scope of this
9 meeting, and not an issue for us to delve into,
10 probably, further here, because it is a policy
11 committee issue, but there is some benefit to the
12 first dose, perhaps unexpectedly, perhaps by bonding
13 with mothers at birth.

14 DR. WHARTON: And just to amplify, I think
15 a related issue has to do with the complexity of the
16 childhood immunization schedule, and the current
17 necessity of delivering a large number of injections
18 at the 2, 4, and 6 month visit, in the absence of much
19 choice in the way of licensed combination vaccines.

20 And, clearly, administering the first, and
21 even the second dose of hepatitis B vaccine prior to
22 the two month immunization visit, is one way to avoid
23 having to deliver so many injections in those early
24 visits, at 2, and 4 months of age.

25 CHAIRMAN DAUM: Dr. Fleming. Thank you,

1 Dr. Wharton. Dr. Fleming, Dr. Kohl, and Dr. Diaz.

2 DR. FLEMING: I think I will defer until
3 after lunch most of the discussions of a lot of issues
4 that are perplexing, from my perspective, on the
5 immunogenicity analysis and the non-inferiority,
6 specific non-inferiority analysis of that.

7 But there is one element with Dr. Ball
8 here I would like to address. And that is, the real
9 essence of the information on the immunogenicity is in
10 015, the most important data, group 1 and group 4.

11 And it is very noteworthy that that is
12 based on 100 people in each group. And I would like
13 to discuss, after lunch, how we have come up with
14 these margins, and a lot of the controversies about
15 those margins.

16 But putting that discussion aside, and
17 saying we believe that they make sense, essentially if
18 you have 2, or 3, or 4 people that fail to achieve the
19 threshold target for any of these antigens, then
20 essentially you are not going to hit the non-
21 inferiority margin.

22 My understanding is we have 100 people per
23 arm. And yet if we look, for colleagues that have the
24 FDA briefing document, your briefing document, on page
25 16 you give us a very key table.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And there are some columns in there that
2 we have largely ignored in all of the discussion
3 today, and those are the ns. The ns here aren't 100
4 and 100. The ns here are around 90 in group 1, and in
5 the 70s in group 4.

6 That is leaving a lot of people out of the
7 analysis in settings in which 2 or 3 people failing to
8 achieve targeted levels yields failure to achieve a
9 non-inferiority.

10 Where are all those people?

11 DR. BALL: You mean the difference between
12 the initial end enrolled, and the --

13 DR. FLEMING: The only number that I've
14 heard referred to today, by your presentation, or the
15 sponsor's, indicates we had 100 people per group in
16 those four groups, in 015.

17 DR. BALL: That would be related to the
18 total cohort, which would be defined, the manufacturer
19 defined as infants that received one dose.

20 I didn't -- I think that some of this
21 explanation is in the briefing material about how they
22 defined the intent to treat cohort for immunogenicity,
23 as well as according to protocol cohort for
24 immunogenicity.

25 And so I think that perhaps the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 manufacturer can comment further about why there is a
2 discrepancy between the numbers of infants that
3 received the vaccine, versus the number that serology
4 was drawn.

5 You will recall that serology was drawn
6 after the third dose, so that there is some
7 withdrawals, or other reasons that those information
8 were not obtained from the infants.

9 I guess I'm trying to see your larger
10 point. I think that it would be a big issue if we
11 saw, maybe I'm misunderstanding where we are going
12 with this, that it would be a big issue if the
13 manufacturer failed to show a non-inferiority.

14 But for all of the antigens, with the
15 exception of FHA, they did demonstrate non-
16 inferiority. And I think that, you know, your larger
17 point may be with regard to the small sample size.

18 And I think that, you know, that is
19 acknowledged.

20 DR. FLEMING: There are two issues. One
21 of them is with the small sample size there is
22 considerable unreliability in these analysis. There
23 is, in fact, a possibility of failing to achieve
24 conclusive evidence of non-inferiority, even though in
25 truth non-inferiority exists.

1 So, in essence, it may be that for FHA
2 there is truly comparable levels of achieving the
3 threshold targets, but in small numbers here it was
4 entirely possible that you would see one, or two, or
5 three cases that didn't, and that would be enough
6 evidence to not carry you to the threshold.

7 Now, my argument there is that is the
8 price the sponsor pays for having done a study that is
9 really too small to be able to asses, conclusively,
10 whether there is non-inferiority.

11 But the other question is very different,
12 which was really the essence of what I was trying to
13 get at here, is any one of these measures, any one of
14 these ten antigens, if two or three, or four of those
15 people in the 100, in group 1, that didn't get
16 included in your analysis, in essence, didn't achieve
17 a level of protection that was, in fact, related to
18 their being randomized to this combination vaccine, it
19 would have led to a conclusion of non-inferiority.

20 So because of the striking missingness
21 here, all of this data are actually still consistent
22 with non-inferiority, unless you can give me, in
23 essence, a fairly ironclad argument that the people
24 that aren't in these analysis are, in essence, just
25 randomly eliminated, and not systematically different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from the people that are in this analysis.

2 DR. BALL: Yes. I think the proper person
3 to answer this is Dr. Howe.

4 DR. HOWE: So in general the main reason
5 for elimination from the ATP analysis was lack of
6 compliance to either the vaccination schedule, or the
7 blood sampling schedule, which could definitely impact
8 on the immunogenicity.

9 In addition, in looking at the table that
10 you are referring to, I believe that in some cases the
11 low n is just based on volume limitations, for
12 instance, for the polio assays there is a large volume
13 necessary to run those three assays.

14 And because the response rate is expected
15 to be very high we set up a prioritization on what
16 antigens should be run when. And they were in the
17 lower priority. So it is really based on volume
18 consideration, it is not that we had a result we threw
19 away.

20 DR. FLEMING: But this is really
21 confirming that this is an issue of concern. Actually
22 it may be a bias against the product here, but I don't
23 know for sure.

24 At least the reason I would be hopeful it
25 is a bias against the product, hence we are actually

1 confident that the result is more convincing than what
2 we are seeing, is the fact that we are missing about
3 ten percent of group one, and twenty to twenty-five
4 percent of group four.

5 But what you said, a lot of these people
6 are missing because of issues that relate to non-
7 adherence. And, in fact, in the real world if I have
8 a vaccine that twenty percent of the people can't
9 adhere to, and as a result I don't achieve levels of
10 seroprotection, that is a non-achievement of
11 seroprotection levels.

12 That is just as important as when I don't
13 achieve seroprotection in somebody that I do
14 administer the vaccine to. And so those of us who
15 believe in intention to treat believe in it not
16 because it is the way to keep an analysis unbiased,
17 but it reflects the real world.

18 I want to know, with this strategy, what
19 percent of my infants will be protected. And if an
20 infant is non-adherent, that is part of the failure to
21 achieve protection.

22 At least here I'm a little bit reassured
23 that there are more people who appear to be non-
24 adherent to group 4 than group 1.

25 But if I were at the FDA I would nail this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 down and try to understand, exactly, what is going on
2 here. Because it has a lot to do with interpreting
3 the reliability of these conclusions as to whether you
4 have non-inferiority.

5 CHAIRMAN DAUM: Thank you, Dr. Fleming.
6 Dr. Kohl?

7 DR. KOHL: I wanted to get back to the
8 seizure question in the briefing data. Again, we have
9 seven seizures in the combined group, and we have zero
10 seizures on a much smaller number, but zero seizures
11 in the control, if you will.

12 And Dr. Fleming can probably help me here.
13 When you have zero in the control, then you don't know
14 if it is zero, or some much larger number. Please
15 remind us somebody, and there are enough people in the
16 audience who would know this, what the expected rate
17 of seizures are at this age, or after these series of
18 immunizations, just to put this in perspective.

19 But, again, it gets back to the question
20 of not having enough numbers. We don't have enough
21 numbers to tell whether there is a significantly
22 increased incidence of seizures due to this vaccine.

23 DR. BALL: I think in terms of, you know,
24 I think that we acknowledged that, and that was part
25 of the point concerning the slide.

1 CHAIRMAN DAUM: Thank you. Dr. Diaz next,
2 and Dr. Goldberg, and then we are going to take a
3 break.

4 DR. DIAZ: I just had a comment that goes
5 back to the schedule, the preferred schedule. And
6 just make the comment that the children that we are
7 dealing with in these studies, there is no real
8 preferred, and 2, 4, 6 is adequate, but we have no
9 data. And I think they were intentionally excluded,
10 any child who was born to a HepB surface antigen
11 positive mother. In that setting there is a preferred
12 birth dose.

13 DR. BALL: Right.

14 CHAIRMAN DAUM: Thank you.

15 DR. BALL: Thank you for that
16 clarification.

17 CHAIRMAN DAUM: Dr. Goldberg?

18 DR. GOLDBERG: Just to follow for a minute
19 on what Dr. Fleming raised. Can someone, either the
20 sponsor or you, just present for the group 1 and group
21 4 data in 015, the number of infants that had each
22 dose in the schedule?

23 DR. BALL: I think that someone had this
24 data.

25 DR. GOLDBERG: Someone should have that,

1 and I think that would inform the discussion with
2 regard to the meaning of that, the missingness.

3 DR. BALL: You mean the attrition rates
4 between --

5 DR. GOLDBERG: How many, you know, what
6 proportion of infants had dose 1, dose 2, dose 3 by
7 group.

8 DR. KAHN: We can share this data, but we
9 would ask if we could share it right after lunch.

10 DR. GOLDBERG: That is fine.

11 CHAIRMAN DAUM: Thank you. Okay, I think
12 we've come to the point where we thank Dr. Ball for
13 the presentation from the FDA.

14 It is 12:35, we will take a one hour lunch
15 break, and reassemble at 1:45. Thank you very much.

16 (Whereupon, at 12:48 p.m. the above-
17 entitled matter was recessed for lunch.)

18

19

20

21

22

23

24

25

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:55 p.m.)

3 CHAIRMAN DAUM: Could we come to order
4 please?

5 Good afternoon. The first agenda item for
6 the afternoon is an open Public Hearing. There are
7 several potential speakers, by my list here and while
8 the are thinking about whether they want to speak we
9 have in absentia, a letter to read from Dr. Peter
10 Hotez as part of the open Public Hearing. Ms. Cherry
11 will read it.

12 MS. CHERRY: Dr. Hotez had planned to
13 speak today, but when he couldn't, he sent this and
14 asked me to read it.

15 It's the statement of H.R. Shepherd,
16 Chairman, Albert B. Sabine Vaccine Institute. The
17 Albert B. Sabine Vaccine Institute eagerly anticipates
18 U.S. approval for the new pentavalent vaccine that
19 will protect children from five very serious diseases.
20 Diphtheria, Tetanus, Pertussis, Hepatitis B and Polio.

21 By combining five vaccines into one, it
22 will cut from nine to three the number of shots needed
23 to get protection from five diseases. I am sure
24 American children and their parents will join me in
25 welcoming this development. Immunization is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cornerstone of disease prevention.

2 Thanks to mass vaccination, once
3 commonplace deadly diseases such as polio, measles,
4 meningitis, tetanus and pertussis are extraordinarily
5 rare in the U.S. But disease prevention requires
6 continuing immunization which combination vaccines
7 make easier.

8 Combination vaccines make it easier for
9 parents and children to comply with school vaccination
10 requirements, by reducing the number of trips to the
11 doctor's office and slashing the number of shots kids
12 must get. They make it easier for health care
13 providers to keep up with their young patient's
14 vaccinations, by simplifying the immunization
15 schedule. And that's it.

16 CHAIRMAN DAUM: Thank you, Ms. Cherry and
17 Dr. Hotez wherever you are. Is there anyone else that
18 would like to speak at this open Public Hearing? Dr.
19 Peggy Reynolds.

20 DR. REYNOLDS: Margaret Reynolds,
21 University of Maryland. I'm the Red Book
22 representative to this meeting, but the comments I'm
23 going to say are simply mine and not representing the
24 Red Book.

25 I should mention that I do vaccine trials

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with Merck, GlaxoSmith Kline, although I have never
2 had any involvement with this vaccine or any of its
3 components, Aventis Pasteur and Wyeth-Lederle.

4 Because there, most the Committee members
5 are not clinical pediatricians, I wanted to, to
6 comment on the really very pressing need for
7 combination vaccines in the country at this time, so
8 that you can, you can, you can think about this
9 vaccine in the context of the current public health
10 situation in the U.S.

11 And that is, from my perspective, that, in
12 a sense, we're drowning from our own success. There
13 have been six new injectable vaccines introduced in
14 the last ten years.

15 That in 1995 children by two years of age
16 received five injections. They now receive twenty.
17 And I think this is contributing greatly to the
18 anxiety and fear about immunizations in this country.

19 Is it no wonder that twenty-five percent
20 of parents think their children's immune systems are
21 being overwhelmed and fourteen percent would opt out
22 of some vaccinations.

23 I think if you went to an internist and
24 they told you in the next two years I'm going to give
25 you twenty immunizations, would think that was fairly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 strange.

2 Also having to give a fifth immunization
3 was the last straw on the camel's back for many
4 primary care physicians. Instead of doing that,
5 instead they're putting off IPV, they're putting off
6 hepatitis B. Some are bringing the children back
7 every month and that's a recipe for diminished
8 immunizations.

9 And one final point that I think probably
10 many of you hadn't thought about but because of the
11 number of injections, it has become exceedingly
12 difficult to recruit children into pediatric vaccine
13 trials.

14 Because very few parents are going to want
15 to subject their children to yet more immunizations
16 and blood draws and this is at a time that you want
17 more safety information and not fewer.

18 And I'm, I fear that until we get
19 combination vaccines, the only people who are going to
20 be successfully able to recruit into trials are the
21 contract research organizations where pediatricians
22 are enrolling their own patients. And I fear that the
23 academic investigators may be driven out of business
24 in this current climate.

25 One last thing and that's that it really

1 hasn't been brought out, the relative importance of
2 FHA versus PT versus pertactin. And I think those who
3 study the serologic immune response and serologic
4 correlation to protection to pertussis would agree
5 that FHA is probably the least important antibody in
6 affording protection. That's it.

7 CHAIRMAN DAUM: Dr. Reynolds, we thank you
8 for taking the time to address us.

9 Is there anyone else who would like to
10 speak at the open Public Hearing?

11 (No response.)

12 CHAIRMAN DAUM: Okay. In that case we're
13 going to move on to the following sequence. First, we
14 will ask Dr. Taffs to remind us regarding, that
15 sounded like the cell phone.

16 Could I ask again, please slap the wrists
17 of my colleague Dr. Faggett and ask again that people
18 please don't ring your cell phones in here. It's very
19 distracting. So Dr. Taffs will reacquaint us with the
20 questions as they were modified. And please pay
21 attention to this version of them.

22 Then the sponsor has asked to show a
23 couple of slides that will clarify some of the
24 discussion items this morning. I believe there's
25 three of them and we're going to allow that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then we're going to ask Dr. Fleming to
2 begin the discussion, which at first can be general as
3 far as I'm concerned.

4 And then after awhile I would ask that we
5 focus it towards the questions themselves so that we
6 can give the FDA the input that they need from us this
7 afternoon. So, we'll go ahead now with Dr. Taffs and
8 the four questions.

9 DR. TAFFS: Thank you. I would like to
10 repeat my request, on behalf of the Center for
11 Biologics Evaluation and Research, that the Committee
12 assembled here today consider a series of questions
13 and discussion points and provide their commentary and
14 their recommendations regarding this vaccine.

15 On question number one, which is a voting
16 question, are the available data adequate to support
17 the efficacy of DTPa-HepB-IPV vaccine when given to
18 infants in a primary series at 2, 4, and 6 months of
19 age. If the data are not adequate to address
20 efficacy, what additional information should be
21 requested?

22 Discussion point two. Please discuss
23 whether available clinical data are adequate to
24 demonstrate the safety of the DTPa-HepB-IPV
25 combination vaccine when given to infants in a primary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 series of 2, 4 and 6 months of age. Please comment
2 on the increased rates of fever.

3 If the data are not adequate to
4 demonstrate safety, what additional information should
5 be requested?

6 Discussion point number three. Please
7 discuss the data submitted in support of the
8 concurrent administration of other routinely
9 recommended childhood immunizations with the DTPa-
10 HepB-IPV vaccine in infants. That is Haemophilus
11 influenza Type B vaccine and 7-valent pneumococcal
12 conjugate vaccine, Prevnar.

13 The final discussion point to consider,
14 please identify any issues that should be addressed in
15 post-licensure studies. Specifically, please include
16 a discussion of the safety and immunogenicity of
17 concurrent administration of other routinely-
18 recommended vaccines. For example, Prevnar.

19 Safety and immunogenicity of fourth and
20 fifth dose of Infanrix DTPa following a primary series
21 of DTPa-HepB-IPV. Safety and immunogenicity of DTPa-
22 HepB-IPV following a complete, or partial, primary
23 series of Infanrix or other DTPa vaccine.

24 And finally, safety of a primary series of
25 DTPa-HepB-IPV, following a birth dose of hepatitis B

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine.

2 CHAIRMAN DAUM: Thank you, Dr. Taffs for
3 reacquainting us with the issues at hand. We'll now
4 call on Dr. Howe to show a maximum of three slides.

5 DR. HOWE: Actually, before you show the
6 first slide, I just wanted to get back with the answer
7 to the question about the attrition rate, I guess. Or
8 the information about how many children received each
9 dose of vaccine in Study 015, the U.S. Study.

10 And if we looked at the data for group one
11 who received the combination Infanrix HepB-IPV, a
12 hundred children received the first dose, ninety-six
13 received the second dose and ninety-five received the
14 third dose.

15 In group four, the separate
16 administration, a hundred received the first dose,
17 eighty-seven the second dose and eighty-four the third
18 dose. So there was a higher attrition rate in the
19 group that received separate injections.

20 The slides that I wanted to show you now
21 are basically to help answer the question about the
22 clinical relevance of FHA and the fact that the FHA
23 was, for the vaccine response rate to FHA anyway in
24 the 015 Study, was, was not found to be statistically
25 non-inferior to separate injection DTPa.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So this is just a series of the three RCCs
2 looking at each pertussis antigen, comparing the
3 results from Infanrix HepB-IPV vaccinated children in
4 Study 015 to data from our household contacts study
5 conducted in Germany and with sera run in the same lab
6 in order to make the comparison valid.

7 And the black line here is, the black
8 curve is data from Infanrix HepB-IPV vaccinated
9 individuals in Study 015. And the two lines here, as
10 was alluded to earlier, multiple lots of vaccine were
11 used in the household contacts study in Germany.

12 So we have data for the most immunogenic
13 lot in red and the least immunogenic lot in yellow,
14 for each antigen. And you can see that for anti-PT,
15 obviously Infanrix HepB-IPV was at least as
16 immunogenic, actually a little bit more, the curve is
17 to the right.

18 Here are the results for anti-FHA. Again
19 Infanrix HepB-IPV vaccinated and most and least
20 immunogenic lots for the household contacts study.
21 And here are the results for anti-pertactin. The
22 curve for anti-pertactin fell largely in between the
23 most and least immunogenic lots.

24 So based on these results, we don't feel
25 that there is any, that the lack, ability to show non-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inferiority for FHA has any clinical relevance.

2 The other question I'd hoped I might shed
3 a little bit of light or maybe help put in perspective
4 was the question about the rates of seizures in this
5 age group.

6 And what we did is, we went back and
7 looked at the package insert for Infanrix and from
8 that package insert we have rates of febrile seizures
9 and afebrile seizures. For Febrile seizures there
10 were actually none. And this is data from the large
11 household contacts study which was the largest self-
12 contained study for afebrile seizures, 0.13, and
13 that's per thousand doses.

14 If we then take the same type of approach
15 and look at the largest self-contained study in the
16 Infanrix HepB-IPV file. The rate of Febrile seizures
17 within seven days was 0.07 per thousand doses and for
18 afebrile seizures again 0.07 per thousand doses.

19 So the rate is comparable to that in the
20 Infanrix package insert and lower than that for
21 historical data following whole cell vaccine.

22 The last comment that I wanted to make was
23 with respect to the overall safety database, because
24 there was a question about the n of 7000 and the fact
25 that that seemed small I guess to some people in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 room.

2 I think the reason for that is because
3 what we're talking about here is a combination vaccine
4 that is made up of individual components that are
5 already licensed in the U.S. in the case of DTPa and
6 the hepatitis B and similar to U.S.-licensed product
7 in the case of IPV and each of those individual
8 components has its own well-established safety profile
9 and in point of fact the safety trial that we did in
10 Germany was powered for safety.

11 We specifically did that study to look at
12 safety. And what we found was, and the only thing
13 that we found was a higher rate of low-grade fever.
14 Based on that, the question was what's the clinical
15 relevance of the low-grade fever and carefully looking
16 at all the data and looking at things such as sepsis
17 work up, hospitalization with fever, antipyretic use,
18 duration of fever, Febrile seizures, we believe that
19 there are, that there is no clinically-relevant
20 consequences of the high or low-grade fever that was
21 seen in the study.

22 CHAIRMAN DAUM: Thank you, Dr. Howe.

23 What we'll do now is have, try and get a
24 sense of how many general comments Committee members
25 might wish to make. We'll start with Dr. Fleming.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then we'll see who else wants to talk, generally,
2 and then, once we get a sense of where that's going,
3 we'll start focusing on the individual questions
4 themselves, beginning with our sole voting question,
5 which is question one.

6 So Dr. Fleming, may I turn the floor over
7 to you, please?

8 DR. FLEMING: All right. Thank you. I
9 think what I will actually do is just focus my
10 comments on those that relate to the immunogenicity or
11 inefficacy issues and I'll wait for us later in the
12 meeting to come to the safety issues.

13 The issues that I wanted to raise here,
14 let me just preface what I'm saying to clarify that
15 the issues that I'm raising don't lead me to the
16 conclusion that the combination vaccine is inferior in
17 its efficacy profile, but rather, that I'm, I'm left
18 with a lot of uncertainty about whether there's
19 adequate evidence here to establish non-inferiority as
20 we have set this criteria.

21 The essence of the data, as I see it for
22 this, is from in essence, the 015 trial, with some
23 relevant information from 044 and 030.

24 Let me also preface my comments to say
25 that I am well aware that doing a full-fledged

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 efficacy trial, that would actually be able to
2 document non-inferiority in actual cases of disease,
3 would be a very high standard to expect.

4 At the same time, there are, there are
5 levels of differences in complexity when we are trying
6 to rely on a immunogenicity surrogate. If we were
7 looking at lot-to-lot variability, it's the standard
8 that we would use immunogenicity surrogates.

9 I just point out here, there are a number
10 of specific challenges that I would argue should lead
11 us to wanting to have a fairly rigorous establishment
12 of immunogenicity and those issues are, on the one
13 hand we're looking at a combination vaccine and trying
14 to address whether or not the actual biological
15 process associated with delivering these vaccines in
16 combination, would alter their immunogenicity and, in
17 turn, their ultimate efficacy.

18 We also have, one of the components here,
19 is an unlicensed component. The IPV component is
20 unlicensed, which I would think should lead us to an
21 additional level of rigor as we make this efficacy
22 assessment.

23 And thirdly, the HepB is being
24 administered in a different schedule than what has
25 been the tradition. Not to mention that there still

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 remain these issues of lot series and lot-to-lot
2 variability.

3 So when I think about all of this it
4 strikes me that this is a setting where we should be
5 particularly rigorous in our assessment of whether
6 there's adequate evidence of immunogenicity to make it
7 plausible that efficacy is maintained.

8 Having said that, the way that this has
9 been approached by the sponsor for the non-pertussis
10 components, is to essentially identify an assay cut
11 off, or what I might call a potential threshold, and
12 look at the percent of infants that achieve antibody
13 titers above that threshold. And it's been stated
14 that that threshold, for example, has been arrived at
15 by noting its correlation with immune protection.

16 So these thresholds might be the 0.1
17 international units for the diphtheria and tetanus and
18 for the HepB it's ten milli International Units.

19 I guess, to my way of thinking, it is
20 always important to step back and remember that even
21 if we see in large data sets correlations between
22 achieving certain antibody titers and rates of
23 infection, it is important to remember that levels of
24 immune response are undoubtedly a continuum and levels
25 of protection would presumably be enhanced as you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 achieve more complete immune responses on those
2 particular components that are really causal.

3 And this is always an incredibly difficult
4 issue to sort out. Undoubtedly a vaccine will
5 generate a myriad of different immune responses and
6 really, fully understanding the essence of which of
7 them are really causal is probably a task that would
8 never be fully achieved. And essentially what we have
9 done is we have dichotomized this myriad of different
10 potential immune responses into looking at whether we
11 see a given assay cut off.

12 And I just would like to step back and say
13 it's entirely possible that you could have a
14 correlate, and yet that correlate may be a marker for
15 a myriad of other types of immune responses that are
16 carrying a lot of the causal aspect of protection.
17 And so it always makes me very cautious in
18 interpreting these results.

19 But if we use, for one example, the HepB
20 example, where we're trying to achieve a level of ten,
21 I would challenge the FDA to go back and really be
22 sure that the data are adequate to state that once you
23 achieve a level of ten, that really is a threshold.
24 And that that level is truly adequate to say that we
25 have maintained a high level of protection.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And it's not enough to look at those with
2 less than ten versus those with more than ten. Those
3 with more than ten may be largely people with levels
4 of a thousand. And so we have to be sure that we have
5 a lot of data of people between ten and thirty to be
6 able to say ten is enough to achieve protection.

7 So having said that as a background, the
8 essence of the data that we have presented to us
9 that's the most reliable here is the direct
10 randomization between the combination of the
11 individual component administration in 015. And as
12 we've already discussed, this is based on a hundred
13 people per arm.

14 So essentially, the most significant
15 immunogenicity data that we're going to be using to
16 address this complex situation that could lead to
17 administration to tens of hundreds of thousands to
18 millions of infants is based on the comparison in
19 immune responses in a hundred per arm and I've already
20 mentioned one of the issues there is that there's ten
21 to twenty-five percent missing information there as
22 well.

23 But essentially the primary analysis
24 that's being addressed here is did we achieve a
25 comparable fraction of participants who had this cut

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 off, this threshold?

2 And essentially what we're saying here is
3 we're using a weaker standard, and appropriately so,
4 than requiring superiority.

5 We're not saying that the combination has
6 to have a significantly higher fraction that achieved
7 its cut off, we're saying that it has to be high
8 enough so that you can rule out that you're ten
9 percent less.

10 Well the first statistical issue I'd like
11 to say about that is if we were trying to show
12 superiority, if we were talking about a combination
13 that was adding an antigen to a standard and you had
14 to show superiority, we would ask that the ninety-five
15 percent confidence interval rule out equality.

16 We would basically say we want to have,
17 and this is the standard for strength of evidence for
18 superiority, a two and a half percent false positive
19 error rate. The way this has been set up, is we are
20 expecting a weaker standard.

21 We only have to rule out that we're not
22 ten percent worse. And yet we're allowing a five
23 percent false positive error rate by using ninety
24 percent confidence intervals.

25 So the first issue I would urge the FDA to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 consider here is to move back into the world of
2 standard statistics to say the standard for strength
3 of evidence is a two and a half percent false positive
4 error rate. Meaning that these confidence intervals
5 should be ninety five percent confidence intervals.

6 Is that irrelevant? Well, it's not at all
7 irrelevant. Because the levels of differences that
8 are consistent with the data are much higher. If we
9 go to the FHA data in 015, we have failed to establish
10 non-inferiority, relative to the seropositivity rate.
11 We also have failed to establish non-inferiority with
12 the GMT if you're using a ninety-five percent
13 confidence interval.

14 So that data there, in my view, don't
15 prove inferiority, but because of inadequate sample
16 size this year, if one was using a proper statistical
17 approach, they do, though, fail to be strong enough to
18 establish non-inferiority. And that essence is in
19 essence in my view as confirmed by the 044 trial where
20 we're looking at lot-to-lot.

21 It's quite striking the variability that
22 we have in rates of achieving seropositivity and in
23 the GMT rates for PRN and for FHA.

24 And, I guess the last issue I'd want to
25 raise, is if the sponsor could put up again the slide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And it was one that Dr. Howe had shown when she was
2 discussing the 030 data. And specifically it related
3 to trying to address the relevance or importance of
4 the fact that we see about a four-fold reduction in
5 the GMT for HepB in the 030 trial.

6 In the sponsor's presentations, my big
7 concern with the way we have set up the primary end
8 point is that we have essentially defined the primary
9 end point, achieving the threshold level or assay
10 level for positivity.

11 And it may well be that that assay level
12 for positivity is lower than what it truly has to be
13 to represent a patient or a participant or an infant
14 that truly has the global level of immune response
15 that renders protection.

16 PARTICIPANT: Which slide do you want to
17 see?

18 DR. FLEMING: It's the slide that showed
19 the historical data. Because you put into context
20 with previous studies what the level of GMT would have
21 been for other vaccines.

22 And it in particular, it contrasted the
23 thousand, this slide, thank you. In this particular
24 study, if I'm following your logic here, you're
25 pointing out that the, whereas the combination vaccine

1 was one of those orange dots in this trial around a
2 thousand and the other relevant dot is the dot of
3 3,500 up there under the 016?

4 DR. HOWE: This is the data from the HepB
5 study itself that I showed you.

6 DR. FLEMING: Yes.

7 DR. HOWE: And this is data from any of
8 the Infanrix HepB-IPV studies that were given on a 2,
9 4 and 6 month schedule. So, all of the orange dots
10 come from Infanrix HepB-IPV studies. One of them
11 would be 015, another would be, I think two of them
12 actually are 044. And the green dot --

13 DR. FLEMING: So where would the 030, are
14 the 030 dots up here on this? The thousand. And
15 where would the dot be that corresponds to the 3,700
16 GMT for the comparator group in 030?

17 DR. HOWE: The comparator group in 030
18 isn't on here. This is published data from package
19 inserts for the two U.S. licensed products as well as
20 data in the literature for the two vaccines, including
21 Recomavex, two different strengths, on a zero one six-
22 month schedule administered to neonates.

23 DR. FLEMING: All right. So basically
24 you're not, on this slide we really have no way of
25 trying to put into context the relative difference

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we're seeing in the 030 trial. In the 030 trial, this
2 is the data, if I'm working this correctly.

3 DR. HOWE: Right. If we had plotted the
4 030 and put it with Engerix 016, it would be closer to
5 this dot, is what you're saying.

6 DR. FLEMING: Right. Well, and my concern
7 is, if what we're seeing here is, in our comparison IN
8 030, an average level of response that's one quarter
9 of what we're seeing with the comparator group, but
10 all of this other experience is telling us that rates
11 are much lower than the 3,700, it makes me wonder
12 whether the thousand that we're seeing is an
13 overestimate, i.e., is there something specific to the
14 population that we had in this randomized trial 030.

15 If we're seeing in our comparator group,
16 we had a level of 3,700, and if your argument is 3,700
17 is much higher than what you would have expected from
18 prior experience, then my response to that isn't that
19 more than one thousand is fine, but rather the one
20 thousand might also be an overestimate of what you
21 would achieve in a much broader context.

22 So it's, and probably it's not worth a lot
23 more discussion there. I think the essence of the
24 concern just to summarize as I think through this is
25 that the data that we have that is the most

1 informative to efficacy, is data on the immune
2 response, specifically provided to us in the 015
3 trial, with basically on the order of a hundred
4 patients.

5 And IF we were using statistical
6 procedures with ninety-five confidence intervals,
7 we're essentially seeing, not just for FHA, but for
8 the polio virus types two and three, also some
9 evidence of concern and this is confirmed in the 044
10 trial with FHA but also with the PRN showing
11 considerable heterogeneity.

12 DR. HOWE: Can I just respond to the
13 question about the DTPa-HepB and whether or not that
14 would be an overestimate because in, I think the fact
15 that we have multiple studies with Infanrix-HepB-IPV,
16 giving consistent rates of 1,500, you know between
17 1,400 and 1,600 is one fact.

18 And actually in one of the studies, which
19 was the 015 Study, we had a head-to-head comparison
20 with the DTPA-HepB-IPV and DPTa-HepB and in point of
21 fact we had a result of 1,600 here and 900 here,
22 confirming the DPTa-HepB results for the GMT. And I
23 think the other important point is that the sera
24 protective cut off is down here.

25 DR. FLEMING: Well, that's what I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 trying, I'm trying to press the issue that where you
2 define that seroprotective cut off is a very
3 controversial issue.

4 And the other point that I'm trying to
5 make here, let me just very quickly make it again, is
6 the most relevant comparison comes from a randomized
7 comparison. Not from what an array of different
8 things would show in natural history. And what we
9 have from a randomized comparison is a four-fold
10 reduction.

11 CHAIRMAN DAUM: Okay, I'd like to, at this
12 point, thank you, Dr. Fleming, Dr. Howe for
13 commenting, see if the Committee has general comments
14 they'd like to make before we put the first question
15 up and start speaking directly to it. All right, Dr.
16 Kohl.

17 DR. KOHL: I want to echo Dr. Reynolds
18 comment and I kind of feel a little remiss. I'm a
19 pediatrician. And we desperately need combination
20 vaccines. There is no question about that in my mind.

21 And I just want to make sure that the
22 Committee realizes that we need combination vaccines
23 that are effective and safe. So keep those two in
24 mind.

25 CHAIRMAN DAUM: Thank you, Dr. Kohl. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 didn't see other hands up. And so I'm going to ask to
2 have the first question put up on the board again and
3 begin a discussion about this first question and that
4 is to say that the, this is a voting question. It's
5 the only voting question for the afternoon. And it
6 concerns efficacy.

7 Are the available data adequate to support
8 efficacy of this combination vaccine when given to
9 infants in a 2, 4, 6 regimen.

10 So I'd like to have discussion about this
11 question and then after we get a sense of people's
12 opinions and where we're going, we'll have a vote
13 about this question. Comments? Ms. Fisher.

14 MS. LOE FISHER: I'll just do my comments
15 and my vote at the same time, if that's all right. I
16 mean I only have to speak once then.

17 CHAIRMAN DAUM: It's --

18 MS. LOE FISHER: Save time.

19 CHAIRMAN DAUM: Economy is a good thing.

20 MS. LOE FISHER: My answer is no. That
21 there needs to be a larger trial of this new
22 combination vaccine in the U.S., in genetically
23 diverse populations, with a 2, 4, and 6 month
24 schedule.

25 And with a longer follow-up period than

1 fourteen months to assure long-term immunogenicity
2 with all antigens, particularly pertussis and
3 hepatitis B. As well as an attempt to characterize
4 the mechanisms for achieving immunity at the cellular
5 level.

6 CHAIRMAN DAUM: Okay. We have a vote in
7 and a comment. Other comments? Perhaps we've heard
8 them. Dr. Broome, please.

9 DR. BROOME: Well, I mean, I appreciate
10 your trying to surface some discussion before we move
11 to voting, because I actually thing that's quite
12 important. I mean I think Tom has raised, you know,
13 perfectly valid points, but I do think they have to be
14 put in the context of what is a reasonable body of
15 data to expect for this kind of product and decision.

16 I also think it has to be put in the
17 context of you may have one very well designed,
18 randomized trial, but I think with immunogenicity data
19 we've also been comfortable bridging between results
20 from one study and others.

21 So to characterize this is decision based
22 on one hundred or fewer subjects per arm I don't think
23 is really representative of the data we've seen this
24 morning.

25 I'm sort of scrambling to pull together

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what we do have. And it may be helpful to the
2 Committee to sort of reprise, you know?

3 Specifically I myself and others have
4 focused on the pertactin and FHA results from 044, but
5 frankly I think we haven't paid enough attention to
6 the fact that there's another consistency lot trial
7 which has 300 subjects per arm in which the non-
8 inferiority is very clear for those antigens, and I
9 find quite convincing.

10 So I'm not particularly concerned about
11 the possibility of nonequivalence with the FHA or
12 pertactin results. So this is not a very conclusive
13 comment, but just one to say that I think before we
14 vote it's quite important to maybe, you know, reprise
15 the data that bear on the immunogenicity question.

16 Also I'm really sorry we don't have Stan
17 Lemon or somebody here who could speak more eloquently
18 to the hepatitis surrogate. But my understanding is
19 that's actually quite a good one.

20 CHAIRMAN DAUM: So, I take it you feel
21 more, substantially more comfortable with these data
22 than previous people that have --

23 DR. BROOME: Well, I'm flipping through a
24 whole bunch of pieces of paper to try to put the whole
25 thread together. But I, let's just say I don't feel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 maybe as pessimistic as the two preceding speakers
2 have.

3 CHAIRMAN DAUM: I think the, we need to
4 think about this question as what do we believe based
5 on what we heard about the ability of this combination
6 vaccine to protect against the diseases that the
7 individual components protect against.

8 I mean that's sort of what efficacy's all
9 about. And so, I think that in formulating that idea,
10 do we know enough from the immunogenicity data that
11 were presented this morning, about that to decide the
12 question.

13 I think that's sort of what we're thinking
14 about here. And I'd like to hear more discussion
15 about whether you think we do know that or whether you
16 think we don't know that and where the gaps are. Dr.
17 Kohl.

18 DR. KOHL: I'm going to focus on the DTP
19 or the P in particular. And the one piece of data
20 that I was the most concerned about, initially,
21 reading the pre-read and earlier this morning, was the
22 FHA data.

23 And I think, again, the GMT level, which
24 is all we have with FHA, unfortunately, shows
25 nonequivalency. There's no question about that by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 criterias which may even be weak as Dr. Fleming has
2 mentioned.

3 On the other hand, we all sat through a
4 very laborious session several months ago looking at
5 a different preparation, and discussing what all this
6 means.

7 And I think I would have to agree with Dr.
8 Reynolds, which I enjoy doing actually, not would have
9 to, that FHA is probably the least important of the
10 antigens that we know about.

11 We know that Infanrix itself is quite a
12 good protective vaccine against pertussis and I feel
13 that to hold this up because of one out of three
14 antigens that I don't know exactly what it means,
15 would not be the appropriate thing to do on the basis
16 of pertussis. So I feel, I think comfortable, passing
17 on the pertussis protection from this vaccine.

18 CHAIRMAN DAUM: Well you want, before we
19 let you put the microphone away, do you want to go a
20 step further and talk about the polio part of the
21 vaccine? Or --

22 DR. KOHL: Yes. I honestly don't have any
23 problems with the other components of the vaccine.
24 The hepatitis was lower. GMT, again, was lower than
25 the comparator. But the levels are still quite good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And my understanding is those levels would be quite
2 protective, although that is not my field and I'd love
3 to hear from a hepatitis expert if possible.

4 CHAIRMAN DAUM: Okay. Other comments?
5 Dr. Diaz, please?

6 DR. DIAZ: I just have a couple of
7 comments in particular regarding the pertussis and the
8 hepatitis, hepatitis B. I'm not that concerned in
9 regards to pertussis about the FHA, the non-
10 inferiority issues. But, I am still troubled by the
11 lot-to-lot equivalency and immunogenicity results with
12 pertactin.

13 And I'm not convinced that high maternal
14 antibody accounts completely for those differences in
15 those lot-to-lot variations. The, that coupled with
16 sort of the lack of knowledge, I guess there's a lot
17 of what ifs in my mind.

18 The data as it stands is reasonable in my
19 mind and yet the what ifs, the issues regarding the
20 lot-to-lot inconsistencies in pertactin, the lack of
21 any knowledge about how this vaccine will behave when
22 given in combination with prevnar, in particular,
23 makes me a little bit uncomfortable and I wish we have
24 more information in regards to that in terms of if
25 there's any cumulative affect along those lines in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 decreasing antibody responses to pertactin.

2 That having been said, likewise I wish we
3 had more information as to just how important
4 pertactin is in the overall scheme of protection.
5 Regarding the hepatitis B issues, I am not
6 overwhelmingly troubled by the differences in the GMTs
7 per se.

8 And yet, again, the lack of any longer-
9 term data can of regarding the persistence of an
10 antibody response in these subjects leads me to wonder
11 about the overall protectiveness in the long run.
12 Again those are sort of the what ifs that I have in my
13 mind that are a little bit troubling.

14 CHAIRMAN DAUM: Okay. Again, I think this
15 concept of dissecting in our minds the different
16 components of this as Dr. Kohl and Dr. Diaz have done,
17 it a helpful one. And it may allow you to consider
18 why you do or do not think this is efficacious.

19 DR. WHARTON: Getting back to the lot-to-
20 lot variation issue, again, if I remember the
21 presentation correctly, although there was
22 nonequivalence in the equivalence testing for the
23 pertactin component, if you look at the reversed
24 hemolytic distribution curves which are provided,
25 they're actually strikingly similar.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Which raised the question in my mind, and
2 I would appreciate someone who's wiser than I to
3 enlighten me on what in fact does nonequivalence mean
4 with such similar reverse cumulative distribution
5 curves. Is the statistical standard that's being
6 applied consistent with what we would consider
7 clinically relevant?

8 With all the provisos that we don't know
9 what to make of pertussis serology anyway. But they
10 are strikingly similar curves and even the
11 antiprotectant curve, though there's a little bit of
12 daylight between the lines, it's not much, and were I
13 to see these curves in a different context, I would be
14 impressed with their similarity.

15 The second point is one again which I
16 think has been made that the, that those same lots of
17 vaccine were subsequently used during the second
18 larger study and the confidence intervals were
19 smaller, reflecting the larger sample size, and in
20 fact nonequivalence was not found.

21 Now granted there was ad mixture with Hib
22 vaccine so it was not exactly the same situation.
23 But, this reassures me, and these consistency issues
24 among the lots I don't find troubling based on these
25 data.

1 CHAIRMAN DAUM: Thank you very much. Dr.
2 McInnes.

3 DR. MCINNES: In study 015, comparing
4 groups one and groups four.

5 CHAIRMAN DAUM: Pam, could I ask you to
6 speak right into the microphone.

7 DR. MCINNES: Sure. Dr. Howe you gave us
8 the numbers of children who had completed three doses
9 of vaccine in those two groups.

10 In, there's a delta between those who
11 completed three doses and those there weren't reported
12 and I presumed that revolves they either didn't get a
13 blood draw or they had window violations around the
14 timing of the doses.

15 And so they're not accounted for in the,
16 according to protocol analysis of the immunogenicity.
17 What do you know about the immunogenicity of those
18 delta children? The children who received three doses
19 and had a blood draw, but had some window violation.

20 Is it possible to shed some light on them,
21 because I think that addresses something Dr. Fleming
22 raised earlier with if just two or three children had
23 had a different response the impact would have been
24 different on the non-inferiority analysis. So it goes
25 more to the intent-to-treat concept than to, according

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to protocol analysis.

2 CHAIRMAN DAUM: We can ask the
3 manufacturer to comment on that, if they would like
4 to.

5 DR. HOWE: In terms of the numbers that I
6 just quoted, they were actually just attrition from
7 dose one to dose three, so that wasn't the numbers
8 included in either the ATP or ITT analysis.

9 It's just the absolute number of children
10 who came for dose one and then subsequently got dose
11 three, whether or not they had blood drawn, sufficient
12 volume were analyzed or what not. So that, the
13 numbers I gave you earlier were simply the number who
14 completed their vaccination.

15 DR. MCINNES: No. I understand that. For
16 example group four. Eighty-four children received
17 three doses you reported.

18 DR. HOWE: Yes, that's correct.

19 DR. MCINNES: The n reported under group
20 four for immunogenicity is a maximum of seventy-eight.

21 I'm wanting to know if you have data on
22 the six children?

23 DR. HOWE: So I can tell you their reason
24 for elimination is what you want to know?

25 DR. MCINNES: Well I want to know if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have, did you get a blood draw on them, do you have
2 immunogenicity data on those six children. I
3 understand they might have had window violations,
4 which would make them fall --

5 DR. HOWE: Right. Right.

6 DR. HOWE: -- outside of the ATP analysis
7 but would be meaningful for understanding the spectrum
8 of immune response, regardless of the window.

9 CHAIRMAN DAUM: Are there any sera on
10 them?

11 DR. MCINNES: Yes. Do you have serology
12 on them?

13 CHAIRMAN DAUM: Are there any antibody
14 data?

15 DR. HOWE: Yes. I'm just looking at, I
16 have in front of me the ITT analysis which means that
17 if a child had sera available and the assay was run --

18 DR. MCINNES: Yes.

19 DR. HOWE: -- and so, do you want this by
20 antigen or per specific, do you want for the pertussis
21 antigens or --

22 DR. MCINNES: Do you have it for this
23 spectrum of the an, do you have all of it?

24 DR. HOWE: I do. So again, if we look at
25 a comparison of group one to group four for anti-

1 diphtheria, it's ninety-eight point nine percent
2 versus a hundred percent. And there would be ninety-
3 two in group one, and seventy-nine in group four.

4 For anti-T it's a hundred percent and a
5 hundred percent. For anti-PT here we have an n of
6 ninety-three for each of the pertussis antigens and
7 the ITT cohort for group one we have ninety-eight
8 point nine percent, in group one and ninety-eight
9 point seven in group four.

10 Ninety-five point seven in, for FHA and a
11 hundred percent for group four for FHA. For anti-
12 pertactin, ninety-five point seven versus ninety-one
13 percent, that's group one versus group four.

14 I just looked quickly at polio. For anti-
15 Hbs the n is ninety-one in group one and seventy-eight
16 in group four, a hundred percent greater or equal to
17 ten milli International Units per ml.

18 Again for polio one in group one we have
19 an n of 88 with a hundred percent seroprotection.
20 Group four an n of 74 with 98.6. For polio two, 98.9
21 versus 100 and for polio three 100 versus 100. The
22 same n's as I previously quoted.

23 CHAIRMAN DAUM: How agile is the Committee
24 with these numbers? We have the technical capability
25 here of putting these on a transparency and showing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 them. Would you like to see them that way? Or is Dr.
2 Howe's reading sufficient?

3 DR. MCINNES: I mean essentially, although
4 I didn't write them all down, the percentage of
5 seroprotection vaccine response is pretty much the
6 same in the intent-to-treat as in the ATP. Is that a
7 fair statement?

8 DR. HOWE: Yes.

9 DR. MCINNES: But you add some way between
10 two and four sero values per depending?

11 CHAIRMAN DAUM: Would you like to have
12 them written down to see them?

13 DR. MCINNES: No. I don't think so. Dr.
14 Goldberg wanted --

15 CHAIRMAN DAUM: Dr. Howe would you be
16 willing to take a few minutes and do that? We can
17 banish you to the table to do that. Then we can put
18 them up on the board and they might be easier to
19 digest. Thank you Dr. McInnes. Other comments,
20 queries? Dr. Goldberg?

21 DR. GOLDBERG: Just to follow this
22 attrition --

23 CHAIRMAN DAUM: Microphone.

24 DR. GOLDBERG: Sorry.

25 CHAIRMAN DAUM: Thanks.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GOLDBERG: Two things. One, to follow
2 this attrition argument, I mean the reasons for the
3 attrition between doses should need to be looked at.
4 I mean are they related to safety events and the
5 intolerability of being able to complete the course of
6 the vaccine? I mean that's key into what the long-
7 term protection from the vaccine would be in the
8 population.

9 If you're only going to, if you're
10 seriously only going to get the completed course in a
11 differential group of patients. You know and the
12 trick is really to make sure that it's operating the
13 way it is, the way it appears to here which is that a
14 higher percentage are getting the full course of the
15 combination rather than the components.

16 And that the reasons for noncompliance are
17 not related to outcome in group one like an untoward
18 outcome in group one and annoyance with the vaccine in
19 group, with the number of injections, but no real
20 problems in group four. And I think that needs to be
21 looked at in order to fully evaluate it.

22 The other point is that there was a
23 discussion about the reverse curves. And I guess I'm
24 not as sanguine that they're totally similar in the
25 sense that what does the small difference in a small

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 space between the two curves translate to in the
2 large?

3 I mean we're really talking about vaccines
4 that on a seroprotection basis, whether we like the
5 cut off and we're happy with the definitions, really
6 do a pretty good job in the abstract.

7 The question is, where they fail. Is that
8 going to magnify in the hundreds of thousands and
9 millions of kids that get this? And so I think we do
10 need to go back to the data if you will and the data
11 about what the levels actually are and I guess I'm
12 concerned about that.

13 I mean, I'm torn between I think this is
14 a good vaccine, but I'm not fully convinced and
15 totally comfortable and it's these little niggling
16 issues, I mean it's the, you know when you do an
17 adjustment for the maternal levels at baseline. Well,
18 you know, I mean I guess, I'd like, I need to look at
19 that data so that I can see it.

20 But that's an issue. I mean the
21 assumption's made that well if it's high it's going to
22 stay high and there's nothing you can do. They can't
23 respond.

24 But if you looked at subjects that
25 achieved a certain level or maintained a certain level

1 of titer, you could get at that issue. And you could
2 get at it if you stratified by baseline levels. So
3 that there are ways to begin to tease this apart and
4 I think maybe we need to do a little bit of that.

5 CHAIRMAN DAUM: Thank you. Dr. Meade then
6 Dr. Fleming, Dr. Griffin. Doctor?

7 DR. MEADE: Yes. Bruce Meade, from the
8 Office of Vaccines at CBER and I'm not going to
9 resolve the niggling issues because they are in fact
10 difficult issues but I think it's important to
11 acknowledge clearly that the cut offs, the values that
12 we come up at cut offs for equivalents for the
13 pertussis antigens are clearly arbitrary.

14 I mean I think we'd be kidding ourselves
15 if we didn't acknowledge that the values we came up
16 with were arbitrary, hopefully thoughtful, but clearly
17 arbitrary.

18 And I think the, one of the important
19 considerations as we did obviously what were realistic
20 but I think one of the most important considerations
21 is we were, when we chose what we thought were
22 reasonably conservative criteria for equivalents, for
23 non-inferiority and equivalents, so that when, in
24 fact, differences were observed, we would have a
25 signal, that would identify those that we need to look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at and do exactly the kinds of things you're talking
2 about, that if they are, if you see differences, are
3 these, you can look at, choose which RCDs you want to
4 look at in depth and look at.

5 And again, I think it's important to
6 differentiate between shifts of an RCDs, you know a
7 small shift, or in fact are you is there a population
8 of individuals that are clearly non-responders. And
9 again trying to put that into context of the value,
10 the information that's available from Fixi trials.

11 Again, it's, I think we viewed it very
12 much as a signal for which ones we need to look at
13 more carefully. And do the kinds of analysis you're
14 talking about.

15 And if I could make one more comment,
16 again, in terms of lot consistency issues, I think
17 it's important to recall important issues when we're
18 talking about consistency in manufacturing.

19 It's a global issue. When we're
20 evaluating consistency in manufacturing, you're
21 looking at a large number of information on the
22 manufacturing, the process, on the characterization
23 and animal and human immunogenicity.

24 There's no question that the human
25 immunogenicity is important and relevant but it's only

1 one of the tools we use to look at for evaluating
2 consistency in manufacturing. So it is, again, it's
3 an important issue, but it's not the only issue that's
4 relevant for consistency in manufacturing. Thanks.

5 CHAIRMAN DAUM: Thank you, Dr. Meade.

6 DR. GOLDBERG: Can I make another comment?

7 CHAIRMAN DAUM: If it's very brief, Dr.
8 Goldberg.

9 DR. GOLDBERG: Very brief. I mean the
10 lot-to-lot consistency vote, speaks to the same
11 inconsistencies, speaks to the same issue.

12 What does the small difference, if you
13 presume that the immunogenicity is really a surrogate
14 for true efficacy, then a small difference there that
15 goes against the lot that you're using has lower
16 immunogenicity rate. And I may be reversing this.

17 Translating to potentially lower efficacy
18 which in large here becomes a major issue in numbers.
19 So it's really how close is close enough? And I think
20 that some of that does need to be discussed and agreed
21 upon.

22 CHAIRMAN DAUM: We're discussing it. Dr.
23 Fleming?

24 DR. FLEMING: Just to kind of follow up on
25 Judy's clarification and questions that had been

1 raised about interpreting these data.

2 If we go to the 044 data and we look at
3 the PRN and we look at the magnitude of the
4 differences, essentially as has been reiterated, we
5 don't have a clear sense of what measure of
6 immunogenicity is a reliable way of addressing
7 efficacy for pertussis.

8 A best attempt was made. And that best
9 attempt said for this we're going to use the GMT and
10 we want to be able to at least rule out that there
11 could be a fifty percent higher GMT achieved on single
12 administration as opposed to combination. That was
13 what we set up.

14 Now we didn't observe something that was
15 one-third lower, which corresponds to relative risk of
16 one point five. We observed something that was about
17 a relative risk of one point three, which is part of
18 reason that the curves don't look so obviously
19 separated. But part of the price you pay when you
20 have a small study is that these data are
21 statistically consistent with something more than one
22 point five.

23 And so the bottom line to that typically
24 is if you do a study and you see a positive trend and
25 it's not significant, you say ah well, it's a positive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 trend and if the sample size had been big enough it
2 would be significant, so approve. No you say, that's
3 the price the sponsor has paid for not doing a study
4 of adequate size to reliably understand
5 immunogenicity.

6 That's in essence what's happening with
7 the lot-to-lot assessment. There is, in fact,
8 unfortunately an estimate that the GMT is lower and
9 it's a variable estimate because it's a little trial
10 and as a result we cannot state with confidence that
11 you might not have a one and a half fold higher GMT in
12 the individual administration.

13 And then back to Claire's point about the
14 Hep surrogate. The comments that I have raised
15 haven't challenged that the Hep surrogate might not be
16 a good surrogate. That there is a correlation between
17 these antibody levels and protection. The big
18 question is are you capturing the essence of the
19 importance of that surrogate by dichotomizing on
20 whether you achieve a level of ten?

21 And that would mean you've got to have
22 evidence on a lot of people that have ten to fifteen
23 and show they're protected.

24 And I strongly suspect we don't have that
25 and I've been probing to see if we do have it and I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 haven't yet been convinced that we do.

2 CHAIRMAN DAUM: I think we do. We're
3 going to ask Dr. Feinstone from the FDA who's just
4 come in to address that very question for us. We
5 produce answers for this Committee. Dr. Feinstone
6 thank you for coming. Feinstone. The recall.

7 DR. FEINSTONE: We've actually been
8 struggling with this issue of hepatitis B titers for
9 some time. Going back to the original plasma dry
10 vaccine which gave actually much higher titers than
11 the presently-sold vaccines that are recombinant yeast
12 dry vaccines.

13 But the initial level of ten
14 milliequivalent was set in some of the earliest trials
15 on vaccine efficacy. In which it was found that
16 people who did respond with relatively low titers, but
17 over ten, were universally protected. Or protected at
18 an extremely high rate.

19 And these were, as you might remember some
20 of those trials, amongst very high risk individuals,
21 primarily gay men in New York City, and these types of
22 people who had a very high attack rate at that time.
23 But what to do about the titers? Because this is an
24 issue that I call a titer creep.

25 With all the changes in the vaccines, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 changes in the schedules that we've seen, the changes
2 in the doses, the changes in the formulation. We have
3 seen a gradual decrease in the, in at least some of
4 the titers.

5 But what I'm quite convinced of now is
6 that the long-term studies that have been coming out
7 over the past few years from Alaska and from Taiwan,
8 have shown that people who, once they seroconvert,
9 remain protected against disease.

10 Now they may be susceptible to actually
11 being infected, but they universally have gotten
12 subclinical infections. And no one has gotten chronic
13 infections, once they've been vaccinated and
14 seroconverted. So this is primarily what we've been
15 operating on at this point.

16 With I would say one sort of caveat. And
17 that is those long-term studies were done with
18 vaccines that produced relatively high initial titers
19 on the average. And so we don't really have long-term
20 follow-up studies on newer schedules, newer doses that
21 don't produce quite as high titers.

22 But it does appear that once people
23 seroconvert, they are protected to the extent that the
24 CDC still doesn't recommend booster doses for
25 individuals who are even high risk individuals whose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 titers fall below the magic ten level.

2 CHAIRMAN DAUM: We thank you. You shed
3 light. Someone had their hand up over here and then
4 Mike Gerber is next. Can they refresh my memory.
5 About four hands went up at once. Dr. Griffin, then
6 Dr. Gerber.

7 DR. GRIFFIN: Well, I guess I'm not going
8 to shed much light on this, I'm just going to ask
9 another question, I think, probably of Tom and Judy,
10 but, so what bothers me the most is that we have it
11 just seems like the most minimal data on the
12 antigenicity of this vaccine to compare and to use and
13 to make a decision on.

14 I guess, from the top of my head I think
15 okay you know if it were just three or four hundred
16 people instead of one hundred or fewer, actually, that
17 we actually have the data on, we'd just feel so much
18 more confident that this is really correct and real
19 and that we're making our decisions based on solid
20 evidence. You know one way or the other.

21 But I guess what I would also, so that it
22 addresses number B so that if you don't think they
23 have enough data, how much data do you need?

24 I guess I don't have a good feeling if my
25 guesstimate of three or four hundred people in these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 groups would be a whole lot better or if we're really
2 talking about you need a thousand or two thousand or
3 whatever to make these data much more confident that
4 what we're deciding is correct. I'd just like some
5 feedback on that.

6 CHAIRMAN DAUM: Thank you, Dr. Griffin.
7 We have Dr. Gerber, Dr. Britt and soon we're going to
8 start really coming to grips with this question head
9 on.

10 DR. GERBER: Yes. In thinking about this
11 question of efficacy, one population that I thought of
12 that I haven't seen or heard mentioned are former
13 premature infants.

14 And I just wonder if there are any data,
15 if infants less than 36 weeks gestation were included
16 in any of these trials and if we have immunogenicity
17 or safety data on that population?

18 CHAIRMAN DAUM: Sponsor? Dr. Howe?

19 DR. HOWE: So prior to 1996, we did allow
20 former pre-term infants to be enrolled into the
21 clinical trials and that would include Study 011,
22 which actually started in 1995, but there was a time
23 point in 1996 where we began to exclude pre-term
24 infants from, in terms of eligibility criteria, we
25 mandated that the infants be of at least 36 weeks

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 gestation.

2 In the 011 trial, despite the fact that we
3 know we have, we allowed former pre-term infants, we
4 did not collect information about gestational age,
5 when they entered the trial.

6 So the answer to your question is yes
7 there are former pre-term infants undoubtedly in study
8 011, but we don't know what percentage of individuals.
9 So we don't have data per se.

10 Can I just make one comment about the
11 number of subjects or the number of individuals in
12 whom we have immunogenicity data for those three lots.
13 And I just wanted to make sure it was clear that in
14 the 027 --

15 CHAIRMAN DAUM: To clarify something
16 factually.

17 DR. HOWE: Yes.

18 CHAIRMAN DAUM: Okay.

19 DR. HOWE: Yes. In the 027 Study, which
20 was the study in which the Infanrix HepB-IPV was mixed
21 with Hib, the n per group in that study was 360 and
22 that was for immunogenicity, lot consistency and then
23 a comparison head-to-head to commercial Infanrix.

24 DR. GRIFFIN: But in that same trial
25 then each of the groups though that you were comparing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is in the order of a hundred.

2 DR. HOWE: No, no, 360 per group.

3 DR. GRIFFIN: Is that the 2, 4, and 6
4 month schedule?

5 DR. HOWE: The 027 Study was a 2, 4, and
6 6 month schedule, 360 per group. It was a very large
7 n.

8 CHAIRMAN DAUM: And where did you find
9 that?

10 DR. HOWE: It's in Appendix, one of the
11 Appendices to the sponsor's briefing document and it's
12 a table in the FDA's briefing document. And I have it
13 as a slide, but --

14 CHAIRMAN DAUM: Dr. Ball, can you help us?

15 DR. HOWE: And then, in terms of the
16 comparison of Infanrix Hepb-IPV mixed with Hib, the
17 data pooled and then compared to again on a head-to-
18 head fashion with commercial Infanrix in that trial,
19 the n would be about 1,000 versus 300. And in that
20 trial non-inferiority to commercial Infanrix was
21 demonstrated for each of the three pertussis antigens.

22 So it's a very large n and we showed lot
23 consistency as well as non-inferiority to commercial
24 Infanrix in that study.

25 Additionally Study 048 was also a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 relatively large study, I don't have the n's in front
2 of me but it was of a similar magnitude and evaluated
3 lot consistency of the same three lots. We're just
4 having trouble finding that data.

5 CHAIRMAN DAUM: We should put these
6 designs up if we're going, 027, for example, the
7 specific regimens that are being compared.

8 DR. BALL: If you look at the briefing
9 document from the FDA, the data from 027 on the
10 various pertussis antigens is found on page twenty.

11 DR. FLEMING: On page twenty. But let's
12 look at the regimens, the slide that shows the precise
13 formulation of the regimens.

14 DR. HOWE: So this is the design of Study
15 027. Here's Infanrix HepB-IPV, the three consistency
16 lots from Study 044 mixed with Hib. The n per group
17 was about 360.

18 And then we had a control arm, which I
19 didn't discuss during my presentation, but was alluded
20 to by Dr. Ball, where Infanrix, again this is a
21 commercial lot, Engerix B, Hib and Oral Polio. This
22 is a U.S. Study given on a 2, 4, and 6 month schedule.

23 DR. FLEMING: And you didn't present that
24 first arm because?

25 DR. HOWE: Well at the time I was only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussing consistency. And that's why during my
2 presentation I didn't make any mention about the
3 comparison to Infanrix. These are the results though
4 for vaccine-response rates to PT, FHA and pertactin.

5 For groups two, three and four they
6 received, again this is Infanrix, HepB-IPV, the three
7 lots and 044 mixed with Hib. And then this is the
8 results for commercial Infanrix given separately along
9 with Engerix Oral Polio and Hib vaccine.

10 And what you can see is that comparable
11 rates, vaccine-response rates, actually very high in
12 all of the groups. And as I said when these three
13 groups were pooled and compared to commercial
14 Infanrix, using the same non-inferiority approach,
15 same criteria, the results were such that the Infanrix
16 HepB-IPV was not inferior to each of the three
17 pertussis antigens with response to, with respect to
18 the vaccine-response rates.

19 And then the next slide shows the
20 geometric mean antibody titers in groups two, three
21 and four, or Infanrix HepB-IPV mixed with Hib so anti-
22 PT seventy eighty-two, for anti-FHA, approximately
23 300, anti-pertactin 120, that's in each of three
24 groups, and then you see the comparison to commercial
25 Infanrix right here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And for each of the three pertussis
2 antigens in the GMTs they were shown to be non-
3 inferior to commercial Infanrix.

4 CHAIRMAN DAUM: Thank you, Dr. Howe. Dr.
5 Britt and then Dr. Stephens.

6 DR. BRITT: I just got a brief question
7 for Dr. Feinstone about, he mentioned seroconversion
8 to hepatitis B in the long-term protection studies.
9 What does that equate to with the titer?

10 DR. FEINSTONE: By seroconversion, we mean
11 titers per milli International units.

12 DR. BRITT: Thank you.

13 CHAIRMAN DAUM: I'd like to know if
14 there's burning points that haven't been raised yet.
15 Because I'm, what I'd like to do is now ask each
16 Committee member to speak to this question number one.
17 Dr. Stephens you have such a burning point?

18 DR. STEPHENS: Well I just wanted to
19 clarify the 027 data that we just heard about. That
20 obviously is a different vaccine. It does contain
21 Hib.

22 I presume the study was done to reinforce
23 the fact of noninterference in some respects. And I
24 just wanted you to clarify that point.

25 Because I think it is a valid point that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you've added Hib in this particular instance as
2 another antigen and presumably you didn't see
3 interference in that 027 trial.

4 DR. HOWE: So the 027 Study was originally
5 designed to assess the consistency of Infanrix HepB-
6 IPV mixed with Hib. And lot consistency for all of
7 the components contained in that product and then
8 comparing it to the individual licensed products.

9 What I can tell you is that in head-to-
10 head studies, the Infanrix HepB-IPV mixed with Hib as
11 compared to separate injections of Infanrix HepB-IPV
12 that is co-administered with Hib, the vaccine-response
13 rates and GMTs to the pertussis antigens are
14 completely comparable.

15 And again using sort of a non-inferiority
16 equivalence approach, that those two products behave
17 identically in terms of their response to pertussis.

18 CHAIRMAN DAUM: You can return to this
19 question if you'd like when we get to question three.
20 But for now I'd like to focus on question one, which
21 is are the available data to support, are the data
22 adequate to support the efficacy of this combination
23 for these antigens?

24 DR. FLEMING: But this is certainly very
25 relevant to the question one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: Oh, its relevance.

2 DR. FLEMING: And ironically you've got
3 three-fold as much data just coming back. You wish we
4 had three-fold as much data, we do have three-fold as
5 much data on a different combination vaccine than
6 we've been asked to consider. And now we're trying to
7 put into context what that different vaccine data in
8 027 contributes.

9 DR. GRIFFIN: Contributes, I understand.

10 DR. FLEMING: Contributes to the
11 combination vaccine we've been asked to consider.
12 It's ironic that the one we're being asked to consider
13 in 015 has one-third the database from another
14 combination that we're not being asked to consider.

15 CHAIRMAN DAUM: Thank you. Dr. Kohl
16 you're up there. I think you're up there and you're
17 the end member of the Committee today.

18 I'd like to hear your comments and your
19 vote actually at this point on question one. And if
20 you decide they are, your answer to part a is no, then
21 I think you need to address part b. If that could be
22 the format, I'd be grateful.

23 DR. KOHL: I've been dreading this moment
24 ever since I realized I was in the Dixie Memorial
25 Chair, Dixie Snider Memorial Chair, but I'll take a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 shot at it.

2 CHAIRMAN DAUM: You don't have any
3 grandchildren.

4 DR. KOHL: Not yet. It's the same old
5 deal with how comfortable do you feel compared to how
6 much do we need this vaccine. And trying to do that
7 balancing act I was and still am somewhat concerned
8 with the initial data presented on the FHA levels and
9 the HepB GMT levels with the new schedule.

10 I am somewhat pleased with the data that
11 just came out this minute, tripling a little bit of
12 the immune response, but I think the point that it's
13 different vaccine is important. Most of our problems
14 with different vaccines have caused titers to lower
15 not rise. So if anything I guess if something's
16 unexpected I would have expected it to go South not
17 North.

18 And I'm going to walk the plank and say
19 given what I think is a real pressing need in this
20 country for combined vaccines I will vote yes on this
21 question.

22 CHAIRMAN DAUM: Thank you very much Dr.
23 Kohl. And then we won't press you to address question
24 b, which is nice. You get off the hook. Dr.
25 Stephens.

1 DR. STEPHENS: As one of the evil, non-
2 pediatricians on this Committee, I too am a big
3 advocate of combination vaccines. And, in essence,
4 I'm going to join my colleague to my right and walk
5 the plank with a yes on this issue with certain
6 caveats.

7 I think we are being asked to make certain
8 assumptions regarding the efficacy of this vaccine.
9 I am encouraged more by the 027 data than I am the 015
10 data that's been discussed. I am somewhat concerned
11 about the issue of the efficacy of this vaccine and
12 all races and ethnic groups which we really haven't
13 heard a lot of data about.

14 And I'm concerned with the later
15 discussion that we'll have about both Hib and Hib
16 vaccines and Prevnar. But in essence I do think the
17 data, from what I can read, is probably adequate to
18 support the efficacy of the vaccine.

19 CHAIRMAN DAUM: Thank you very much. Dr.
20 Faggett, you're up.

21 DR. FAGGETT: Well, as a pediatrician, I
22 too appreciate the need for this vaccine. But I think
23 Dr. Reynolds made the point that we need more data in
24 terms of pediatric clinical trials and studies in
25 general.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I don't think that the data that we've
2 been presented is adequate to support the efficacy at
3 this time, unless somebody as we go around can
4 convince me otherwise.

5 CHAIRMAN DAUM: Okay, then Dr. Faggett, I
6 have to ask you to do us a favor and address question
7 b and that is what exactly do you need to be adequate?

8 DR. FAGGETT: I think we need more
9 numbers. I think maybe 300 should be a threshold.
10 Again, I'm not just that knowledgeable statistically,
11 in terms of statistics of it. But I think we do need
12 more numbers to have more clinical data.

13 CHAIRMAN DAUM: Thank you. Dr. Griffin?

14 DR. GRIFFIN: Well, I'm on the fence. I'm
15 not going to be comfortable with my decision either
16 way because I don't think there is, for me there's not
17 an obvious answer to this question.

18 I would be wildly more comfortable with
19 much more data on this particular vaccine, using this
20 schedule in U.S. children. And I think for right now
21 that is going to carry the day for me and I will have
22 to vote no and that I would like more data.

23 CHAIRMAN DAUM: Can I press you to be a
24 little bit more specific about what more data you
25 need? Because I think it would be helpful to FDA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 folks and sponsors and your colleagues about what it
2 is that you're lacking here in terms of --

3 DR. GRIFFIN: I guess, and the reason I'm
4 on the fence is that I think with more data it will
5 show that everything is fine. Basically I think that
6 this is probably an excellent vaccine, that it's
7 immunogenicity is fine and that these vagaries of not
8 being totally clear would be cleared up and everyone
9 could be comfortable that we were making the right
10 decision and wouldn't have some long-term consequence
11 of lower immune responses or whatever in this group of
12 children.

13 I'd like mainly, I guess mainly the 015
14 Study to be have groups that were larger I guess my,
15 I would be guessing around three or four hundred. I'm
16 not a statistician so that's just what we might guess
17 that would narrow those confidence intervals to having
18 something I would feel more comfortable with.

19 CHAIRMAN DAUM: So you, I don't want to
20 put words in your mouth, so let me play it back.
21 You're asking for more U.S. immunogenicity data.

22 DR. GRIFFIN: U.S. immunogenicity data
23 with this vaccine, head-to-head comparison with giving
24 the components separately and diverse population would
25 be great. I think that's actually in there, but more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 numbers.

2 CHAIRMAN DAUM: Thank you very much. Dr.
3 Diaz.

4 DR. DIAZ: I too am very, very much on the
5 fence regarding the adequacy of the data to convince
6 me of its efficacy and immunogenicity in young
7 children. And being a pediatrician I do recognize the
8 need for combination vaccines desperately. And yet,
9 again, they must be effective and safe.

10 I am somewhat reassured to some extent
11 with some of the last minute data that was drawn to
12 our attention regarding the 027 trial and yet I do
13 recognize it was a, not the exact same combination
14 vaccine that we're being asked to talk about today.

15 I would be more comfortable with more data
16 from U.S. children and in particular more diverse
17 populations, albeit there is some data in one of the
18 studies.

19 So I feel I'm going to have to abstain,
20 actually, in my response because I'm not convinced
21 either way and I'm uncomfortable to vote either way.

22 CHAIRMAN DAUM: Okay. You made your
23 reasons for abstaining clear. We thank you. Dr.
24 Goldberg.

25 DR. GOLDBERG: I'm, in my gut I think this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine is probably fine. But the data is presented
2 from the 015 trial, which I'm, really everything rests
3 here, coupled with the lot-to-lot variation make me
4 want more data as well.

5 And I guess what I would, I mean I'm
6 troubled because it really, really is, I'm also on the
7 fence, but I would have to vote no right now.

8 And what I would recommend is a head-to-
9 head, two-arm comparison of the size to be determined
10 to make sure, I wouldn't give you a number now. I
11 think one needs to study the data a little bit to make
12 sure that we can be convinced from the numbers that we
13 get from the new trial.

14 And also I would try to do it in such a
15 way that you can combine both trials so that you can
16 cut the numbers there but in a sense increase your
17 numbers and do sort of an analysis using, of combining
18 the two studies to get at it.

19 I don't really think it should be that
20 difficult to do it. But you know that's something I'm
21 not going speak to right now. I think though that
22 given the usage that this vaccine is going to have, we
23 really need more data.

24 CHAIRMAN DAUM: Dr. Fleming? Dr. Fisher
25 I've bypassed you because I presume you spoke. Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Fleming.

2 DR. FLEMING: I, my perspective's very
3 similar to Judy's. I am hopeful that with adequate
4 information that there is a very good likelihood that
5 we could be reassured. I'm concerned. We're talking
6 about a vaccine that will be administered to hundreds
7 of thousands, millions of infants. And we have gone
8 through extensive studies.

9 The Italian and the Swedish trials have
10 been marvelous studies that have established efficacy
11 for, for example the pertussis vaccines and I've been
12 convinced in those studies that the multicomponent
13 vaccines are, there is considerable evidence, are more
14 effective.

15 I don't have a sense that I can tell you
16 I know what FHA's component is. But I'm very
17 concerned about stepping back in efficacy unless what
18 we are achieving with that step back is of very
19 significant importance.

20 I'm also, and we're going to get to it
21 shortly, concerned that there might be a price in
22 terms of safety with fever.

23 And so it seems to me that it's very
24 rational to look to an expectation of having more
25 than, and I consider 015 the core study. To having

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 more than 100 per arm in that study. So I believe
2 that these data do not establish lack of efficacy.

3 But they don't provide the level of
4 evidence that I think would be appropriate for the FDA
5 to expect in this setting, and hence would argue that
6 the data don't adequately establish efficacy. How
7 much would we need, which is the second part of the
8 question?

9 I would specifically argue that that
10 question should be answered with some considerable
11 care as one looks more carefully, if you're going to
12 do another study, at whether we can simplify immune
13 responses into a simple threshold. And there are
14 statistical methods that allow us to get at whether
15 that's valid.

16 It might be that the proper way to assess
17 immune response there is with the multivariant
18 assessment of ways of assessing these immune
19 responses. My general sense though is that we're
20 probably talking about a study that would be three to
21 four-fold larger if not larger and just as a rule of
22 thumb, four-fold larger halves the confidence interval
23 whipped.

24 It gives you a precision that's twice as
25 great, which is as we can see from 027, compared to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 015, certainly of real relevance here. And that
2 sample size relative to the magnitude of use of this
3 vaccine, to my way of thinking, is a very appropriate
4 expectation by the FDA.

5 CHAIRMAN DAUM: Thank you Dr. Fleming.
6 Dr. Wharton.

7 DR. WHARTON: Based on the findings in two
8 of the three pivotal studies 015 and 044, both of
9 which were done with the candidate product on a 2, 4,
10 and 6 schedule in the United States, with 484 children
11 receiving that product in study 044 as well as the
12 supporting study 027, also done in the United States
13 on the 2, 4, 6 schedule, with over a thousand children
14 receiving the vaccine ad mixed with Hib, which I
15 believe is unlikely to improve the immune response of
16 the vaccine, I believe the data is sufficient to
17 support efficacy.

18 CHAIRMAN DAUM: Thank you very much. Dr.
19 Broome.

20 DR. BROOME: I agree with Melinda. I
21 think she stated it very well. And I think she's
22 included all of the relevant data which are
23 appropriate to consider.

24 CHAIRMAN DAUM: Thank you, Dr. Broome.
25 Dr. Britt.

1 DR. BRITT: I apologize to the other
2 members of the Committee and the audience. I was not
3 here this morning so I didn't hear lots of the lively
4 discussion. I did read the material, I've listened to
5 the discussion this afternoon and I am too a
6 pediatrician and I don't enjoy giving children many
7 injections.

8 However, the injections I give them now
9 seem to work. And I would like to make sure that the
10 succeeding, the next injections I give them also work.
11 I'm having some trouble under, coming to grips with
12 no-inferiority in some of the discussions that Dr.
13 Fleming has given. And I don't believe that I can see
14 the data yet that says that this is efficacious as
15 what we're giving now.

16 And in terms of the answer to b, I think
17 I would agree with my colleague across the table, Dr.
18 Griffin, we need more patients and we need a more
19 diverse group of patients. And I think that's
20 something that should be really stressed because this
21 is a pediatric vaccine.

22 CHAIRMAN DAUM: So I want to make sure I
23 understood you. I'm sorry. You're voting no.

24 DR. BRITT: I'm voting no.

25 CHAIRMAN DAUM: Okay. I'm not snubbing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the last three members at the table. But they are
2 non-voting members for the purpose of this discussion.
3 And so there remains my vote and I'm solidly in camp
4 with Drs. Wharton and Broome. I think that with
5 respect to the effectiveness issue, I would definitely
6 like to see more numbers.

7 I kind of wish the large study hadn't been
8 done in Germany with a different schedule. But I'm
9 looking at the individual components of the vaccine
10 and trying to decide whether I really believe we've
11 seen something that concerns me about efficacy. And
12 I really haven't.

13 The most important concern is perhaps some
14 compromise in pertussis antibody but I'm not sure I
15 know how to interpret the information that I saw about
16 that. So, I would like to see more ethnic diversity
17 and I would actually like to call on colleagues at the
18 FDA to begin to insist on that.

19 We all seem to want it. I've heard the
20 Committee members say it over and over again, that
21 even studies performed in the United States, tend to
22 maximize participation of individuals likely to come
23 back and perform in the study protocols. And I'd like
24 to see us begin to move beyond that.

25 I think the risk of sponsor who undertakes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

1 trials internationally is that you're going to have a
2 scheduling problem. You're going to have an ethnicity
3 problem and we're always going to be a little nervous
4 about importing those things directly into this
5 country. But that's not to say they're not valid,
6 can't be done and can't be looked at. You learn a lot
7 from several thousand children in Germany.

8 So I'm inclined to think that on the
9 effectiveness issue that I vote yes. And report that
10 the Committee votes five members yes, six members no
11 and one absentia. And all of the people who voted no
12 I must say I wanted more U.S. children entered into
13 trials that focused on immunogenicity with an
14 occasional call for more ethnic diversity.

15 So question one is done. I'd like to take
16 a fifteen minute break. It's three fifteen, we'll
17 reassemble at three thirty and begin with question
18 two.

19 (Whereupon, the above-entitled matter
20 went off the record at 3:20 p.m. and
21 went back on the record at 3:38 p.m.)

22 CHAIRMAN DAUM: We are now ready to go
23 back into session. If people would take their seats
24 and finish their conversations.

25 I'd like to begin by announcing to the

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701