

1 who are providing testing for other vaccines have now
2 been able to implement here. They came to the FDA.
3 They presented their validated assay.

4 Based on this work, we have now changed
5 our policy, and a letter was sent out to all
6 manufacturers of HIV vaccines that they have to
7 replace the old less sensitive RT assays with these
8 highly sensitive assays. So that all new vaccines
9 have to be subjected to this testing before moving
10 forward.

11 DR. FAGGETT: I hope you send a copy to
12 HCFA so they will pay for it.

13 ACTING CHAIRMAN DAUM: Other questions for
14 Dr. Golding? That means it was exceptionally clear.
15 Thank you very kindly for taking time.

16 DR. GOLDING: Thank you.

17 ACTING CHAIRMAN DAUM: Dr. Huang, can you
18 hear us?

19 DR. HUANG: Yes. You are much clearer.

20 ACTING CHAIRMAN DAUM: A tribute to our
21 audio-visual helpers.

22 We will now move on to the next item,
23 which will be to hear from Dr. Ira Berkower regarding
24 activities in the Laboratory of Immunoregulation.

25 DR. BERKOWER: Thank you. Could I have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the first slide, please.

2 ACTING CHAIRMAN DAUM: Do you want to
3 adjust the microphone so you are clear. We need to
4 hear him.

5 DR. BERKOWER: Let me begin by showing you
6 the personnel of the Laboratory of Immunoregulation.
7 The Laboratory is divided into two PIs -- works under
8 two PIs. One is Dr. Carol Weiss. The other is
9 myself. We are each supported by a Master level
10 microbiologist, and there are two post-docs in the
11 lab, and we are actively recruiting a third.

12 I'd like to start by showing our
13 regulatory responsibilities that we perform for CBER.
14 We work on the evaluation of a number of products that
15 are under initial -- their initial clinical
16 development under IND. These include, as shown,
17 vaccines and immunotherapies for HIV, including
18 structured drug interruptions, but we are also
19 involved with vaccines for other viral diseases such
20 as Hepatitis A and B, dengue virus which is not shown
21 on there, and even in the past, an allergy
22 immunotherapy.

23 When products have moved along to the
24 licensing stage, we have been actively involved in
25 serving on licensing committees, particularly for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Hepatitis A and B and for novel forms of Hepatitis B
2 vaccine.

3 We also serve on CBER policy committees
4 such as the committee writing a guidance document on
5 peptide vaccines, of which I am the co-chair, and on
6 cell substrate issues, which we serve in collaboration
7 with Hana's group and others; and we have taken on
8 such issues as the potential risk that BSE could ever
9 get into any of the vaccines in our vaccine supply.

10 Our work has been recognized by various
11 awards such as the FDA-wide Regulatory Scientist of
12 the Year Award and the second highest award in the
13 Public Health Service.

14 We have also competed successfully for
15 grants and awards at the NIH, such as an innovative
16 AIDS vaccine grant award to Dr. Weiss and awards from
17 the Intramural AIDS Targeted Program to Dr. Weiss and
18 myself, and these are part of that external money that
19 was discussed before which has helped support our
20 work.

21 Now our research work is designed to
22 support CBER's mission in the areas of vaccine safety,
23 potency, and efficacy, the traditional vaccine
24 concerns. Dr. Weiss' work on HIV entry mechanism,
25 which I will be highlighting in a few minutes, her

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 expertise in molecular virology and also her direct
2 clinical experience, ongoing activity in the care of
3 AIDS patients has contributed to her review of HIV
4 vaccines and therapeutics, and my own work on HIV
5 neutralizing antibodies as well as on the
6 immunogenicity of vaccine antigens -- I teach a course
7 called "Vaccines 2000," for example -- have
8 contributed as well to evaluating novel vaccines for
9 HIV and hepatitis.

10 In the next -- The whole rest of my talk
11 will be devoted to just giving you two examples of our
12 research projects. One will be from my lab; one will
13 be from Dr. Weiss' lab.

14 So let me start with a project that we
15 have, our main interest in the lab, on particulate
16 gp120 vaccines. This project is motivated by an
17 observation from other vaccines, such as hepatitis B
18 surface antigen, the vaccine for hepatitis B virus, in
19 which the particulate form of this antigen is 1,000-
20 fold more potent as a vaccine than the same weight of
21 the protein as monomers.

22 So what we have done to try and apply this
23 to HIV is shown in the bottom of the slide. We have
24 made a hybrid linking gp120, shown in red, with a
25 carrier protein, shown in green, and the carrier

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 protein was shown because it is capable of spontaneous
2 self-assembly on its own.

3 What we hoped was that, as the carrier
4 protein assembled, it would incorporate gp120 into a
5 multimeric particle so that we could take advantage of
6 what I call the multimer effect that was previously
7 observed for other vaccine antigens.

8 If you think about all the viral vaccines,
9 many of them are particulate, multimeric, and a few of
10 them are not.

11 What this slide shows is two carriers that
12 we have explored rather extensively. The first one,
13 shown in green, was the core antigen of hepatitis B
14 virus linked to gp120, and the second one was the
15 surface antigen in the same virus linked to gp120.
16 Both of these carriers have the ability to self-
17 assemble, but there are very different particles that
18 they form.

19 The core antigen forms a rigid
20 icosahedron, has a very tight structure, and as
21 opposed to the surface antigen which forms a loose
22 lipoprotein micelle which is pleomorphic -- anyway, we
23 tried both -- and the next two slides will show first
24 our results with core antigen hybrids and the second
25 with surface antigen hybrids.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 This slide -- What the previous slide
2 showed were DNA constructs. Those were inserted in
3 the vaccinia and expressed as recombinant proteins,
4 and then assayed for whether they assembled or not,
5 whether they became large or remained small monomers.

6 The size was determined on a sucrose
7 gradient. The bottom shows just core antigen by
8 itself and, as I said before, core antigen by itself
9 spontaneously assembles into particles, as shown by
10 the fact that they move about 60 percent of the way
11 down a sucrose gradient from the -- this is the top;
12 this is where core antigen particles go.

13 Our core antigen envelope hybrids just
14 stayed at the top, indicating that they failed to
15 assemble, first of all. And secondly, what this shows
16 is it's easy to tell whether something assembles or
17 not, based on whether it goes to this size or this
18 point in a sucrose gradient.

19 Under the same conditions of
20 sedimentation, we analyzed our surface antigen gp120
21 hybrids, as shown in this slide. Now you can see that
22 the surface antigen hybrids move very, very well.
23 They are assembled particles, and it doesn't matter,
24 since it's a hybrid, whether we assay them by their
25 gp120 content or their surface antigen content. They

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE. N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are dual antigens, and they assemble and move as one
2 particle.

3 The other thing this kind of result shows
4 is that the amount of material that remains behind at
5 the monomer stage is almost nil, indicating efficient
6 assembly.

7 This material was purified by cesium
8 chloride gradients subject to electromicroscopy. On
9 the right side of this slide we see authentic surface
10 antigen, just native surface antigen particles, and on
11 the left side we see for the first time surface
12 antigen of gp120 hybrids. This is the first time, I
13 believe, that there's been a regular array of
14 multimers of gp120 on the surface of a particle except
15 in the virus itself.

16 These materials -- I'm sorry. This is a
17 schematic showing what you just saw in an artist's eye
18 view. If surface antigen is a lipoprotein that is a
19 lipid with surface antigen floating in it, then this
20 has surface antigen floating in it with perhaps, in
21 red, gp120 hanging off.

22 Our major findings have been that surface
23 antigen gp120 hybrids do assemble. They do it
24 efficiently, and they preserve the native confirmation
25 of gp120 in the hybrid.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The physical properties are consistent
2 with about 200 -- with this being about 200 proteins
3 and about 15 percent lipid, all incorporated into one
4 particle. in contrast, the core antigen hybrid did
5 not assemble, perhaps because it is too rigid to
6 accept the gp120 tail.

7 Our plan for this material is to express
8 more and immunize mice and monkeys and determine
9 whether the proposed multimer effect really occurs.
10 One of the ways we are going to increase expression is
11 by using optimized codons, and we are working now to
12 substitute for every triplet codon not the one the
13 virus chose but rather the one that is used by the
14 most highly expressed proteins in the cell. You would
15 be surprised how many substitutions that requires.
16 The virus had its own reasons for not being over-
17 expressed.

18 Now I would like to turn to the work and
19 accomplishments of the Weiss lab and illustrate one of
20 their projects. Dr. Weiss does work on HIV envelope
21 structure and function, and particularly the
22 transmembrane protein of the HIV virus, which has
23 become a very hot area of research both in vaccines
24 and in therapy, as I will show in a moment.

25 Also, her regulatory duties were listed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 earlier, and she provides direct clinical patient
2 care, which I believe helps us to understand what we
3 are seeing when we review proposals for new therapies.

4 This slide illustrates the prevailing
5 model of HIV induced membrane fusion. This is a
6 process that takes at least three steps, and each one
7 of those steps corresponds to a discrete
8 conformational state of the envelope protein, and I'm
9 just going to walk you through this very quickly.

10 The first stage is the native gp120 and
11 gp41, this transmembrane part, on virus, on cell-free
12 virus. Once the virus encounters its receptor, its
13 CD4 receptor and/or its second receptor, as Dr.
14 Golding has described before -- Once it encounters its
15 receptor, it undergoes a major conformational change
16 in the surface, in the envelope protein which takes
17 off these head groups, exposes a fusion peptide and,
18 in particular, exposes tow helical regions.

19 One is shown in green and, because it is
20 the immuno n we call it the N-peptide. The other is
21 shown in this spring structure, which we call the C-
22 peptide region. These are both external to the virus.
23 They are exposed on the surface, and they need to go
24 through one more change to here in which the triple
25 helix becomes a six-helix -- a compact six-helix

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 structure, which is believed to be the last or the
2 next to the last step before membrane fusion can
3 occur.

4 Now what Dr. Weiss has come up with is an
5 assay that detects this fusion intermediate and can
6 distinguish the fusion intermediate from the native
7 structure, and serves as a measure of how much
8 activation has occurred, how much receptor mediated
9 activation has occurred.

10 The assay depends on the observation that
11 this C-peptide, if synthesized just as a synthetic
12 peptide, shown in red, can combine with this
13 structure, as shown here, and can be used in immuno
14 precipitation to detect how many of these were formed.
15 I'm going to show that in just a moment.

16 The other feature of the C-peptide is
17 that, when C-peptide goes on, this next structure
18 cannot be formed, and viral infection is blocked.

19 Okay. This is actually a data slide, and
20 I'll direct your attention to the right. The C-
21 peptide, which I just showed you before, is being
22 shown as to whether it can bind gp41 on virus that's
23 in its native state or virus that has encountered its
24 CD4 receptor and is now triggered to the fusion active
25 state.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So whether it can distinguish these two
2 states is shown by these two bands, because when they
3 encounter -- When C-peptide binds gp41, it can be in
4 this assay brought down in immuno precipitation and
5 results in a band in this location. So you can easily
6 distinguish between the triggered form and the resting
7 form.

8 Now this slides shows an experiment
9 utilizing this assay, and in this experiment what is
10 done is that the wild type which has isoleucine at
11 position 573 in the n-helix has been substituted with
12 single amino acid substitutions, either highly
13 conservative leucine for isoleucine, a little away,
14 alanine for isoleucine, and so on.

15 As you can see, just a conservative -- the
16 most conservative substitution you can think of drops
17 this down about half. A not very farther off
18 substitution drives it further down.

19 What this indicates is that the effect of
20 C-peptide is probably due to direct binding to the N-
21 peptide, because mutations in the N-peptide region,
22 even very conservative ones, reduce or diminish almost
23 entirely the C-peptide binding.

24 The second thing that was measured with
25 these same variants is their infectivity into cells,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with the wild type being considered 100 percent, the
2 very conservative substitution of leucine, one-third,
3 alanine less than five percent.

4 What's interesting about this then is that
5 the same mutations that disrupt C-peptide binding to
6 N also disrupt N function in going on to the fusion
7 mechanism. We tentatively conclude from this that
8 this result results from the fact that N+C has to form
9 that last compact six-helix bundle as part of the
10 direct process leading to membrane fusion. So the N/C
11 interaction is a direct part of the membrane fusion
12 and is an essential step for HIV infection.

13 Why is this important for therapeutics?
14 Well, I believe that this very mechanism has potential
15 for therapy and should be potentially much more
16 efficient than something that is devoted to later
17 stages of viral infection such as maturation, the
18 protease inhibitors, relatively early stages such as
19 RT inhibitors.

20 This occurs even before that. It occurs
21 before any replication has occurred and could
22 potentially lock the door, preventing virus even
23 getting in the cells in the first place.

24 The major findings of this work is
25 summarized on this slide in which it says that we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 identified -- I should say she has identified envelope
2 glycoprotein confirmations that are direct
3 intermediaries leading toward membrane fusion. And
4 although these are transient, they are, nevertheless,
5 good enough, last long enough that a peptide can block
6 them.

7 So that potentially they may also be a
8 good target for neutralizing antibodies, an obligatory
9 step in the virus, a conserved sequence -- that N-
10 helix is a conserved sequence, and although it is
11 transient, it may be untransient enough, persistent
12 enough, that it could actually be a good target of
13 neutralization.

14 In summary, we hope that these projects
15 will advance knowledge in key areas of AIDS research
16 and at the same time provide the scientific base for
17 us to make important decisions concerning new vaccines
18 and biologic agents. Thank you.

19 ACTING CHAIRMAN DAUM: Thank you. That's
20 a pretty exciting part of what goes on in FDA that we
21 don't get to hear much about.

22 We have a moment for Committee input,
23 questions, clarifying. Dr. Katz, Dr. Faggett, Dr.
24 Goldberg.

25 DR. KATZ: When you described Dr. Weiss'

1 responsibilities, I was surprised that one of the two
2 major areas you listed was review of highly active
3 retroviral therapy protocols. Why does that get
4 thrust on an immunologist?

5 DR. BERKOWER: Okay, HAART therapy, per
6 se, is dealt with in Drugs, Center for Drugs. We have
7 begun things that are considered therapeutic vaccines.
8 if you think about it, it's not trivial to evaluate a
9 therapeutic vaccine.

10 For example, everyone who has the
11 infection already has antibodies and probably has a
12 lot of other -- as one measure of being immunized and
13 probably has a lot of other immunologic phenomena
14 going around surrounding the infection.

15 So what the vaccine is supposed to do
16 above and beyond that is hard to say. Instead of
17 tackling that head-on, there are a variety of
18 strategies for showing that a therapeutic vaccine has
19 actually done anything.

20 One of these strategies would be that, if
21 somebody is on HAART long term, that by being
22 vaccinated when they are -- when the virus is
23 suppressed, that they might have a better long term
24 outcome.

25 Another one, which is very controversial,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 is to interrupt HAART and measure the return of virus.
2 As you know, there have been some claims that when
3 it's done with the right kinetics that that can be
4 actually a kind of a therapy.

5 One way to evaluate a vaccine would be to
6 vaccinate someone while they are on HAART, stop the
7 HAART, and measure the time for the virus to come
8 back. So there are all kinds of interactions between
9 the vaccine and HAART, some of which are very, very
10 complex, that could be used to try and demonstrate
11 some form of efficacy of a therapeutic vaccine, and we
12 are involved with those.

13 ACTING CHAIRMAN DAUM: Thank you. Before
14 we call on Dr. Faggett, let me just inquire. Dr.
15 Huang, are you there?

16 DR. HUANG: Yes, I am.

17 ACTING CHAIRMAN DAUM: Can you hear?

18 DR. HUANG: I hear quite well, thank you.

19 ACTING CHAIRMAN DAUM: Do you have any
20 questions?

21 DR. HUANG: No, not at this time.

22 ACTING CHAIRMAN DAUM: Good. Dr.
23 Greenberg, are you there? I am going to infer that
24 you are not. Okay, Dr. Faggett, you're on.

25 DR. FAGGETT: My question is the following

1 to Dr. Katz, really. How many FTEs do you have in
2 your organization?

3 DR. BERKOWER: Four.

4 DR. FAGGETT: That's all the positions you
5 do have?

6 DR. BERKOWER: Yes, and we have obtained
7 outside funding for three more.

8 DR. FAGGETT: Okay. How many do you need?

9 DR. BERKOWER: Actually, let me modify
10 that. We have four, plus we have a Fogarty Fellow
11 supplied by the Division, and we have funding on our
12 own for two.

13 How many do we need? We need about two
14 more.

15 ACTING CHAIRMAN DAUM: Thank you. Dr.
16 Goldberg.

17 DR. GOLDBERG: My question has been asked
18 -- My question about this has been asked by Dr. Katz.
19 Can I ask a question of Dr. Golding?

20 ACTING CHAIRMAN DAUM: Sure.

21 DR. GOLDBERG: You have this great assay.
22 Do you get royalties for it? I mean, is it patented
23 and sold or, you know, can this bring funding into
24 your group or did I miss something in what I looked
25 at, that it is?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GOLDING: Okay. So when it comes to
2 patent and royalties and so forth, it's rather
3 complicated. We have actually at least one member of
4 our group, Dr. Klinman, who was successful in applying
5 for patent regarding the CpG oligos, and that recently
6 has materialized into some royalties.

7 Unfortunately, along with the patent and
8 the royalties comes some potential conflict of
9 interest. That's something that we as an agency have
10 to deal with, because if somebody gets a patent and is
11 able to maybe harvest, I would say, what is sold, it
12 also means that he may be conflicted in what he can
13 review or not.

14 So while we want our scientists to be
15 successful, to develop new assays and to get them
16 patented, and we're really looking forward to as much
17 benefit as possible, we also be extremely cautious of
18 how that may impact the day to day work.

19 DR. GOLDBERG: No, I was wondering whether
20 the agency had any kind of policy for handling this so
21 that there could be some funding back to the agency
22 for such things. It was developed on Federal money,
23 basically.

24 ACTING CHAIRMAN DAUM: I would remind
25 everybody of --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GOLDMAN: Yes. I'm Neil Goldman. I'm
2 the Associate Director for Research at CBER.

3 The way the government now works, when we
4 patent a finding, 50 percent of it goes to the
5 government, and 50 percent can come back to the
6 individuals. So, in fact, that money can come back,
7 and many times it has and has come back to the lab and
8 is used by the investigators to help fund their
9 research or continued research.

10 As Dr. Golding has mentioned, there are
11 issues of conflict of interest, and those do have to
12 be dealt with, and they will never go away.

13 ACTING CHAIRMAN DAUM: Thank you very much
14 for that clarification. Thank you very much for a
15 very interesting presentation as well.

16 We have now reached the end of the open
17 session, and we are going to prepare ourselves to go
18 into closed session. Thus, at this point I would like
19 to dismiss all individuals in the room except the
20 voting members of VRBPAC, and we will take a five-
21 minute break for various functions, including,
22 hopefully, getting Dr. Greenberg on line here, and
23 reassemble in closed session.

24 DR. KOHL: Bob, I misunderstood something.

25 ACTING CHAIRMAN DAUM: Dr. Kohl wishes to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 speak.

2 DR. KOHL: So does that mean we can -- In
3 the closed session, we cannot address questions to the
4 presenters? Will they not be here for the closed
5 session?

6 MS. CHERRY: They will not be here.

7 ACTING CHAIRMAN DAUM: They will not be
8 here.

9 DR. KOHL: Then I would like to address a
10 question to Dr. Berkower. Is that permissible?

11 ACTING CHAIRMAN DAUM: We will reopen the
12 session for a moment and ask one last question from
13 Dr. Kohl.

14 DR. KOHL: I'm sorry.

15 ACTING CHAIRMAN DAUM: I did look around,
16 Steve, and I --

17 DR. KOHL: No, no. I didn't understand
18 that the presenters would not be there.

19 ACTING CHAIRMAN DAUM: Please.

20 DR. KOHL: Dr. Berkower, the productivity
21 of your laboratory, at least on paper in terms of
22 publications, is a little thin. Can you help us
23 understand how we can help your Division with that?

24 DR. BERKOWER: Sure. I think there are
25 two aspects to this. First of all, I have not had a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 post-doctoral fellow provided by the Division in
2 several years, and I think that's really basically
3 what you're looking at.

4 The second thing is one of the strengths
5 of the FDA, one of the advantages of working at the
6 FDA in the days when there was intramural support was
7 that it allowed us to take on projects that were risky
8 and that perhaps weren't even the kind of thing that
9 a study section would immediately recognize as
10 something they think is a good idea.

11 So just to develop that a little bit, back
12 about ten years ago when V3 loop was the hottest item,
13 we were doing neutralizing antibodies and finding
14 another site, and that site was, of course, the CD4
15 binding site, dependent on the native confirmation,
16 and was not getting adequate response from the
17 vaccines that were then being proposed.

18 Since that time, that's completely turned
19 around. The V3 loop is a laboratory artifact, I
20 believe, nowadays, and the site that we discovered is
21 the one that everyone is trying to get antibodies to,
22 of those of us who are trying to do antibodies.
23 That's one.

24 The second thing is particulate vaccines.
25 A number of groups have proposed making particulate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccines, but a very few have succeeded until this
2 past year, until a year ago. We hadn't succeeded.

3 I've made many constructs with the core
4 antigen and finally got to the surface antigen. So I
5 think being at CBER has allowed me to persist and keep
6 doing this until I got a particulate vaccine antigen.
7 That's been a real plus. In the old days, that
8 wouldn't have been -- the cost of that wouldn't have
9 been to lose my staff fellow, but in these days with
10 very tight budgets that was the cost.

11 So it was kind of a choice between
12 persisting and doing something risky or not. Frankly,
13 one of the advantages of having us do research on the
14 very same thing we regulate is it gives us a lot of
15 respect for those people who succeed in making vaccine
16 constructs that are different from just the thing that
17 everyone else has.

18 I have improved respect for applications
19 where something truly novel has been accomplished. IN
20 fact, when I get a new application, that's the first
21 thing I look for: What's novel about it? So I think
22 that that's my answer.

23 ACTING CHAIRMAN DAUM: Thank you very
24 much. At this point, we will take a five minute
25 break.

1 Dr. Greenberg, are you there? I don't
2 think so.

3 We will reassemble for closed session,
4 VRBPAC members, voting members, in five minutes.

5 (Whereupon, the foregoing matter went off
6 the record at 11:25 a.m.)

7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

