

ajh

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE

68TH MEETING

6316 01 APR -3 P2:49

Thursday, March 15, 2001

8:00 a.m.

Hilton Gaithersburg
620 Perry Parkway
Gaithersburg, Maryland

MILLER REPORTING COMPANY, INC.
735 C Street, S.E.
Washington, D.C. 20003-2802
(202) 546-6666

PARTICIPANTS

Kenrad E. Nelson, M.D., Chairperson
Linda A. Smallwood, Ph.D., Executive Secretary

MEMBERS

John M. Boyle, Ph.D.
Mary E. Chamberland, M.D.
G. Michael Fitzpatrick, Ph.D.
Richard J. Kagan, M.D.
Marion A. Koerper, M.D.
Raymond S. Koff, M.D.
Jeanne V. Linden, M.D.
Gail B. Macik, M.D.
Mark A. Mitchell, M.D.
Terry V. Rice
Paul J. Schmidt, M.D.
David F. Stroncek, M.D.
Sherri O. Stuver, Sc. D.

NON-VOTING CONSUMER REPRESENTATIVE

Katherine E. Knowles

NON-VOTING INDUSTRY REPRESENTATIVE

Toby L. Simon, M.D.

TEMPORARY VOTING MEMBER

Paul R. McCurdy, M.D.

SPEAKERS

Michael P. Busch, M.D., Ph.D. (3-15)
Jed B. Gorlin, M.D. (3-16)
Monica Parise, M.D. (3-16)
Susan Stramer, Ph.D. (3-15)
Ceas van der Poel, M.D. (3-15)

GUEST

David Wright, Ph.D.

C O N T E N T S

	<u>PAGE</u>
Statement of Conflict of Interest: Linda Smallwood, Ph.D.	5
Welcome and Opening Remarks	9
I. Comparative Sensitivity of HBV NAT vs. HBsAg	
Introduction and Background: Edward Tabor, M.D., IOD, OBRR	9
Presentation: Michael Busch, M.D., Ph.D., The Blood Centers of the Pacific	15
Presentation: Susan Stramer, Ph.D., The American Red Cross	39
Presentation: Robin Biswas, M.D., DETTD, OBRR	55
Open Public Hearing	
Dr. Bruce Phelps, Chiron	72
Dr. Louis Katz, American Association of Blood Banks	76
Dr. Celso Bianco, America's Blood Centers	77
Dr. Paul Holland, Sacramento Blood Center	81
Dr. Rebecca Haley, The American Red Cross	83
Open Committee Discussion and Recommendations	87
II. Implementation of NAT for HCV and HIV Testing Algorithms for Donor and Product Management	
Introduction and Background: Andrew Dayton, M.D., Ph.D., DETTD, OBRR	129
Presentation: Susan Stramer, Ph.D., The American Red Cross	149
Presentation: Charles Heldebrant, Ph.D., Alpha Therapeutics	172
Open Public Hearing	
Dr. Richard Smith, National Genetics Institute	193
Mr. Larry Pietrelli, Roche Molecular Systems	196
Dr. Charles Watson, Aventis Bioservice	201
Dr. Craig Halverson and Dr. Chyang Fang, Gen-Probe (Chiron)	208
Dr. Louis Katz, American Association of Blood Banks	213

C O N T E N T S (Continued)

	<u>PAGE</u>
Dr. Celso Bianco, America's Blood Centers	215
Dr. Susan Stramer, The American Red Cross	218
Open Committee Discussion and Recommendations	218
III. Blood Bags for Diversion of the Initial Collection	
Background and Introduction:	
Jaro Vostal, M.D., Ph.D., DH, OBRR	242
Presentation:	
Cees van der Poel, M.D.	
Sanguin Blood Supply Foundation	253
Open Public Hearing	
Dr. Rebecca Haley, The American Red Cross	265
Dr. Mark Popovsky, Haemonetics	274
Dr. Guillaume de Saint Martin, Macopharma	280
Dr. Steven B. Binion, Baxter	286
Dr. Louis Katz, American Association of Blood Banks	289
Dr. Celso Bianco, America's Blood Centers	289
Dr. Jeff Miripol, Terumo	291
Dr. Matthew Kuehnert, Centers for Disease Control	294
Dr. Ed Nelson, Pall	296
Open Committee Discussion and Recommendations	299

P R O C E E D I N G S

1
2 DR. SMALLWOOD: Good morning. Welcome to the 68th
3 Meeting of the Blood Products Advisory Committee. I am
4 Linda Smallwood, the Executive Secretary. At this time, I
5 will read the conflict of interest statement that applies to
6 both days of this meeting.

Statement of Conflict of Interest

7
8 The following announcement is made part of the
9 public record to preclude the appearance of a conflict of
10 interest at this meeting. Pursuant to the authority granted
11 under the Committee Charter, the Director of FDA's Center
12 for Biologics Evaluation and Research has appointed Dr. Paul
13 McCurdy as a temporary voting member.

14 To determine if any conflicts of interest existed,
15 the agency reviewed the submitted agenda and all relevant
16 financial interests reported by the meeting participants.
17 As a result of this review, the following disclosures are
18 being made.

19 In accordance with Title 18, United States Code
20 208, Dr. Kenrad Nelson has been granted a waiver which
21 permits him to participate fully in the committee
22 discussions. In addition, Dr. Raymond Koff has been granted
23 a limited waiver for the discussion on NAT for hepatitis C
24 and HIV and the discussion on blood bags for diversion of
25 initial collection. This waiver will permit him to

1 participate in the discussions of these two topics but not
2 vote.

3 The following participants have associations with
4 firms that could be affected by the committee discussions:
5 Drs. Boyle, Chamberland, Fitzpatrick, Kagan, Knowles,
6 Linden, Macik, Schmidt, Simon and McCurdy. However, in
7 accordance with our statutes, it has been determined that a
8 waiver and appearance determination or an exclusion is not
9 warranted for these deliberations.

10 With regards to FDA's invited guests, the agency
11 has determined that the services of these guests are
12 essential. There are reported interests which are being
13 made public to allow meeting participants to objectively
14 evaluate any presentation and/or comments made by the
15 participants.

16 Dr. Michael Busch is employed by the Blood Centers
17 of the Pacific. He receives speaking fees from Chiron,
18 Roche and Gen-Probe. Dr. Busch has a contract with Chiron
19 and Gen-Probe for laboratory work supporting clinical trials
20 of NAT, a contract with NHLBI involving NAT assays and a
21 grant with Roche to develop KPCR assays. Dr. Busch worked
22 with Alpha, The American Cross, Chiron, Gen-Probe, Roche,
23 The American Blood Centers, Bayer and Aventis in the
24 evaluation of NAT testing issues.

25 Dr. Jed Gorlin is employed by the Minneapolis

1 Blood Center. Dr. Monica Parise is employed by CDC. She
2 collaborated on a scientific publication with an employee of
3 the Community Blood Center of Greater Kansas City involving
4 U.S. malaria surveillance data.

5 Dr. Susan Stramer is employed by the American Red
6 Cross. The American Red Cross uses Gen-Probe products
7 distributed by Chiron for NAT screening. Mr. David Wright
8 has a financial interest in a firm that could be affected by
9 the discussions.

10 In the event that the discussions involve other
11 products or firms not already on the agenda for which FDA's
12 participants have a financial interest, the participants are
13 aware of the need to exclude themselves from such
14 involvement and their exclusion will be noted for the public
15 record.

16 With respect to all other meeting participants, we
17 ask, in the interest of fairness, that you state your name,
18 affiliation and address any current or previous financial
19 involvement with any firm whose products you wish to comment
20 upon.

21 Copies of waivers addressed in this announcement
22 are available by written request under the Freedom of
23 Information Act.

24 Are there any declarations that anyone would
25 desire to make, committee members, anything that may have

1 been omitted?

2 Hearing none, at this time, I would like to
3 introduce to you the members of the Blood Products Advisory
4 Committee.

5 First, I would like to introduce the new committee
6 chairman, Dr. Kenrad Nelson, who is from the Johns Hopkins
7 University School of Public Health. Some of you may know
8 that Dr. Nelson previously served with the Blood Products
9 Advisory Committee, and we are glad to welcome him today.

10 We also have the addition of a new member, Dr.
11 Raymond Koff, from the University of Massachusetts. Dr.
12 Koff is an expert in hepatic diseases and infectious
13 diseases.

14 We also have the extension of our member, Dr.
15 Jeanne Linden. Dr. Linden, raise your hand. Thank you.

16 The other members I will introduce starting on my
17 right, going around the table. Dr. Toby Simon, Dr. Paul
18 Schmidt, Dr. Sherri Stuver, Dr. David Stroncek, Dr. Gail
19 Macik, Dr. John Boyle, Dr. Mary Chamberland, Dr.
20 Fitzpatrick, Dr. Richard Kagan, Dr. Marion Koerper, Dr. Mark
21 Mitchell, Mr. Terry Rice, Ms. Kathy Knowles, Dr. Paul
22 McCurdy.

23 Absent for this meeting are Dr. Norig Ellison and
24 Dr. Daniel McGee.

25 As you may notice, we have a full agenda for this

1 morning. We would ask that all the participants and
2 speakers please adhere to the instructions coming from your
3 chairman. I know he is going to be very intent on getting
4 us through this meeting.

5 At this time, I would like to turn over the
6 proceedings of the meeting to the chairman, Dr. Kenrad
7 Nelson.

8 Thank you.

9 **Welcome and Opening Remarks**

10 DR. NELSON: Thank you, Dr. Smallwood.

11 It is a pleasure to be back with the committee. I
12 think this is an important committee and it has been of
13 interest to me as a non-blood banker to learn from the
14 presenters and the other members of the committee.

15 The first topic today is comparative sensitivity
16 of hepatitis B NAT and hepatitis B surface antigen including
17 increased sensitivity of new surface antigen kits or
18 reagents.

19 The first speaker will be Dr. Ed Tabor from the
20 FDA.

21 **Comparative Sensitivity of HBV NAT vs. HBsAg**

22 **Introduction and Background**

23 **Edward Tabor, M.D. IOD, OBRR**

24 DR. TABOR: Good morning. In the first half of
25 the 1970s, the blood and plasma communities moved quickly

1 from having no licensed screening tests to detect hepatitis
2 B virus to having highly sensitive radioimmunoassays that
3 were licensed and whose use was required.

4 These radioimmunoassays were about as sensitive as
5 many of today's enzyme immunoassays. Everyone was really
6 pleased to have eliminated 90 percent of the cases of post-
7 transfusion hepatitis. Most of the remaining 10 percent
8 were not due to hepatitis B virus.

9 The dramatic elimination of most HBV cases made it
10 possible for most people to accept the fact that the new
11 technology, in other words, the radioimmunoassay, just could
12 not eliminate 100 percent of HBV cases. For instance, as of
13 1996 to 1998, a much later date, it was estimated that 1 in
14 63,000 volunteer whole blood donations that were negative
15 for HBsAG and anti-HBC would still transmit hepatitis B
16 virus to the recipient due to the presence of undetected
17 HBV.

18 The prevalence of infectious HBV in paid plasma
19 donations that were negative for HBsAg was estimated at that
20 time to be 1 in 18,000. These, however, would not transmit
21 HBV because the plasma is used to make products that are now
22 subjected to procedures to remove and inactivate HBV.

23 In the late 1980s, polymerase chain reaction
24 assays were developed. By the late 1990s, the concept of
25 performing these and other nucleic acid amplification tests,

1 or NAT, on many pools of blood or plasma was developed.
2 This made it practical to screen blood and plasma despite
3 the fact that NAT methods were still inherently labor
4 intensive and expensive.

5 Minipool NAT for hepatitis C virus and for human
6 immunodeficiency virus, type 1, were being conducted under
7 investigational exemptions or INDs on virtually all units of
8 plasma collected in the United States and on greater than 95
9 percent of units of whole blood by the end of 1999.

10 Although initial efforts to implement NAT
11 screening had focused on screening for HCV and HIV, pressure
12 to begin NAT screening for HBV had been growing due to
13 interest in HBV NAT screening in other countries.

14 For instance, Japan had been requiring HBV NAT
15 screening of whole blood donations since October 1999.
16 Government and industry in both Japan and Germany had
17 expressed interest in screening plasma including plasma
18 imported from the United States by HBV NAT.

19 Preliminary testing showed that the rate of
20 detection of HBV by NAT screening of minipools was higher
21 than expected with detection of HBV DNA in minipools of
22 HBsAg-negative source plasma, reported at an FDA workshop in
23 December 1999, at 11 of 43,000 donations in one study and 56
24 of 3 million donations in another study.

25 At that December 1999 workshop, one of the

1 scientists present suggested that the newer, more sensitive
2 HBsAg tests, when applied to individual donations, might
3 provide screening sensitivity equivalent to HBV NAT on
4 minipools or possibly even more sensitive screening than
5 that provided by minipool NAT testing.

6 At the present time, all licensed screening tests
7 for HBsAg are required to detect samples with a designated
8 minimum concentration of HBsAg in the FDA lot release panel
9 prepared and maintained by the Center for Biologics
10 Evaluation and Research.

11 The cutoff for that panel represents so-called
12 third-generation sensitivity and it was set based on the
13 sensitivity of the available technology some years ago, a
14 level of sensitivity that has continued to be appropriate to
15 current technology up until now.

16 Minipool NAT screening for HBV would have to be
17 very sensitive to be useful if the more sensitive HBsAg
18 tests were used. In addition, of course, if the cutoff for
19 passing the lot release panel were set at a more sensitive
20 level, it would force all manufacturers to achieve the same
21 level of sensitivity in their HBsAg tests as found in the
22 newer tests if they wanted to continue to be permitted to
23 market their tests.

24 Several HBsAg screening tests that either have
25 been licensed recently or in the advanced stages of review

1 for licensure are so sensitive that only donations
2 containing fewer than 1,000 HBV DNA copies per mL or perhaps
3 even fewer copies would fail to be detected. In fact, these
4 tests are at least one order of magnitude more sensitive
5 than the other licensed HBsAg tests. Obviously, the new
6 tests exceed the sensitivity required to pass the lot
7 release panel.

8 By calculation, these tests are as sensitive as an
9 HBV NAT conducted on a minipool in which the minipool was as
10 small as 20 samples if the NAT had sensitivity at a level of
11 50 copies per mL. In fact, HBV NAT sensitivity as low as 10
12 copies of HBV DNA per mL has been reported.

13 Calculations like these, as well as other modeling
14 strategies, can be used to evaluate the relative
15 sensitivities of HBV NAT done in minipools and HBsAg tests
16 done on single donor samples, however, definitive data on
17 the relative sensitivity of the two systems would require a
18 head-to-head comparison in evaluating the same samples with
19 each.

20 We have designed and conducted such a study. A
21 coded panel was created consisting of 128 samples. These
22 consisted of 100 serial plasma samples from among 10
23 commercially available seroconversion panels, in other
24 words, from 10 patients. The remaining 28 samples consisted
25 of control samples from the FDA lot release panel, also

ajh

1 dilutions of the WHO HBV DNA international reference
2 standard and others.

3 All seroconversion panel samples and controls were
4 vialled in identical containers, interspersed and coded.
5 Some duplicates were included to test assay consistency.
6 Seven HBsAg test methods were done on the coded panel, all
7 licensed tests or pending licensure.

8 HBsAg tests were done by the FDA lot release
9 laboratory whose staff and director had no knowledge of the
10 code. Samples were tested by NAT on minipools by two plasma
11 fractionaters and two manufacturers involved in the
12 collection and processing of whole blood and its components,
13 each according to a procedure in their IND for the creation
14 of minipools and testing.

15 Since these NAT methods are all procedures that
16 are currently under INDs, they may or may not be identical
17 to the procedures that become licensed in the future.

18 I would like to acknowledge the contributions of
19 the follow individuals to the design phase of this study.
20 It has been a pleasure to work with them. These are Dr.
21 Michael Busch, Dr. Robin Biswas, Dr. Chu-Chieh Hsia, Mr.
22 Jimmy Kim, Dr. Peter Lauchenbruch, Dr. Charles Roberts, Dr.
23 Paul McCurdy, Dr. George Nemo, and Dr. Indira Hewlett.

24 Dr. Busch is going speak on another aspect of this
25 study and following that, Dr. Biswas will present a

1 preliminary analysis of the results from the laboratory
2 portions of the study.

3 DR. NELSON: The next speaker is Dr. Michael Busch
4 from Blood Centers of the Pacific.

5 **Michael P. Busch, M.D., Ph.D.**

6 DR. BUSCH: Thanks, Ken, and thanks.

7 [Slide.]

8 What I am going to do is to sort of introduce our
9 understanding of HBV infection by presenting data that was
10 actually presented at last year's AABB meeting on the
11 dynamics of HBV viremia in the pre-surface antigen phase.

12 This analysis, as you will see, is focused on 23
13 seroconversion panels from Alpha that were the basis for the
14 selection of the 10 panels that Ed alluded to. Actually, I
15 think the second presentation will be by Sue Stramer who
16 will present a similar, but a different panel set in a
17 slightly different modeling strategy. What Robin will
18 present later is the actual empirical direct analysis of the
19 currently available surface antigen and NAT systems on the
20 panels.

21 [Slide.]

22 This study was conducted under the context of what
23 we call the NAT Study Group, which is a collaborative
24 program that includes the major blood organizations. It is
25 coordinated through the REDDS program with NHLBI support.

1 It involves liaisons from FDA and CDC, and then a number of
2 industry collaborators including the major NAT
3 manufacturers, as well as a number of the plasma
4 manufacturers and testers related to the plasma industry.

5 [Slide.]

6 Now, with respect to HBV NAT, as summarized by Ed,
7 there is interest in introducing HBV NAT coming both from
8 the plasma industry, where there is a mandate to introduce
9 HBV NAT in order to derive recovered plasma that can be
10 further fractionated.

11 In addition, we have over the last several years
12 seen several European and Japanese have introduced HBV NAT
13 for whole blood screening, so there is a precedent out there
14 that is clearly driving the U.S. to consider incorporating
15 HBV into regular blood donation screening.

16 Now, the issue of how much will derive from
17 introducing HBV NAT or the yield is related to obviously the
18 sensitivity of the surface antigen tests and whether or not
19 one is doing anticore screening. In the U.S., in the whole
20 blood sector, we screen with anticore, and therefore, the
21 entire benefit of NAT will be at the front end window phase,
22 the pre-surface antigen period.

23 In other countries, for example, throughout
24 Europe, anticore screening is not routine. Likewise, in the
25 U.S. source plasma industry, it is not performed, and

1 therefore, a significant yield of HBV NAT is actually in
2 persons who are chronic carriers in whom the surface antigen
3 levels have declined below detectable levels, but those
4 people in the whole blood sector here are detected by
5 anticore, so this is not an issue.

6 So, again, our focus here really is the front end
7 window phase. In that context, the critical predictor or
8 determinant of yield, the second critical determinant is the
9 incidence rate or the rate of new infections, how many
10 donors are actually going through that early pre-surface
11 antigen viremic phase.

12 The other parameter in terms of NAT detection is
13 the sensitivity of the HBV DNA assay and whether it has
14 performed either on neat samples or on minipools, which the
15 dilution factor of minipools basically reduces the
16 sensitivity, but the critical missing piece that we have
17 worked up now is the dynamics of HBV ramp-up, and that is
18 what I am going to show now.

19 [Slide.]

20 The objectives of this study were to characterize
21 the dynamics of HBV viremia in the pre-surface antigen
22 phase, and then based on the understanding of that ramp-up
23 phase, we can derive a model that estimates how much window
24 period closure could be obtained by introducing minipool or
25 individual donation NAT assays using some preliminary

1 estimates of what the sensitivity of those tests would be
2 relative to, in this study, a single prototype sensitive
3 HBsAg test.

4 Once we know the window period closure, we can use
5 the incidence data from the REDDS group to estimate the
6 yield by just factoring the window closure times the
7 incidence rate.

8 [Slide.]

9 In this study, we identified 23 plasma donors,
10 again identified by Alpha Therapeutics, who had
11 seroconverted to HBsAg, and these persons had been
12 previously characterized actually by HBV DNA by Lorraine
13 Peddada and colleagues at NGI using NGI's both qualitative
14 and quantitative assays, and we derived estimates for both
15 the frequency of detection, a very low level viremia, by the
16 qualitative testing, what we call the pre-ramp-up phase,
17 which I will define, and then we use the quantitative data
18 to estimate the doubling time for each panel as a composite.

19 These seroconverters had actually been originally
20 detected through their routine screening at the Alpha
21 laboratory by the Genetic System surface antigen test, and
22 in the analysis, we actually used the Abbott Prism data
23 derived using the European, a previously widely used
24 protocol, to estimate S to CO at each donation and
25 understand the relationship between surface antigen

1 evolution and viral load.

2 We assumed that individual donation NAT could
3 achieve either 5 or 50 genome equivalent per mL, 50 percent
4 hit rates, and that minipool NAT would be running at about
5 1,000, essentially a 20-fold dilution of the 50 genome
6 sensitivity assay.

7 [Slide.]

8 The analysis was conducted by David Wright, who
9 works with Westat and is here. This involved what is called
10 a bivariate longitudinal regression model, which was used to
11 estimate the HBV doubling time or the production rate during
12 the ramp-up phase, as well as could be used to calculate the
13 HBV concentration at the cutoff level or the lower limit of
14 detection of the assay that we were evaluating, again, in
15 this specific study, the Prism HBsAg European protocol.

16 Then, we could estimate the pre-surface antigen
17 window closure based on the doubling time and the assumed
18 further reduction in detection by minipool or individual
19 donation NAT, and then again project the yield using the
20 REDDS incidence of 5.1 per 100,000 person years.

21 [Slide.]

22 This just illustrates one of these panels,
23 actually, a relatively simpler panel. You can see here day
24 zero is set as the day when this particular plasma donor was
25 first detected as HBsAg positive based on the test of

1 record. What you are looking at here are previous time
2 points ranging out to minus 37 days, and you are looking in
3 the bars at the HBV DNA load data. This line is the Prism S
4 to CO results.

5 So, you can see that in this particular panel,
6 there is a clear log linear ramp-up, log increase in viral
7 load relative to time during this phase here that precedes
8 detection of surface antigen by about two or three weeks.

9 So, it is this kind of data here that a regression
10 analysis could yield an estimate of the doubling time, and
11 this is what we term the ramp-up phase, but in addition, in
12 a large number of these panels, prior to the ability to
13 quantify HBV viral load, and during the period where viral
14 load is clearly increasing over time, you can detect HBV
15 DNA.

16 In many of the panels you can intermittently
17 detect it, where the viral load is very low, sometimes
18 quantifiable at 100 copies, sometimes positive, but
19 nonquantifiable, and then it will go negative, then
20 positive, and then eventually, you will reach the ramp-up.
21 So, we term this the pre-ramp-up phase, this period that in
22 some panels extended back a month or more during which one
23 intermittently detects very low-level HBV DNA, and
24 reproducibly detects it.

25 [Slide.]

1 This is a graph of the doubling time data for
2 these panels, and you can see a splay of curves here
3 representing the increasing viral load over time for each of
4 these panels, and then the red line represents the
5 regression line, that in essence summarizes the average
6 ramp-up rate for all of these panels.

7 [Slide.]

8 In this slide, you can see the HBV DNA regression
9 line, and a similar line can be derived in this case for the
10 one surface antigen test we evaluated. In the subsequent
11 study that Dr. Biswas will present, there is a regression
12 line for all seven surface antigen tests.

13 You can see that during this pre-seroconversion or
14 ramp-up phase of HBV infection, that these lines parallel
15 one another, that the surface antigen and DNA load are
16 really very closely related to one another over time,
17 indicating that during this phase of infection, that all of
18 the HBV circulating particles or material are probably Dane
19 particles or appropriately representing a DNA copy per
20 particle and a relevant level of surface antigen.

21 As you all know, in chronic carriers, this
22 relationship becomes perturbed and the liver cells put out
23 large amounts of surface antigen in great excess of HBV DNA,
24 so this would be a very different relationship if one looked
25 at samples from chronic HBV carriers, but in the window

ajh

1 phase, there is a very tight relationship between surface
2 antigen and DNA.

3 Now, both of these lines essentially parallel one
4 another, and the slope is approximately 0.1 log genome
5 equivalent per day, which then translates out to a doubling
6 time of 2.84 days. So, HBV DNA and antigen increase in the
7 plasma, doubling approximately every 3 days. This is
8 dramatically slower than with HIV or HCV, which double in
9 the plasma every half to 1 day, so a very slow ramp-up virus
10 relative to those other two viruses.

11 [Slide.]

12 This just illustrates how we can now use this
13 model or the computer understanding of the relationship
14 between DNA and antigen to ask the question of interest to
15 us today. I am just going to walk you through this to
16 illustrate how this is done, but the truth is this is
17 actually done through David Wright's programs, and he
18 derives these numbers with confidence bounds, as I will show
19 you.

20 So, one question, for example, is with the
21 prototype surface antigen assay that we evaluated, the
22 Prism, what is the cutoff level of viral load. So, to ask
23 that question, we basically look at the log of the S to CO
24 relationship, and the log of 1, which is an S to CO of 1,
25 the cutoff is zero.

1 So, we walk across that zero line and identify at
2 what time point does the HBV surface antigen test break
3 cutoff, and then we go up and ask what is the viral load at
4 that time point, and the answer in this analysis is
5 approximately 3,000 genome equivalents of HBV DNA are
6 present at the cutoff limit of the HBsAg test.

7 Then, we can ask the question, okay, well, what if
8 we introduce the test that had a sensitivity of 1,000 genome
9 equivalents, such as we predicted minipool might achieve,
10 and then we can simply walk back on this curve and down, and
11 then understand the time interval between when the surface
12 antigen test would become positive and when an HBV NAT
13 minipool would become positive.

14 So, this is the way visually you can sort of walk
15 through these curves.

16 [Slide.]

17 This just asks the further question of how much
18 further window closure would be obtained with a test that
19 had a 50 or 5 genome equivalent HBV DNA load sensitivity,
20 and again we can come back and walk down to the time line
21 and say that that would close the window by about 15 days to
22 30 days. So, you can basically visually understand how
23 these relationships between DNA load and surface antigen can
24 be translated into estimated window period closures.

25 [Slide.]

ajh

1 In summary, in terms of the major findings, then,
2 we had a surface antigen load at cutoff estimated at about
3 3,000 for this prototype assay. The mean doubling time was
4 about 2.84 days.

5 In terms of this pre-ramp-up viremia, there were
6 actually 10 panels that had samples extending back greater
7 than 3 bleeds prior to ramp-up. Interestingly, in those 10
8 panels, all 10 demonstrated low-level intermittent viremia
9 detected often nonquantifiable up to 3 months, from 10 days
10 to 3 months preceding ramp-up viremia.

11 So, this phenomenon of smoldering pre-ramp-up
12 viremia seems to be quite common in HBV.

13 [Slide.]

14 This is really the key projections of the model
15 then, so we are asking the question of what would be the
16 projected window closure and yield of adding minipool or HBV
17 NAT to this surface antigen test. Again, the surface
18 antigen test in question was estimated at having about a
19 3,000 genome equivalent per mL.

20 So, adding a minipool NAT that had 1,000 genome
21 sensitivity was predicted to close the window by about 4 1/2
22 days, and based on the incidence rate, that would predict to
23 yield about 6 infected HBV window phase donations per 10
24 million donations. As all of you I think know, we have
25 about 12 to 13 million donations per year, so perhaps 7 or 8

1 infected donations per year would be detected by minipool
2 NAT that were surface antigen negative.

3 Going to an individual donation, NAT with 50 copy
4 sensitivity, was estimated to reduce the window by 17 days
5 and yield approximately a 23 per 10 million infected window
6 phase units. In an assay that could achieve 5 genome
7 equivalent sensitivity, would close the window by 26 days,
8 yielding 36 per 10 million.

9 So, these are the predicted window closures and
10 yields based on the model. Again, you will see data later
11 from Dr. Biswas that will actually evaluate the accuracy of
12 these predictions.

13 [Slide.]

14 Just a brief summary. These is actually an update
15 of the summary slide at the AABB. One of the things we felt
16 we needed to do is actually conduct a direct comparison of
17 bona fide or currently under development or existing
18 minipool and individual donation NAT versus representative
19 surface antigen test, and this study is now done and will be
20 presented by Dr. Biswas.

21 We were also interested in understanding the
22 infectivity of these very low level viremic ramp-up samples
23 and pre-ramp-up samples, and a study is under design with
24 Dr. Harvey Alter to look at this in an animal model.

25 We also felt it was important to understand the

1 relative cost effectiveness of HBV NAT versus the other
2 viruses because, as you all know, HBV typically in adults
3 certainly is a relatively benign and transient infection, so
4 we have now looked at this, and I want to present a little
5 bit of data on this.

6 The other issue is if we do bring forward HBV NAT,
7 what will be the implications about the need to retain
8 surface antigen or anticore. I am not going to go into
9 that.

10 [Slide.]

11 Just a few slides to sort of put into broad
12 context and lead into a little bit of data on sort of the
13 cost effectiveness and particularly the comparative cost
14 effectiveness of HBV and clinical implications of HBV
15 prevention versus HIV and HCV.

16 This is a collaboration with Jim AuBuchon and one
17 of his associates, Brian Jackson. This just is the baseline
18 sort of window period data for each virus that you are all
19 very familiar with. This essentially is data from the
20 Schreiber paper that estimates the window period of about 20
21 days for HIV, about 70 for HCV, 45 for HBV. Minipool NAT
22 can close these as indicated, and then single donation NAT
23 would leave us with this theoretical pre-viremic phase, the
24 so-called eclipse phase, which the infectivity of this phase
25 is a matter of current research.

ajh

1 [Slide.]

2 This slide just summarizes given these residual
3 window periods and the known incidence rates, this
4 summarizes the estimated risk per unit before and following
5 minipool NAT and then following individual donation NAT.

6 I am not going to go through this, I think you all
7 have this as a handout, but basically, the focus on HBV, you
8 can see that we are currently estimating a risk of around
9 5.5 per million, which would drop with minipool NAT fairly
10 modestly to 4.8, because the window closure with minipool is
11 going to be very modest, only a few days, whereas,
12 introducing single donation NAT will fairly dramatically
13 further reduce the HBV window and therefore the resulting
14 theoretical residual risk.

15 [Slide.]

16 In this cost effectiveness analysis, we put in
17 some sort of assumed or projected costs for minipool HCV and
18 HIV, which is currently in place in the whole blood sector.
19 Post-licensure, we predict that the cost will probably be in
20 the range of \$12 per donation. That is as applied to pools
21 in the 16 to 24 range. This is a per-donation cost. We
22 looked at ranges of 8 to 15.

23 Going to single donation NAT, we assumed that
24 would be on an automated platform which would reduce labor
25 costs, and this would then be each donation tested

1 individually. We assume in this model a \$15 per donation.

2 Then, for the purpose of this discussion, we
3 assumed that adding HBV to these platforms would be
4 relatively less costly because it is simply adding another
5 marker to a probably multiplex type assay, so in this model
6 we assumed a \$3 increment of minipool or single donation to
7 add HBV with a \$2 to \$4 range.

8 [Slide.]

9 Now, very important is what is the relative
10 implications of preventing or transmitting HIV versus HCV
11 versus HBV. This factors in obviously the probability that
12 a person who is exposed to a viremic donation will become
13 infected, persistently infected, and will then evidence
14 disease and require therapy downstream.

15 It is really the relative numbers here, which I
16 think are very striking and very important to today's
17 discussion. This is the number of quality-adjusted life
18 years saved per infection prevented in the context of a
19 transfusion analysis. This again is data from Jim
20 AuBuchon's group.

21 You can see that for HIV, preventing 1 HIV
22 infection will save 7.1 quality-adjusted life years. This
23 is in the context of the usual transfusion recipient, you
24 know, a 50- to 60-year old person surviving underlying
25 disease to live a year, maybe half the time, et cetera.

ajh

1 In contrast, HCV, because most of these
2 infections, although they become chronic, are asymptomatic
3 for decades, there is about a 10-fold lower benefit to
4 preventing those infections or 10-fold lower clinical
5 consequence of transmitting NAT infection.

6 Then, for HBV, it is even less, 0.1, so it is
7 1/70th essentially of the importance of preventing HIV from
8 a cost effectiveness perspective, and that is again because
9 most HBV transmissions are subclinical transient infections
10 that resolve completely, and of those who become chronic
11 carriers, a relatively small proportion will progress to
12 clinical disease.

13 [Slide.]

14 So, when we translate that out in terms of QALY
15 saved by minipool versus individual donation NAT by virus,
16 you can see that for minipool NAT, most of the benefit is
17 coming for HCV because of the very long plateau phase and
18 the very large number of HCV infections prevented.

19 The benefit for HBV is really extremely low
20 because, one, there are very few infections detected by
21 minipool that are not detectable by surface antigen based on
22 the model, and, two, is the clinical consequences are fairly
23 modest.

24 Single donation NAT interestingly, even though we
25 are only predicting to prevent 3 or 4 additional HCV or HBV

1 infections, the benefit on a quality basis is larger because
2 these are more important whereas, for HBV, will prevent
3 about 30 with single donation, but again the clinical
4 consequence, and therefore the cost effectiveness benefit,
5 is small, 7.

6 [Slide.]

7 This just summarizes all of this in terms of
8 quality saved, so minipool NAT would be predicted to save 71
9 quality-adjusted life years, and moving from minipool to
10 single donation NAT would be predicted to only save an
11 additional 8 quality-adjusted life years even though you
12 will prevent a large number of HBV transmissions.

13 [Slide.]

14 Finally, the sort of bottom line of these assays
15 again, not particularly relevant to this committee's charter
16 and discussion, but the cost effectiveness of these various
17 interventions, either minipool NAT for HIV, HCV, as
18 currently performed, based on this analysis, the number
19 comes out at \$3.2 million per quality-adjusted life year.
20 On the baseline analysis and depending on the cost range,
21 could range from 2.2 to 4 million per quality-adjusted life
22 year.

23 Interestingly, in this analysis, going to
24 individual donation, combe testing for HIV and HCV actually
25 is slightly more cost effective, still extraordinarily high

1 cost per quality on a public health basis, 2.7 million per
2 quality life year.

3 Relevant to this discussion, adding HBV to either
4 of these strategies worsens the cost effectiveness output it
5 actually takes for the minipool goes from 3.2 to 3.8 million
6 per QALY and for the individual, from 2.7 to 3.0 million per
7 QALY.

8 This other column actually shows how much these
9 tests would have to cost in order to reduce the dollars per
10 QALY to the generally accepted non-transfusion medicine
11 public health threshold of \$50,000. The bottom line is
12 these tests would have to cost about a quarter per donation
13 in order to get us into the same ballpark as other public
14 health measures are currently being implemented.

15 [Slide.]

16 Finally, the last slide just to acknowledge the
17 large number of people who really contributed to this
18 specific area of work. Lorraine Peddada and Chuck
19 Heldebrant have done an enormous amount of work
20 characterizing these panels and collaborating to contribute
21 data and analyze the data.

22 Rich Smith and Andy Conrad at NGI generated all
23 the viral load data. Steve Raid, BioClinical Partners, they
24 manage the compilation of these panels. David Wright,
25 George Schreiber, and Steve Kleinman, very active in the

1 analysis, particularly David who developed and has done a
2 lot of work to derive models appropriate to the data
3 analysis.

4 Eberhard Fiebig, who works with me at UCSF, in
5 terms of the modeling, and then Brian Jackson and Jim
6 AuBuchon who did the cost effectiveness analysis.

7 Thank you.

8 DR. NELSON: Thanks for a very nice comprehensive
9 analysis.

10 Are there any questions or comments by the
11 committee, or anybody?

12 DR. CHAMBERLAND: Mike, that was very nice. I
13 have one question. Before you led into all of the analyses
14 that gave us the QALY information, there was a slide in
15 which you compared the calculated risk of viral infection
16 per million red cell units transfused, and for HBV, the
17 baseline risk was 5.5, and then you showed there would be a
18 modest decrease with implementation of minipool NAT and even
19 more with single donor.

20 Is that baseline risk of 5.5, that is obviously
21 using current antigen tests, and my understanding I guess
22 what we are going to be talking about is that on the
23 horizon, there are other antigen tests that are even more
24 sensitive, so in point of fact, if those antigen tests were
25 to be available, then, these analyses might actually change?

1 DR. BUSCH: Right. This number of 5.5 per million
2 is closer to about 1 in 150,000, 1 in 200,000, which is
3 quite a bit lower than the original REDDS estimate in the
4 Schreiber paper, which was like 1 in 60,000. That is in
5 part because we have now documented a reduction in HBV
6 incidence.

7 Also, in this analysis, we did already factor down
8 that the antigen tests seemed to be more sensitive than were
9 the basis for the original window period estimate because
10 this analysis used the data from the study I just presented,
11 assuming that we had an antigen test with a 3,000 genome
12 equivalent sensitivity.

13 Now, actually, you will see data later from Dr.
14 Biswas that does show that the more sensitive antigen tests
15 will further reduce that close to the level of what minipool
16 NAT could achieve, but not quite there.

17 DR. NELSON: I think one other issue is that this
18 analysis applies to the current like donor population in the
19 U.S. If the characteristics of the donor population were to
20 change, there are subgroups that would not be excluded
21 necessarily on the basis of drug use, et cetera, that still
22 have higher HBV incidence, and so that this could change
23 some of the calculations.

24 It is maybe not likely that there will be dramatic
25 changes, but certainly, you know, in the context of Japan, I

1 would think that NAT testing would be far more cost
2 effective and useful, particularly in the absence of core,
3 which they can't do because of the very high prevalence of
4 core, but nonetheless, even in the United States, if there
5 were some substantial changes or even maybe modest changes
6 in the donor population, these figures might change.

7 DR. BUSCH: Right. In the REDDS group, we have
8 done extensive analysis that demographic correlates have
9 incidence and prevalence for each virus, and obviously, HBV
10 particularly the incidence is clustered in younger donors
11 and certain racial ethnic groups, et cetera.

12 The other thing that is evident, in I think some
13 of the comparisons Dr. Tabor alluded to, the yield of HBV
14 NAT in the U.S. plasma donor sector--and there again there
15 is probably an underlying difference in the incidence rate,
16 which explains that differing yield.

17 DR. NELSON: Other comments?

18 DR. KOFF: Dr. Busch, with regard to your comments
19 about the relative benignness of hepatitis C, I think it is
20 less clear that it is that benign in the population you are
21 talking about of transfused people. In other words, all or
22 most of our data on the natural history of that infection
23 long term has come from looking at relatively young people
24 except for the transfusion studies done in the 1970s.

25 There is a sense that the disease is, in fact,

ajh

1 less benign, more rapidly progressive if you are a bit older
2 when you acquire this infection, so that may be something
3 that needs to be looked at in terms of the impact of
4 additional testing.

5 DR. BUSCH: Yes, although I do think that
6 particularly Leonard Seefe's long-term compiled follow up of
7 posttransfusion HCV cases continues to show that only I
8 believe 10 to 15 percent after two or three decades have
9 progressed to clinically significant liver disease. So,
10 those are the numbers that actually were used in this model.

11 DR. KOFF: Yes, you are right in that, of those
12 folks who he has now followed up to 25 years, those who are
13 selected and have been biopsied, of course, about 30 percent
14 have cirrhosis, so they are now in a different track in
15 terms of survival.

16 The younger folks that have been looked at, the
17 Irish women's study, for example, and the Air Force recruits
18 seem to have done considerably better.

19 DR. BUSCH: Right.

20 DR. NELSON: Yes.

21 DR. MACIK: I have one question, that is a major
22 difference between the hepatitis B and hepatitis C or HIV,
23 is that there is a vaccine. In talking about all of this,
24 where is the cost estimate of screening for people who have
25 been vaccinated?

1 The point was made if the donation pool
2 characteristics were to change, well, we have a whole
3 generation that have been vaccinated against hepatitis B,
4 how likely are they to fail the vaccination, i.e., get
5 hepatitis despite being vaccinated, when that pool reaches
6 blood donation age, how is that going to change all of these
7 characteristics, and should more effort be being placed on
8 getting everybody vaccinated, unless there is a major
9 problem with the vaccination process, i.e., it causes a
10 second disease or it is ineffective or wears off in five
11 years, none of which has been really shown?

12 So, I wonder, you know, if you really factor in
13 that, what cost difference are you making, because we are
14 looking, you know, at more and more for testing when we have
15 a potentially totally preventable disease here.

16 Have any of the studies or has anyone looked at
17 where vaccination would impact on screening for hepatitis B?

18 DR. BUSCH: Of course, the vaccine induces
19 antisurface, and we don't screen for that, so it is not
20 going to be a problem in terms of inappropriately losing the
21 vaccine donors.

22 All it could do would be to make the projected
23 yield lower because your underlying incidence will decline
24 of true HBV infection on top of vaccine, which will then
25 translate into a poorer cost effectiveness analysis output,

ajh

1 so it could just make matters worse from a benefit
2 perspective.

3 DR. EPSTEIN: Mike, could I just trouble you to
4 reconcile numbers on two of your slides on the health
5 benefit, could you go back and show the quality-adjusted
6 life years saved for the individual agents and then compare
7 that to the sum that you had, because it would appear that
8 there is a large discrepancy if you look at minipool NAT,
9 and then that has a major implication about the additive
10 value of single donor NAT.

11 DR. BUSCH: Right, if we can get back to the
12 slides. Again, these numbers are coming from Jim and Brian
13 Jackson, who works with Jim, so I can't defend them in great
14 detail. These newer numbers, which aren't included in the
15 handout, but were in the slide I just requested from them
16 because I think they are so important to clarify.

17 Just page on through to probably close to the last
18 slide, but importantly, one issue, there, I think this is
19 what you are alluding to.

20 DR. EPSTEIN: If you take those figures and you
21 multiply by numbers, on a subsequent slide, now, if you were
22 to add across the row, just for argument's sake, take
23 minipool NAT--

24 DR. BUSCH: This represents the benefit of
25 minipool NAT, independently then looking at the benefit of

1 single donation NAT, whereas, the other slide was the
2 incremental yield.

3 DR. EPSTEIN: Right, I understand that, but just
4 take minipool NAT, okay, if you add across, right, you get
5 about 50.

6 DR. BUSCH: Right.

7 DR. EPSTEIN: But if you go to the slide where you
8 looked at the sum of quality-adjusted life years, you have
9 got 71.

10 DR. BUSCH: Go to the next slide.

11 DR. EPSTEIN: 71. Let's look at the right. Now,
12 look at minipool NAT. Now, 71 and 50 are way off, and that
13 then drives the estimate for the additive value of SD-NAT,
14 as 8 would become 28.

15 DR. BUSCH: I can't give you the full
16 clarification on this, but one of the caveats that was
17 presented with this slide is that this analysis, as the
18 footnote indicates, factored in that a patient had to
19 survive at least one year in order to achieve any clinical
20 event as a result of the infection, and therefore, only
21 contributed. I can't explain why you would have a greater
22 number.

23 Again, I can't defend the details on all of this.
24 I think perhaps the most important message here, given that
25 this doesn't per se deal with cost issues, is that concept

ajh

1 of the relative clinical importance of prevention of HBV
2 versus HCV and HIV.

3 DR. NELSON: Any more?

4 Next, Susan Stramer from the American Red Cross.

5 **Susan Stramer, Ph.D.**

6 DR. STRAMER: I am also going to present, prior to
7 Dr. Biswas' presentation of the FDA comparative studies,
8 comparative studies that were performed at the Red Cross.

9 [Slide.]

10 Some of these studies were shown last year, so I
11 guess this celebrates a one-year anniversary, and additional
12 studies that I have done for some evaluations the Red Cross
13 has been performing.

14 [Slide.]

15 The objective for both BPAC meetings, that is,
16 last year and this year, is to compare the sensitivity of
17 current HBsAg and newer generation HBsAg assays, including
18 those that are licensed and unlicensed, to NAT, and what we
19 used for our NAT was the National Genetics Institute PCR
20 test as previously described by Mike. Again, we tested
21 seroconversion panels looking at front-end detection, that
22 is, pre-HBsAg positives.

23 We tested individual units and then extrapolated
24 cutoffs that would be achieved by pooled NAT tests.

25 [Slide.]

1 From the first studies that we had done, we looked
2 at 13 plasma donor panels with the NGI test, totaling 181
3 samples. What you have here is a box and whisker plot
4 showing the viral loads at various stages of HBV
5 seroconversion. The horizontal lines show you theoretical
6 cutoffs. This first one for NGI is what the source plasma
7 industry was using at about 6,000 copies per mL, which is
8 now lower.

9 This represents, instead of using--and I will show
10 data using 1,000 copy cutoff for pooled NAT--I am showing in
11 most of my slides 1,600 copies per mL as the cutoff for
12 pooled NAT as that is really our working cutoff currently
13 for HIV and HCV in that we use a test for HIV and HCV that
14 have 100 copy per mL sensitivity 95 percent of the time, and
15 the smallest pool size used is 1,600. So, for the sake of
16 these comparisons, we are using 1,600.

17 What you see here are the viral loads for the DNA-
18 positive samples, that is, the HBsAg negative. This is the
19 viral load at the HBsAg positives using the current Abbott
20 75-minute test that many blood centers use, and then this is
21 later after the development of antibody.

22 But if we focus just on the DNA positives, we see
23 that the median or the 50 percent mark of the population is
24 that 600 copies per mL, well below the cutoff achieved at
25 1,600. There are 5 samples that would be detected of the 13

1 donors, that would be detected above the 1,600 per mL
2 cutoff.

3 [Slide.]

4 You should have this in your handout. This
5 represents the raw data from the study, and I won't go over
6 it in detail.

7 [Slide.]

8 Just to look at some of the representative
9 seroconversion panels as markers develop after HBV
10 infection. In orange we have HBsAg, in pink we have HBV
11 DNA. You can see clearly that the two parallel. The
12 horizontal lines here again represent the same cutoffs as
13 described, and the blue and green lines demonstrate the
14 production of antibody.

15 What you have here by the "fatter," if you will,
16 symbols, is the first positive by DNA and then the first
17 positive by HBsAg. There is one sample here that would not
18 be detected by pooled NAT, was HBsAg negative, and you can
19 see, as Mike demonstrated, that these early samples have
20 relatively low viral loads, this one again about 600 copies
21 per mL.

22 [Slide.]

23 Here is another such panel where you can see the
24 HBsAg increase and the DNA increase parallel. In this case,
25 there are three samples that represent kind of a shoulder of

1 samples that would not be detected by minipool NAT at a
2 1,600. They may be right at the cutoff if one were using
3 1,000, and they are HBsAg negative by the current licensed
4 test that was used in the study.

5 [Slide.]

6 Following this study, we also did a comparison of
7 two licensed HBsAg assays, again to show the variability.
8 What we focused on in the first study was NAT versus one
9 licensed assay. Here are two different licensed assays just
10 to show you the variability.

11 Here, we used 21 seroconversion panels, Genetic
12 Systems, the Shaker procedure. They have two licensed
13 protocols in their assay. The Shaker is more sensitive, and
14 the Ortho procedure used by many blood centers.

15 Of 184 samples in this evaluation, there were 57
16 that were negative by Ortho, but positive by the Genetic
17 Systems Shaker assay. Of those 57, 56 were DNA positive.
18 The analytical sensitivity, to give you another calibrator
19 or another idea of sensitivity of these assays, if you look
20 at purified HBsAg standards, you can derive analytical
21 sensitivity, and the analytical sensitivity in this study
22 for Genetic Systems was about 0.14 to 0.34 for AD and AY,
23 and for Ortho it was at 0.8 or greater.

24 [Slide.]

25 Another evaluation that we participated in are the

1 Abbott clinical trials for Prism, involved 25 HBsAg
2 seroconversion panels. Here, you can see a more theoretical
3 graph showing HBsAg EIA detection by their current test, but
4 the Prism test would buy you both in back end, which I can't
5 see the number clearly, but I believe is 12.8 days in back
6 end when anticore becomes positive, and 6.8 days front end
7 closure when DNA copies are still fairly high.

8 Moving into the DNA positive period prior to HBsAg
9 becoming positive by Prism, you have lower viral loads.
10 These analyses were done only in a subset of the panel, but
11 again these viral loads would not be predicted to be
12 detected by pooled NAT.

13 [Slide.]

14 So, if you kind of take the spectrum of what we
15 know about licensed and unlicensed tests for HBsAg, we have
16 ranges in sensitivity that range from 0.08 nanograms per mL
17 to greater than 0.7 nanograms per mL. What the industry
18 uses today for screening really is in this spectrum.

19 As I mentioned, there are two protocols licensed
20 for Genetic Systems, and they do represent even a range
21 within a one-licensed assay reagent. The same thing is true
22 for Abbott. This is an overnight procedure versus a 75-
23 minute procedure, but here we can see the more sensitive
24 assays, the overnight Abbott, Genetics System Shakers I just
25 showed you. Ortho has a test before FDA that has about a

1 0.1 nanogram per mL sensitivity, and then the Abbott Prism
2 that runs about 0.8 to 0.9 nanograms per mL.

3 [Slide.]

4 We have now done a subsequent study to investigate
5 the variability in HBsAg assay sensitivity, and again to
6 compare that to pooled NAT and individual donation NAT.
7 This study involved 17 commercial seroconversion panels
8 sourced from Bioclinical Partners. The assays involved were
9 really the spectrum of panels of assays that I just showed
10 you, with Abbott Prism being the most sensitive.

11 In contrast to what Mike showed, this comparison
12 was done using the U.S. protocol, which has a decreased
13 cutoff relative to the European protocol, so it would be
14 more sensitive than what Mike just showed.

15 We used Auszyme Procedure C, the Abbott current,
16 the Ortho current, both 75 minute procedures, and Genetic
17 Systems Static, which is the less sensitive of the two
18 protocols from Genetic Systems. We didn't run this, but
19 data were provided by the vendor.

20 There were a total of 225 samples. For PCR, we
21 ran an Ultra-Qualitative test from NGI, and UltraQual-
22 positive samples were then quantitated by their SuperQuant
23 assay. The Qualitative test has great sensitivity at 4
24 copies per mL than Quant at 100 copies per mL, so what we
25 did was if we had a qualitative PCR-positive sample that was

1 Quant-negative, we assigned it a value of 50 copies per mL
2 for the analysis.

3 Of the samples tested, 156 or 69 percent were DNA
4 positive individually.

5 [Slide.]

6 The cutoffs used for Quant PCR to extrapolate to
7 the use of pooled NAT were 1,600 for the reasons I explained
8 before, 1,000 to compare with the study that Mike presented,
9 and then we used a 320-copy per mL cutoff, which is really a
10 low cutoff for pooled NAT to represent 95 percent detection
11 if we were to use assays that had 20 copy per mL
12 sensitivity.

13 [Slide.]

14 The next three graphs show you a comparison of
15 three HBsAg tests, the Ortho current, the Abbott current,
16 and Prism. There were 156 DNA positive samples. The Ortho
17 current test detected 31 percent of them. If you plot DNA
18 viral load against HBsAg, this is the distribution of points
19 you have. We have a cutoff of 1,600 and a cutoff of 1 for
20 the HBsAg test. So, the important cell to focus on is this
21 first cell, because it represents those that would be picked
22 up by pooled NAT, but that would not be detected by HBsAg.

23 So, with the Ortho test here, where 31 percent of
24 the samples were HBsAg positive of DNA positive samples, we
25 have 36 here that would benefit by a pooled NAT test.

1 [Slide.]

2 This is now the Abbott current procedure where we
3 now have 41 percent of the DNA positive samples HBsAg
4 positive, but instead of having in the previous slide 38, I
5 believe, samples that were not detected, here, we only have
6 21 samples that were not detected by pooled NAT.

7 [Slide.]

8 In comparison to Prism, what we find now is you
9 can see more of the distribution of HBsAg S to CO move to
10 the right or to higher S to CO values, and the number here
11 going from 38 to 21 now is at 5, so what we have using a
12 cutoff of 1,600 is 5 samples that would be pooled NAT-
13 reactive HBsAg negative.

14 Interestingly enough, in the other plots I showed
15 you, we only had 1 sample in this box for the Ortho and for
16 the Abbott other assay, and this box here represents HBsAg-
17 reactive samples that would be negative by pooled NAT. So,
18 interestingly enough here, if you are doing a comparison,
19 you have a tradeoff and that here you have 6 HBsAg positive
20 samples that would not be detected by pooled NAT, whereas,
21 here you have 5 samples that would be detected by pooled
22 NAT, but not HBsAg.

23 [Slide.]

24 To look at different cutoffs or what is the effect
25 of dropping the cutoff for pooled NAT, looking at these

1 samples, from 1,600 to 1,000 to 320, if you change the
2 cutoff for pooled NAT to 1,000, of those by Prism that were
3 HBsAg negative, you would have no further increase. You
4 would actually pick up one more by pooled NAT of those that
5 I showed you that was already HBsAg positive. With the
6 other assays you would add 1 HBsAg negative sample.

7 Now, moving the cutoff to 320, however, does have
8 a more significant impact, as one would imagine. There were
9 9 samples that were detected by pooled NAT that weren't
10 detected by Prism and 12 samples for the other assays.

11 [Slide.]

12 If you look at all of the assays evaluated in this
13 study and look the viral loads at the time of first
14 detection, that is what this graph shows you against viral
15 load. These are box and whisker plots, these are the
16 medians, and the assays are labeled down here on the x axis
17 with the time at which the assays became positive.

18 So, here you have the three assays at the extreme,
19 here you have the Prism viral loads, but what you see here,
20 looking at the first PCR positive and the last PCR positive
21 is a 21-day window in which viral loads are relatively low.
22 In fact, the mean of the last PCR positives, the median is
23 well below the cutoff of 1,600, and 75 percent of the
24 samples are represented in this range.

25 [Slide.]

1 To look at these values numerically, here is the
2 median copy level and the min and max, and again for the
3 days. So, Prism then became positive at day 27 followed by
4 the other assays at later times, but it is interesting to
5 note the viral loads, the increasing viral loads that
6 correspond to HBsAg detection.

7 [Slide.]

8 Doing the same type of analysis, as Mike did, to
9 ask the question, but using a less sophisticated model, what
10 is the corresponding copy per mL at the cutoff of each of
11 these assays. What I showed you previously is what the
12 assays detected using the bias of time in the panels, but if
13 we eliminate time and just say what is detection at the
14 assay cutoff, we did this by looking at the first positive
15 bleed per the assay and the last negative, and doing a
16 linear regression, but in order to make that more robust, we
17 compared that then to looking at the last two negative
18 bleeds and the first two positives or including three
19 negatives and three positives.

20 Well, for Prism, you really don't get much of a
21 difference, and you get about 1,500 or 1,400 copies per mL
22 of HBV DNA that corresponds to detection in the Prism assay.
23 You get about 5,700 using the current Abbott procedure, and
24 anywhere from 18,000 to 8,100, there is a little bit more
25 variation in the least sensitive assay.

1 [Slide.]

2 So, as far as summary and conclusions, we did see
3 that significant differences in sensitivity exist between
4 different HBsAg assays. The detection of purified HBsAg
5 ranges from greater than 0.7 to 0.08 nanograms per mL, so
6 that there is almost a log difference.

7 This difference translates to a mean of 17 1/2
8 days or 2 HBsAg positives detected per million tested, and
9 this was using ARC 1998 to 1999 incidence rates of 4.5 per
10 100,000. In the previous study that Mike showed, the REDDS
11 numbers of a similar time period are similar there at 5.1
12 per 100,000.

13 HBV DNA can be detected for a mean of 21 days
14 prior to the appearance of HBsAg even using the most
15 sensitive HBsAg tests.

16 The median HBV DNA titers in HBsAg negative
17 samples, again by the most sensitive assay, were a median of
18 100 to 500 copies per mL with 75 percent being less than
19 2,000.

20 [Slide.]

21 Prism detection corresponded to HBV DNA of
22 approximately 1,400 copies per mL versus 5,700 or 18,000 for
23 currently used HBsAg assays.

24 The current HIV and HCV NAT cutoff that we used
25 for a 95 percent detection is 1,600 copies per mL, so you

1 can see how these two compare.

2 Pooled NAT using a cutoff of 1600 copies per mL
3 would detect 5 additional DNA positive samples of 156 DNA
4 positives in the 17 HBV series I showed, but failed to
5 detect 6 that were reactive by Prism.

6 Dropping the NAT cutoff to 1,000 copies only added
7 the detection of 1 sample, and that 1 sample was already
8 HBsAg positive. Dropping the cutoff to 320 improves the
9 yield by 9 HBsAg negative samples or 13 total samples.

10 The use of a more sensitive HBsAg assay appears to
11 be equivalent to the performance of pooled NAT using a
12 cutoff of 1,000 to 1,600 copies per mL.

13 Thank you.

14 DR. NELSON: Thank you, Dr. Stramer.

15 Any questions or comments? Yes.

16 DR. SIMON: Dr. Busch alluded to this, and I
17 didn't ask it then, but might ask it now. We have this
18 period of low levels of HBV virus that can be detected by
19 nucleic acid testing before any of the assays.

20 What is the infectivity during that period, what
21 is known about the infectivity during this period, that is,
22 to what extent can we say whether the units would be
23 infective or not?

24 DR. STRAMER: One of the bullets that Mike had for
25 his subsequent work that he didn't have, and I have

1 italicized as done, were studies such as that to do
2 chimpanzee infectivity studies looking at what represents
3 infectivity in these early samples.

4 We certainly know for HBV from health care workers
5 that the infectious dose corresponds to a low viral load, I
6 can't remember in terms of Danes what it corresponds to, but
7 certainly HBV represents a very infectious agent.

8 So, my guess is on the 21 days of samples I showed
9 you, that those certainly, especially in the absence of
10 antibody, would represent infectivity.

11 DR. SIMON: So, you believe all the ones you are
12 detecting by nucleic acid testing are probably infectious.

13 DR. STRAMER: That would be my guess, and we are
14 talking about a number of copies per mL, if you consider
15 what a unit of red cells is, that would be a far greater
16 amount of inoculum.

17 DR. NELSON: Other questions or comments?

18 DR. FITZPATRICK: On the comparison of PCR to the
19 Abbott Procedure C, the samples that were in the lower
20 quadrant there, that were HBsAg C positive and PCR negative,
21 I can't read that--is that 6?

22 DR. STRAMER: For Prism, it was 6, yes.

23 DR. FITZPATRICK: What about for the--

24 DR. STRAMER: The other two, they were 1.

25 DR. FITZPATRICK: They were 1? Okay.

1 DR. STRAMER: Yes.

2 DR. FITZPATRICK: Were they the same ones?

3 DR. STRAMER: Yes, the same one, and then five
4 additional for Prism, correct.

5 DR. FITZPATRICK: Any speculation on how Prism is
6 picking up?

7 DR. STRAMER: Well, I mean we don't live in a
8 totally linear world, so there are, you know, exceptions
9 where I mean we are giving means, but certainly confidence
10 intervals around those means are going to be large, so it is
11 just variation.

12 DR. FITZPATRICK: I was just curious as to why you
13 used the non-Shaker method for Genetic Systems.

14 DR. STRAMER: We actually didn't use it.
15 Interestingly enough, even though there are two procedures
16 that are licensed with that set of Genetic Systems'
17 reagents, most of the industry who uses Genetic Systems
18 chooses, as least in the U.S., Canada uses the Shaker
19 procedure, just to give you a contrast, but in the U.S.,
20 they use the Static procedure.

21 We didn't actually run that. Those data were
22 provided by the vendor. So, the source plasma company who
23 found those panels, that is their test of record, so we were
24 able to gather those data from Bioclinical Partners.

25 DR. FITZPATRICK: Thank you.

1 DR. NELSON: Jay.

2 DR. EPSTEIN: Susan, you showed us that if the
3 minipool NAT were performed at a sensitivity of 320 gEq/mL,
4 that really there was a dramatic additive benefit compared
5 even to the newest HBsAg assays, so the question is really
6 what is the feasibility of achieving that level that would
7 not appear to be the level of the current systems, so can we
8 get there.

9 DR. STRAMER: I can't answer that question. I
10 mean technically, I would think certainly we could get
11 there, but, you know, there are other hurdles to
12 implementing minipool NAT in addition to sensitivity. If we
13 assume all other things are negated, that is, CGMP or
14 process control or the IND, the regulatory issues, the
15 validations, et cetera, if we look purely based on
16 sensitivity, of course, this would be something I believe
17 that we could achieve.

18 We didn't think that we could achieve NAT testing
19 for HIV and HCV, the way we have it in place now, and it can
20 be achieved. I mean I think we have seen data from Roche,
21 as an example, to suggest that their HBV DNA tests can
22 achieve 20 copies per mL, but I don't know in the wider
23 experience how that will translate.

24 DR. NELSON: Dr. Busch.

25 DR. BUSCH: Susan, those five or six samples that

1 you detected as Prism antigen positive, but projected would
2 fall below a minipool NAT detection limit, just a little
3 caution on those. You are relying on the primer specificity
4 and quantitative capacity of the NGI assay in inferring that
5 those would be negative by a pooled NAT.

6 It is critical that we understand whether indeed
7 if we were to introduce pooled or even individual donation
8 HBV NAT, whether there would be any residual benefit to
9 surface antigen detection, particularly in the front end.

10 So, I would suggest that the value of actually
11 running those specific samples on dilutions relevant to the
12 current prototype or existing HBV NAT testing, wouldn't be
13 surprised to see if those actually could be detected at
14 dilutions with these, you know, more robust primer
15 qualitative tests that wouldn't correlate with the titer,
16 the concentration from their quantitative assay.

17 DR. STRAMER: Certainly, that is true. I think we
18 would see variability, the same ways we have seen
19 variability with other tests by running pooled assays.
20 Again, these were extrapolated cutoffs, and these weren't
21 actually, as the FDA study will show, actually running the
22 samples in their minipool dilutions.

23 DR. NELSON: Any other comments? Thank you.

24 The next speaker is Dr. Robin Biswas from the FDA.

25 **Robin Biswas, M.D., DETTD, OBRR**

ajh

1 DR. BISWAS: Good morning.

2 [Slide.]

3 At the March BPAC a year ago, the Red Cross
4 suggested that HBV NAT testing of source plasma donations
5 using the 512 sample pool testing format might not be much
6 more sensitive than testing single samples by current HBsAg
7 assays, and, in fact, might possibly be less sensitive than
8 testing single samples by newer, more sensitive HBsAg
9 assays.

10 [Slide.]

11 So, our response was to design and perform a study
12 with the NAT Study Group, and the sort of over-arching idea
13 was to compare HBV NAT with HBsAg testing, particularly with
14 the more sensitive tests, and the second thing that we
15 wanted to do was to compare the current HBsAg assays with
16 newer HBsAg assays that are under development, and this was
17 going to be done using seroconversion panel samples from
18 cases of acute hepatitis B virus infection.

19 [Slide.]

20 You have already seen this slide. The NAT Study
21 Group is composed of liaisons from government agencies,
22 blood organizations, and industry, from some of the assay
23 manufacturers, the NAT assay manufacturers.

24 [Slide.]

25 The specific aim of the study was to estimate the

1 increase in yield of detecting a greater number of HBV
2 infectious units comparing the current HBsAg assays, the
3 newer, more sensitive HBsAg assays, the NAT pool testing
4 methods, and the NAT single sample and NAT single sample
5 testing.

6 Now, going back to the NAT pooling test methods, I
7 just want to briefly say that there are two pooling
8 methodologies involved here. One is the source plasma
9 method where they use large pools of 512 or, another
10 manufacturer, 1,200 pools, thereby diluting each sample in
11 effect, each individual sample is diluted 1 to 512, and 1 to
12 1,200.

13 The other format is the testing for whole blood,
14 which is used, actually not for HBV NAT, but for the HIV and
15 HCV whole blood NATs, and the pooled samples there are much
16 smaller. The pooled samples are 16 from some manufacturers
17 and 24 with others. So, the dilution of the individual
18 samples that are being tested is lower, the dilution is
19 lower.

20 [Slide.]

21 We selected 10 seroconversion panels from a total
22 of 23 that had been collected by Impath and Bioclinical
23 Partners, and these were from source plasma donors. They
24 are serial bleeds from source plasma donors who
25 seroconverted to HBsAg positivity in the acute phase.

1 The panels had been previously tested in a variety
2 of HBsAg tests and also in one HBV nucleic acid test, and
3 this had been done at various locations, and it really
4 wasn't quite clear whether these tests were--some of them
5 were certainly not licensed in the U.S., and so there were
6 some unknowns about that. But I should say that the testing
7 results that had been previously done did help us to select
8 the 10 seroconversion panels.

9 [Slide.]

10 Ten samples from each of the 10 selected
11 seroconversion panels were chosen for both hepatitis B
12 surface antigen and HBV nucleic acid testing equals 100
13 samples, and all these samples were coded.

14 The samples were chosen on the basis of being in
15 the viral pre-ramp-up phase, that is, that phase that Mike
16 described earlier, it's a smoldering low viral load time,
17 and as Susan said, probably infectious, followed by a viral
18 ramp-up phase, the two or three days viral ramp-up phase.

19 We also included 28 samples that were controls.

20 [Slide.]

21 The controls, each control was provided in
22 duplicate to the testing labs under code. The controls
23 consisted of the CBER HBsAg lot release panel with 8
24 positive samples and 2 negatives. These 8 positives, we
25 know what the HBsAg concentration is in those 8 positive

1 controls, and they go from an estimated value of about 0.02
2 to about 7.5 nanograms.

3 There was a sample of normal human plasma.
4 Remember, this was all in duplicate and coded.

5 Third, we used dilutions of the WHO HBV DNA NAT
6 standard at dilutions of 4,000, 400, and 40 International
7 Units per mL.

8 [Slide.]

9 Now, all 128 samples were coded and tested. We
10 tested them in 7 different HBsAg procedures in our lot
11 release lab. HBV NAT testing was done by actually four
12 representative manufacturers, and they used also the source
13 plasma format that are described in the whole blood format
14 with those different pool sizes and hence different
15 dilutions for the individual samples, and also, three
16 manufacturers tested in a single sample format.

17 [Slide.]

18 Now, the methods of analysis. Mike Busch's group
19 and the group at Westat compared rates of viral detection in
20 the pre-ramp-up phase and ramp-up phase specimens. We
21 estimated differences in the viral load at cutoff, using a
22 longitudinal regression method--I am sorry, that is not
23 quite right. I think Mike's group used estimated
24 differences in viral load at cutoff, using a longitudinal
25 bivariate regression method that he talked about earlier.

1 We estimated differences in viral load at cutoff
2 for the different HBsAg assays using the WHO HBV standard.
3 We also estimated the HBsAg concentration in nanograms per
4 mL at the cutoff using our lot release panel of known
5 concentration.

6 Mike's group and the group at Westat, they
7 compared window period differences both for the NAT assays
8 and for the HBsAg assays. They used two different methods
9 for the HBsAg assays.

10 [Slide.]

11 Most important, we project the yield, the increase
12 of yield meaning how many more units are you going to pick
13 up compared to what the current tests are doing, and that
14 was based on the window period and known HBV incidence.

15 [Slide.]

16 Now, there were limitations to this study. There
17 was a limited data set of 10 samples from each of 10
18 seroconversion panels. The donor was sometimes positive on
19 the first bleed, so you couldn't do really a window period
20 difference analysis.

21 There was a limited ability to perform replicate
22 testing because of limited volume, and the HBsAg analysis
23 was based solely on initially reactive results, so there
24 were some weaknesses in it. Nevertheless, we got some very
25 interesting results.

1 [Slide.]

2 Now, what is all this about? This is a plot of
3 five members of the lot release panel containing known HBsAg
4 concentration in nanograms against the sample to cutoff
5 ratios that were obtained when we actually did the testing.
6 So, this is 1 nanogram, this is 0.9 nanograms, this is 0.5
7 nanograms, and down here we have 0.04 and 0.02 nanograms.
8 Then, to estimate the HBsAg concentration at cutoff, there
9 is the sample to cutoff ratio at 1, at cutoff, go across
10 here to the y axis, and you get a result of 0.18 nanograms
11 per mL for this particular assay. We coded all these
12 assays.

13 [Slide.]

14 Using those curves that I just showed you, we
15 estimated the HBsAg nanogram at cutoff for all these
16 different tests, and what you see here is a comparison of
17 the seven procedures.

18 I have coded it, and I should say that it was very
19 important to the manufacturers that we did code everything,
20 otherwise, they wouldn't have taken part in the study. But
21 just going through this, these results you will see sort of
22 replicate themselves sort of in many of these studies.

23 A and B sort of are down here, and F and G are
24 sort of up here, not so, you know, they don't pick up as
25 well as these, and D, E, and C sort of change around a bit.

1 I should say that these are unlicensed, this is a licensed,
2 this is unlicensed, these are all licensed procedures.

3 [Slide.]

4 This is the estimated viral load at cutoff of the
5 different HBsAg tests. This was done by plotting the sample
6 to cutoff ratio against the WHO dilutions, and these are
7 International Units per mL at the cutoff. We use a
8 conversion factor of 2.5, I believe, and here again you can
9 see that the order of sensitivity, if you will, is sort of
10 very similar to the nanogram concentration at cutoff.

11 [Slide.]

12 We are coming now to Mike Busch and the Westat
13 data. This is a comparison of HBsAg assays for detection of
14 pre-ramp-up and ramp-up samples. This column here, well,
15 here are the coded HBsAg procedures. This row here just
16 shows the number of actual samples in the ramp-up phase that
17 were detected, and you can see it is just 1, you know, sort
18 of just 10 percent, well, just 1 out of the 10.

19 This is the number of samples that were detected
20 in that ramp-up phase in the two-three day time period, and
21 out of a total of 90, I think for this it is a little bit
22 different because--but, anyway, what you can see is, is that
23 there is an order here again. It actually goes through the
24 alphabet here, from most pickup to least pickup of these
25 HBsAg assays.

ajh

1 What we have here is the viral load at sample to
2 cutoff ratio. This was done by Mike Busch and the Westat
3 group. It is very, very slightly different to ours, and it
4 is different in sensitivity, compared to this here.

5 What you see is that the viral load for the
6 procedure A is at 568 at cutoff, viral load is 568, and here
7 at G, it is 10,000 about.

8 [Slide.]

9 This is an illustration of the last column of the
10 previous slide. Here you can see we have put in the
11 confidence, the variation of confidence intervals here. Of
12 course, they do overlap quite a bit, but nevertheless, you
13 can see that there is a difference between sample to cutoff
14 ratio at 1 cutoff, between the licensed tests and the
15 unlicensed tests. Note that this one here is actually, this
16 licensed one is actually quite getting to be similar to the
17 unlicensed sensitive assays.

18 [Slide.]

19 I am not going to dwell on this one. It is this
20 longitudinal bivariate analysis done by Michael Busch. What
21 is important to note is that using this, you can get the
22 window period differences, and the window periods have been
23 estimated from the doubling time and the viral load at
24 cutoff model.

25 What is important to realize is that this window

1 period between the most and the least sensitive HBsAg
2 assays, here at 11.45 days, the important point here is that
3 it is a quantitative estimation, if you just keep that word
4 "quantitative" estimation, done by the doubling time, this
5 again shows the most and least differences at serum to
6 cutoff ratio, at cutoff, and this is the 10,000 cutoff
7 assay. It is assay G, I think, and this is the cutoff by
8 assay A.

9 [Slide.]

10 Now, this analysis here, the window period
11 reduction by days, by the newer HBsAg assay as compared to
12 the current licensed assays, and what you need to know here
13 is that this is a qualitative way of estimating window
14 period differences.

15 What it is saying is was the sample positive or
16 negative, when did the sample become positive in one test
17 compared to another test. A positive value here, this
18 window period difference here in this row here, a positive
19 value represents window period reductions by the new
20 unlicensed assays versus the licensed assays.

21 What you see here is that this unlicensed A
22 precedes by 12.2 days to D detecting a test, and here it is
23 15 days. This is picking it up earlier by 15 days, and this
24 one here isn't picking it up as early.

25 We put in the standard errors, and, well, they are

1 kind of large, but it does show you basically that the
2 unlicensed assays do pick up--some of the unlicensed assays
3 do pick up quite a few days earlier than the licensed
4 assays.

5 [Slide.]

6 This is a comparison of the NAT assay detection of
7 the pre-ramp-up and ramp-up HBV viremia. Just note that, on
8 the whole, the single unit--I should say that these are the
9 three manufacturers that tested, used their test on a single
10 unit sample--and note that single unit, on the whole, is
11 higher than when you do plasma testing. I will come back to
12 this.

13 It is the same sort of idea here. The number of
14 ramp-up units that is picked up by the single unit as
15 compared by the pool testing is much larger.

16 Now, this is very interesting data. As I said,
17 the plasma pool testing, the pools are much larger. They
18 are either 512 or 1,200, and these pools are much smaller,
19 so you would think that this would pick up more than that,
20 but particularly here, this is picking up this one here,
21 this test here is picking up a lot more--well, I guess the
22 numbers are small--but it is picking up more units than this
23 one, and this one isn't picking up any, despite the fact
24 that these two are smaller, they are more diluted than this
25 here. You see something similar here, as well.

1 [Slide.]

2 This analysis is the window period reduction in
3 days by the NAT assays compared to HBsAg assays. The best
4 way to look at this is--I wish I had time to do this, to put
5 in a thick line here and a thick line here--if we go across
6 A and B, if you compare A and B, which are unlicensed
7 sensitive tests, if you compare that with the single unit
8 NAT, these numbers are positive, so that means that the
9 single unit NAT is picking up quite a few days before even
10 the more sensitive tests.

11 When you go down here, it is quite clear that the
12 single unit NAT is picking up samples about a month before
13 the currently licensed tests. These are currently licensed
14 tests.

15 Now, when you move over to here and look at the
16 pooled NAT, what you see here is really very, very
17 interesting. You see here that in the plasma format, here
18 is a negative number, one of the whole blood formats is
19 definitely a negative number.

20 This is really saying that this test is picking
21 up, this HBsAg assay is picking up before the pooled NAT
22 assays. This slide also shows that the whole blood assay is
23 sort of less sensitive or picks up later than the larger
24 plasma pools.

25 What I would say is that here, well, this seems to

1 show that sort of pooled NAT is sort of on par with the more
2 sensitive assays under development, and this quadrant here
3 seems to show that pooled NAT is picking up some more, is an
4 improvement compared to the currently licensed assays.

5 [Slide.]

6 Now, this is the relationship between HBV window
7 period differences and the actual yield of HBV-infected
8 whole blood donations, and it is based on the REDDS HBV
9 incidence rate, which is at 5.1 per 100,000 persons a year,
10 and so what this means is that with the window period
11 difference of 1, you get a yield over and above current
12 tests of 1.4 donations per 10 million, and the window period
13 difference in days of 30, you get a yield of 42 units over
14 current testing.

15 [Slide.]

16 Now, what is the benefit of the new HBV detection
17 methods? Well, using the previous table, the window period
18 reduction in days compared to current assays, if you use the
19 new HBsAg tests, you get a window period reduction of
20 between 11 to 15 days, with a yield of 15 to 21 donations
21 per 10 million.

22 Pooled NAT would bring you a window period
23 reduction of 9 to 11 days, with a yield of about 13 to 15
24 donations per 10 million, and the single unit NAT would give
25 you a window of 25 to 36 days, and a yield of 35 to 50

1 donations per 10 million.

2 [Slide.]

3 So, the conclusions are that this empirical study
4 sort of agrees with the previous modeling studies that have
5 been described. There are definitely differences in
6 sensitivity between licensed and some of the newer
7 unlicensed HBsAg assays.

8 These differences appear to correlate with
9 estimated viral burden at cutoff and to translate into a
10 diminished window period of 11 to 15 days, which would give
11 you an increased yield of 18 units, about 18 units per 10^7
12 donations.

13 [Slide.]

14 The sensitivity of the newer HBsAg assays is
15 comparable to pooled HBV NAT.

16 Single unit HBV NAT reduces the window period by
17 about 20 days compared to the newer HBsAg assays and pooled
18 HBV NAT assays, and that translates into an increased yield
19 of about 15 units per 10 million.

20 Lastly, the 25 to 36 days compared to the current
21 HBsAg assays with an increased yield of 42 units per 10
22 million assays.

23 [Slide.]

24 I would just like to acknowledge all these people.
25 There are some people missing on here. John Finlayson, Ed

1 Tabor, Jay Epstein, Hira Nakhasi sort of cut through, made
2 things very clear, made me see the wood for the trees.

3 Dr. Hsia did the work on the nanograms at cutoff
4 and the viral load at cutoff. Guang Gao and Elliot Cowan
5 helped me with the PowerPoint. Mike Busch, Megan Laycock,
6 David Wright, and George Schreiber did those wonderful
7 modeling studies, and George Nemo and Paul McCurdy were in
8 on the overall planning at an early stage.

9 So, thank you very much.

10 DR. NELSON: Thank you, Dr. Biswas.

11 Any comments, questions? Yes.

12 DR. BOYLE: I just have a technical question.

13 When you are using the multiple samples from the same donor,
14 are they treated as independent samples when you are
15 creating confidence intervals?

16 DR. BISWAS: They are treated separately, yes.

17 DR. BOYLE: But I mean basically, are you assuming
18 independence when you are calculating the confidence
19 interval?

20 DR. BISWAS: I would have to ask David Wright to
21 answer that one.

22 DR. WRIGHT: David Wright from Westat.

23 The qualitative analysis that Robin was talking
24 about, it is the 10 panels. We are looking at the time
25 until they seroconvert, so the data analysis is only looking

1 at the 10 days and looking at them independently, so the
2 sample size is very small, but we did find some interesting
3 results.

4 DR. SMALLWOOD: Mr. David Wright is from Westat
5 and he is a guest of the committee, so that questions from
6 the committee may be directed to Mr. Wright.

7 DR. NELSON: Mary.

8 DR. CHAMBERLAND: Robin, did you want to comment -
9 in the slide where you presented results looking at the NAT
10 assay, comparing detection in the pre-ramp-up and the ramp-
11 up phases, you made a comment that it was perhaps surprising
12 that the plasma pools being much larger, one would think
13 that they would not be as sensitive at picking up evidence
14 of HBV viremia compared with whole blood, but, in fact, I
15 don't want to say the opposite, but they don't appear to be
16 that different.

17 Do you want to comment on why that might be?

18 DR. BISWAS: Well, when you do these NAT studies,
19 I mean the sensitivity depends also on, you know, when you
20 get these results, it depends, of course, on the dilution of
21 the actual sample that you are getting, but it also depends
22 on the amount of material that you are actually processing.

23 What I am saying is basically is that the plasma,
24 in particular, that plasma assay, they seem to be processing
25 large volumes of the sample compared to the whole blood

1 assay. I mean it is something that we are sort of
2 counterintuitive at first, but it is due to the volume, the
3 intrinsic sensitivity of the individual assays that are
4 being used.

5 DR. CHAMBERLAND: So, just to make sure I get
6 this, so, in the large plasma pool, you know, 500-plus,
7 individual components in these pools, in point of fact there
8 is more per-sample input than in the whole blood smaller
9 pools.

10 DR. BISWAS: Yes, that is right.

11 DR. CHAMBERLAND: Less concentrated or whatever.

12 DR. BISWAS: Right. That is certainly one of
13 them, yes, I agree, yes, that is correct.

14 DR. LINDEN: Based on historical experience with
15 other new tests, is there any possibility of giving any sort
16 of ballpark estimate of when these new unlicensed surface
17 antigen assays might be able to be licensed?

18 DR. BISWAS: I am sorry, Jeanne, the answer is
19 kind of no. I wish I could, but no, I don't know.

20 DR. ALTER: It seems to me in all my years of
21 coming to this meeting, I have never seen such definitive
22 data, and it is clear that the new surface antigen assays
23 are better than the old, that the pooling is not a big
24 advantage over the new assays, and that single donation will
25 give you some advantage, single donation testing.

1 One question I have is whether these data can be
2 analyzed now for anticore assay, and I hope that were we to
3 use the more sensitive surface antigen tests and some NAT
4 format, use those two in combination, could we drop anticore
5 testing. One would have to look, not only at the
6 seroconversion panels, but also chronic low-level carriers,
7 but are those kind of things being done with the same panel?

8 DR. BISWAS: Well, you know, we have to keep
9 things simple. I mean as it was, it is quite complex, it
10 was a very complex study and we wondered whether we should
11 include sort of the anticore angle, and we decided not
12 because we thought it would just make things more
13 complicated, but you are absolutely right, I think that the
14 more sensitive assays, more sensitive HBsAg assays and
15 pooled NAT and single unit NAT, certainly single unit NAT,
16 how will that impact on HBsAg testing and anticore.

17 You know, that is certainly something that we all
18 need to look into, yes.

19 DR. ALTER: It would be very easy to tack onto
20 this study.

21 DR. BISWAS: Yes.

22 DR. ALTER: Jay says if the samples exist.

23 DR. BISWAS: Yes, those samples are getting
24 smaller and smaller.

25 DR. NELSON: Thank you.

1 We are a little bit behind, but not too bad. Now
2 is the open public hearing, and there are five people who
3 have requested to speak.

4 The first is Dr. Mary Koontz from Abbott Labs.

5 DR. KLAMYRNSKI: I am sorry. This is Matt
6 Klamyrnski from Abbott Labs. In the interest of time we are
7 going to decline to present. Dr. Koontz's presentation
8 complements both Dr. Stramer and FDA's presentation. You
9 all have a copy of it. So, in the interest of time, we will
10 decline. Thank you.

11 DR. NELSON: Thank you. The second is Dr. Bruce
12 Phelps from Chiron. Is Bruce here?

13 DR. PHELPS: I am pleased to have this opportunity
14 to address the committee on a topic of utmost concern and
15 importance, the safety of the nation's blood supply.

16 My name is Bruce Phelps, Vice President of
17 Research and Development for Blood Testing, Division of
18 Chiron Corporation, a leading biotechnology company
19 committed to maintaining blood safety throughout the U.S.
20 and the world.

21 I would like to first direct my remarks to the
22 data presented here this morning by Dr. Busch, Dr. Stramer,
23 and Dr. Biswas, and to the impact these and other findings
24 will have on immediate and future blood screening standards.

25 The data appear to indicate what we have

1 maintained for some time, that NAT pool testing is at least
2 as effective at closing the infectious window as the most
3 sensitive antibody or antigen tests. Without compelling
4 evidence to the contrary, the committee may want to weigh
5 other considerations that enter into the equation prior to
6 rendering its decision.

7 Either way, Chiron is prepared and willing to
8 undertake a course of action that is consistent with the
9 committee recommendation on the current role of HBV NAT. As
10 to the future of HBV nucleic acid testing, however, we
11 believe that there are clear indications that a decrease in
12 pool size, instrument upgrades, and improved technical
13 execution among other advances will ultimately result in
14 superior sensitivity for NAT versus surface antigen testing.

15 As the committee is well aware, the blood testing
16 industry, FDA, and academia alike have always been defined
17 by their commitment to elevate screening standards with new
18 and improved technologies.

19 The committee will recall that U.S. FDA policy
20 under Dr. Kessler directed manufacturer and encouraged blood
21 establishments to implement leading edge technology, to
22 decrease the window period during which a donor is
23 infectious, but found non-reactive by currently licensed
24 screening methods.

25 Prior to that directive, and since, we have

1 witnessed a steady technological progression including
2 monoclonal antibody and antigen-based technologies that has
3 significantly improved the safety of the nation's blood
4 supply, and it is clear that NAT is the next technological
5 innovation in the area of blood screening safety.

6 Numerous scientific studies have demonstrated that
7 NAT reduces the window periods of detection in HIV and HCV,
8 and the data presented today suggests that that remains true
9 for HBV NAT, as well. In fact, recent investigations
10 indicate that genomic NAT, when used on individual donor
11 samples, may close the HBV window by 50 percent or
12 approximately four weeks when compared to currently
13 available tests.

14 Moreover, the National Heart, Lung, and Blood
15 Institute of the NIH has contracted with our partner, Gen-
16 Probe, to develop NAT testing assays and automation.
17 Combined, these factors have led to the development of NAT
18 as the new world standard in blood screening technology and
19 offer the promise of providing Americans with a blood supply
20 that is safer from risk of HIV, HCV, and HBV transmission.

21 Chiron is committed to leading the way to
22 substantial improvement in blood screening. We are
23 currently involved in the development of what we believe
24 will be the gold standard in blood testing, a fully
25 automated triplex assay that will allow single blood

ajh

1 donations to be screened for HIV, HCV, and HBV in one
2 nucleic acid test.

3 Such a system will offer unprecedented levels of
4 sensitivity while providing additional economy and utility
5 to our customers and their beneficiaries, but this will take
6 time.

7 Chiron is preparing to supply the country with HBV
8 NAT testing and would seek to do so with an effective and
9 calculated implementation plan. In the interim, however, we
10 can confidently support the continued use of the most
11 sensitive HBSAg assays until such time that minipool or
12 individual donor NAT can be fully implemented.

13 When the safety of the nation's blood supply is at
14 stake, we all carry a responsibility to provide not only the
15 best product available, but also the best strategy for its
16 introduction.

17 Chiron remains committed to the ideals of this
18 committee and today publicly presents its offer of
19 partnership and cooperation.

20 Thank you, Mr. Chairman, and members of the
21 committee for your attention. At this time I would be happy
22 to answer any questions.

23 DR. NELSON: Questions? Thank you.

24 The next speaker is Dr. Louis Katz from the
25 American Association of Blood Banks.

1 DR. KATZ: Thank you, Mr. Chairman.

2 The AABB is the professional society for over
3 8,000 individuals involved in blood banking and transfusion
4 medicine and represents roughly 2,000 institutional members
5 including community and Red Cross blood collection centers,
6 hospital-based blood banks, and transfusion services as they
7 collect, process, distribute, and transfuse blood and
8 components and hematopoietic stem cells.

9 Our members are responsible for virtually all of
10 the blood collected and more than 80 percent of the blood
11 transfused in this country. For over 50 years, the AABB's
12 highest priority has been to maintain and enhance the safety
13 and availability of the nation's blood supply.

14 AABB is happy to provide its perspective on the
15 specific issue related to HBV transmission by blood products
16 and the broader issue of test selection for the improvement
17 of blood safety.

18 We have heard very well derived comparative data
19 that should allow the committee rational consideration of
20 the utility of NAT screening of whole blood donors for
21 window period infection with HBV. AABB will cooperate
22 eagerly and in a timely manner with the orderly
23 implementation of these technologies when appropriate assays
24 are available.

25 More generally, we support the application of

1 sensitivity standards across the various donor screening
2 platforms being considered for implementation now and in the
3 future.

4 Test selection should be based on equivalent or
5 greater sensitivity, and not the specific technology being
6 used. Assuming that an assay for HBsAg can be shown to
7 provide equivalent detection of potentially infectious
8 donors to a nucleic acid-based test, there is no a priori
9 reason to mandate exclusive use of the latter. Of course,
10 if greater sensitivity and specificity are demonstrated,
11 these considerations should drive the decision.

12 Considerations of specificity, logistics, and
13 resolution, among others, should drive the choices among
14 equivalently sensitive assays. We believe that the FDA can
15 play an important facilitating role in adoption of this
16 philosophy in the international blood community.

17 With regards to the specific questions posed to
18 the question, we support lowering the lower release
19 standard, and have no position on the question regarding two
20 sensitivity standards for different indications.

21 DR. NELSON: Thank you. Any questions?

22 The next speaker is Dr. Celso Bianco from
23 America's Blood Centers.

24 DR. BIANCO: Thank you. ABC is an association of
25 75 not-for-profit, community-based blood centers that

1 collect nearly half of the U.S. blood supply from volunteer
2 blood donors. We thank FDA CBER for the opportunity to make
3 public comments before the Blood Product Advisory Committee.

4 We commend FDA, Dr. Busch, Sue Stramer, the assay
5 kit manufacturers, and all the individuals that contributed
6 to the data that is being presented here.

7 The comparative approach provides us with the
8 means to assess each assay on its own merits in terms of
9 reducing the windows of seroconversion for hepatitis B
10 infection and the potential risk that donors in the
11 infectious window represent for the safety of the blood
12 supply.

13 The data also show the substantial improvement
14 that new technologies bring to the donor screening process.
15 ABC hopes that manufacturers and FDA will work together to
16 assist collecting facilities in implementing blood donor
17 screening for HBV DNA and for the newer technologies that
18 were presented today. As this process evolves, ABC members
19 request that CBER and the committee take into account some
20 important issues.

21 The introduction of a new screening test is more
22 complex than the measurement of benefits achieved by
23 improved sensitivity. We respectfully request that CBER
24 work closely with test manufacturers and scientists in the
25 field to assess the impact of the introduction of HBV NAT on

1 sample pooling schemes, automation, software, and the
2 availability of short-dated products like platelets.

3 We also request that these assessments include
4 donor management and reentry algorithms for whole blood
5 donations as opposed to source plasma in the sense that they
6 recognize the importance of blood donors and the deleterious
7 impact that unwarranted deferrals have on the volunteer
8 donor base.

9 We also urge CBER to establish consistent
10 sensitivity standards, and we see that this is happening,
11 for HBsAg, HBV NAT, and other technologies. We believe that
12 this approach is more rational than the one that is taken by
13 some European organizations that recommended screening of
14 plasma used for further manufacture by HBV NAT without a
15 clear focus on assay sensitivity, pool sizes, or whole blood
16 donations.

17 Some U.S. derivative manufacturers have initiated
18 HBV NAT screening under similar research protocols. We
19 believe that consistent sensitivity standards assure safety
20 regardless of technology. We believe that the focus on
21 whole blood donations and apheresis will guarantee assay
22 configurations that ensure the availability of short dated
23 products.

24 Rapidity and sensitivity are less critical for
25 products based on source plasma because the starting

1 materials are stored frozen and the final products are
2 virally inactivated.

3 We understand the difficulties associated with
4 sensitivity standards because of the not infrequent
5 dissociation between levels of HBsAg and HBV DNA, and the
6 occurrence of internal deletion variants of HBV. However,
7 we believe that these difficulties can be overcome and that
8 these standards will guarantee the introduction of assays
9 that really enhance the safety of the blood supply.

10 Regarding the questions to the committee, we have
11 a revised comment that we passed to the committee because it
12 just includes the answers to the questions.

13 Should FDA change lot release specifications? Our
14 members say yes. We see this as an effective way to improve
15 the donor screening process as technology improves.

16 Should FDA set two separate standards, one for
17 plasma for further manufacture and a different one for whole
18 blood and components? We have a little bit of trouble with
19 that. We suggest an answer no, because we believe the
20 public will not accept less stringent criteria for plasma
21 for further manufacture than for whole blood.

22 In closing, we want to emphasize the commitment of
23 ABC member centers to the introduction of HBV screening by
24 NAT in the future. Our recent experience with the
25 introduction of NAT screening for HCV and HIV shows that

1 this can be done.

2 We are committed to blood safety, but we are also
3 committed to the preservation of the supply of safe
4 volunteer blood donors to all patients in need, because no
5 blood we believe is a real risk, not a theoretical risk, and
6 it threatens patient care.

7 Thank you.

8 DR. NELSON: Thank you. Any questions for Dr.
9 Bianco?

10 The final speaker is Dr. Paul Holland from the
11 Sacramento Blood Center.

12 DR. HOLLAND: I would like to present some data
13 from Japan which are germane to this discussion. You have
14 the one data slide which is shown there both in your hands
15 and it is on the web.

16 [Slide.]

17 In essence, Japan, as you heard, began testing
18 using NAT several years ago. On the bottom line, compared
19 to the RPHA, which is their hemagglutination test, they
20 perform the NAT after screening for this.

21 What you see is when they were using pools of 500
22 on the bottom line there, then, they were able to identify 5
23 NAT positive units. They subsequently switched to a 50-pool
24 size and their sensitivity is 100 copies per mL, so with a
25 50-pool size, any sample would have to have at least 5,000

1 copies per mL.

2 Looking at a little more than half a million
3 donations, what you see is they picked up 68 NAT positive
4 units that were missed by their current licensed test.

5 I think what is important about this slide is that
6 67 of the 68 were picked up by a chemiluminescent assay, and
7 sort of fitting with what was said earlier, some of these
8 are window period donations, but some are low level viremic
9 carriers. In fact, in their population, the majority were
10 these.

11 So, the point of this slide is that the newer
12 methodologies can pick up most of the NAT positives when you
13 compare it to a pool of 50. So, I think this emphasizes
14 with real world testing of hundreds of thousands of
15 donations, that pooled NAT is approximately equivalent to
16 some of the newer unlicensed technologies.

17 [Slide.]

18 These are the investigators in Japan or at least
19 two of the three that provided these data.

20 [Slide.]

21 This is the machine, the Prism that they used to
22 pick up those additional ones.

23 [Slide.]

24 This is Dr. Nuchprian from the Thai Red Cross.

25 [Slide.]

1 This is dated August of '96, and you see there
2 they have the Prism in place there to pick up those HBSAg
3 positives.

4 Thank you.

5 DR. NELSON: Thank you, Dr. Holland. Any
6 questions or comments?

7 Presumably, the ones that were low level positive
8 would currently be core antibody positive.

9 DR. HOLLAND: Yes. It is interesting, they
10 actually use a core test there, but they use it on a titer
11 level, so these escaped. They obviously didn't have high
12 titer anticore because they escaped that test. They had low
13 levels of anticore.

14 DR. STRAMER: I don't have a comment for Dr.
15 Holland, but I think there was one more comment from the Red
16 Cross that may have got missed in your list for the open
17 public hearing.

18 DR. NELSON: You wanted to comment?

19 DR. STRAMER: I don't, but Dr. Rebecca Haley will.

20 DR. NELSON: I am sorry.

21 DR. HALEY: Mr. Chairman and members of the
22 committee, I am pleased to be allowed to discuss the
23 American Red Cross position regarding the comparative
24 sensitivity of hepatitis B virus screening tests including
25 tests for hepatitis B surface antigen and NAT and their

1 impact on the safety of the nation's blood supply.

2 I am Rebecca Haley and I am the Chief Medical
3 Officer for the American Red Cross. The safety of the blood
4 supply and the patients we serve is the number one priority
5 of the Red Cross. Although transfusions in the United
6 States are safer today than ever before, the Red Cross is
7 committed to further improvements in donor screening through
8 the use of ever more sensitive tests.

9 Currently, blood establishments in the United
10 States screen for HBV by two methods. Hepatitis B surface
11 antigen tests screen for HBV by identifying the presence of
12 the HBV coat or antigen as the first detectable marker of
13 HBV infection.

14 Additionally, blood establishments use the
15 hepatitis B core antibody test to detect samples in the
16 anti-HBC window period which is the time between the
17 disappearance of the detectable hepatitis B surface antigen
18 and the appearance of anti-HBs, the antibody that confers
19 immunity.

20 HBV can cause inflammation of the liver, making
21 some people acutely ill. Most individuals recover
22 completely and test negative for HBsAg within four months,
23 but a small percentage become chronic carriers.

24 Presently, tests licensed by the Food and Drug
25 Administration to detect HBV in donors are generally not as

1 sensitive as those that are commercially available in Europe
2 and in Japan, as Dr. Holland showed us.

3 The Red Cross has provided data to the FDA that
4 demonstrates certain unlicensed tests for HBV screening are,
5 in fact, more sensitive than the currently licensed tests.
6 These include next generation HBsAg and NAT.

7 Red Cross data indicate that the next generation
8 of HBsAg tests may be as sensitive as research-based pooled
9 NAT tests, depending on the pool size and the sensitivity of
10 the NAT method used. From a current good manufacturing
11 perspective, the Red Cross believes that next generation
12 HBsAg testing is the preferred method since pooled NAT, at
13 present, is not available for use in the whole blood banking
14 environment and the NAT processes introduced under IND lack
15 essential process control features to ensure that errors are
16 minimized.

17 Furthermore, NAT is presently labor intensive and
18 lacks automation, resulting in the potential for human
19 errors. The most important issue, however, is that pooled
20 NAT will not identify the vast majority of HBsAg negative,
21 HBV DNA-positive samples, most of which may have very low
22 viral loads.

23 Until such time as there is an available NAT
24 method with adequate sensitivity that will likely involve
25 individual donation testing, the Red Cross is committed to

1 taking steps to improving blood donors for screening for
2 HBV. Next generation HBsAg testing is such a step.

3 The Red Cross and the FDA data presented here
4 today on the use of the next generation HBsAg represent an
5 improvement that would increase detection and shorten the
6 HBV window period. The American Red Cross calls upon the
7 FDA to move expeditiously to license these more sensitive
8 testing methods.

9 Furthermore, we urge manufacturers to conduct
10 additional research and development on HBV NAT to automate
11 this testing. These steps will further enhance the safety
12 of the blood supply for the patients we serve.

13 Thank you for the opportunity to provide the views
14 of the Red Cross on this important topic and I would be
15 happy to answer any questions you may have.

16 DR. NELSON: Thank you, Dr. Haley.

17 Toby?

18 DR. SIMON: Dr. Haley, do you have a position from
19 the Red Cross on the two questions to the committee?

20 DR. HALEY: No. The AABB covered that for us, and
21 we certainly have contributed to the AABB statement.

22 DR. SIMON: No position, I believe.

23 DR. NELSON: Are there any other questions or
24 comments?

25 Why don't we take a break. It is now 18 minutes

1 of 11:00. Why don't we take a break until 11:15 and then we
2 will reconvene.

3 **Open Committee Discussion and Recommendations**

4 DR. NELSON: This session is for open committee
5 discussion and to discuss specifically the data presented in
6 relation to the questions asked of the committee by the FDA.

7 DR. NELSON: Dr. Biswas, can you ask us our
8 questions?

9 DR. BISWAS: Question 1. As tests for HBsAg
10 continue to increase in sensitivity, should FDA change the
11 lot release specifications for licensed HBsAg tests in
12 regard to lower limits of detection?

13 The second question. Inasmuch as products from
14 pooled plasma undergo validated viral inactivation/removal
15 steps during their manufacture, whereas whole blood and
16 components are not subject to such steps, should FDA set two
17 separate standards for the lower limits of detectability of
18 HBV DNA in individual donations: one standard for plasma
19 for further manufacture and a different standard for whole
20 blood and components?

21 DR. NELSON: Are there comments by the committee
22 or questions to Dr. Biswas about the questions? I think the
23 questions are fairly clear. Yes.

24 DR. BOYLE: Could I just ask how Question No. 1
25 would work, or phrased differently, if the FDA changed the

1 lot release specifications for licensed tests, what would
2 then happen, they would have to recertify themselves or--

3 DR. NELSON: Presumably, some or maybe many of the
4 currently licensed tests would not meet the specifications.

5 DR. BOYLE: And they would then go off the market.

6 DR. NELSON: Presumably, unless--

7 DR. BISWAS: Those lots would not be released.

8 DR. NELSON: Unless we voted yes to the second
9 question in which case they could be used for pooled plasma
10 products. I think it is a good question in the sense that
11 there may be other factors that determine whether or not the
12 tests that meet the specifications and are used, are a
13 pooled NAT assay, a single unit NAT assay, or a more
14 sensitive surface antigen test, and I think that kind of
15 makes sense to me to question the committee about the
16 standard rather than the test because, as has been pointed
17 out, there are other things and how quick you can get the
18 results and the pooling and the performance, and maybe other
19 things that relate to which specific test, by which specific
20 blood collection facility is used.

21 So, that is the way I understand the question at
22 the moment.

23 DR. KOERPER: What is the present lot
24 specification for lower limit of detection, and are we being
25 asked to set a new lower limit or just to say that we would

1 like the FDA to lower it? What is the present one?

2 DR. NELSON: We are not setting a unit today, but
3 I think philosophically, saying as the tests show good
4 performance, et cetera, that they should be.

5 DR. BISWAS: Yes, that is right, Dr. Nelson. The
6 current sort of set limit is that they have to detect 1
7 nanogram per mL.

8 DR. NELSON: So, the limit from some of the data
9 that was presented could be a log or approximately a log
10 lower depending on what the performance was.

11 DR. BISWAS: Well, if one went to 0.1 nanogram,
12 that could introduce a very difficult situation, of course.
13 I mean what we are going to do, in fact, is pour over the
14 data. I mean this was very much an interim analysis because
15 we haven't used all the HBsAg tests, all the procedures that
16 are available, and one would also have to take into account
17 the impact that a lower limit would have.

18 DR. EPSTEIN: I just wanted to clarify why we are
19 asking this question. What we are really saying is, is the
20 committee sufficiently impressed by the apparent advancement
21 in technology, such that it would warrant a new era where
22 companies whose products could not pass a revised panel
23 would have to reengineer their products or not sell them.

24 What you have seen is that with these limited
25 numbers of samples tested and limited replicates, but a

1 series of data from different sources, that there are some
2 assays in the pipeline that would appear to have 5-fold or
3 10-fold improvement in sensitivity.

4 So, we are asking is that enough to warrant
5 changing the era of what FDA will accept.

6 DR. NELSON: All right. There was impressive data
7 on improved sensitivity both by NAT and surface antigen.

8 DR. KOERPER: How does 1 nanogram per mL equate to
9 viral particles per mL?

10 DR. BISWAS: I just don't have it at the top of my
11 head, unfortunately.

12 DR. NELSON: The other issue, too, is that Dr.
13 Busch showed us that during the ramp-up in seroconversion
14 phase, there was a pretty parallel after the adjustment
15 between the DNA and the surface antigen, but I think that
16 may not be the case at different times in the natural
17 history of the infection.

18 Obviously, with core being licensed that maybe it
19 isn't as much an issue, but if later the consideration was
20 to drop the core testing, then, there might be a
21 reconsideration. We may have to see what would be
22 appropriate at that stage, but that is not really the
23 question right now with the current situation, it is just
24 should we change the standard given the data presented.

25 DR. SCHMIDT: Could we translate this question

1 into sort of a summary of what we saw, how many cases of
2 hepatitis prevented by going to the 0.5, for example?

3 DR. BISWAS: Well, what I had said in the last
4 slide was that if one moved to more sensitive HBsAg tests,
5 the yield would be 15 to 21 per 10,000 donations--10
6 million, I am sorry, 10 million, 15 to 21 if we went to the
7 newer HBsAg tests, you know, the newer HBsAg tests being
8 sort of in the ballpark of 0.2 to 0.1.

9 DR. NELSON: On the other hand, I still think the
10 advantage is that it does provide a better margin of safety,
11 and we have certainly had changes in the demographics of the
12 population, such that we can't assure that the risks in the
13 donor population 10 years from now will be the same as it is
14 now, so if all is equal, a more sensitive and equally
15 specific test I think would certainly be an advantage, at
16 least that is the way I read it.

17 I think that acute hepatitis B infection from a
18 blood transfusion, whereas, you know, it may sometimes be
19 benign, it isn't always. In a hospital that I worked at 15
20 years ago, a laboratory worker acquired acute hepatitis B
21 from a blood sample. She worked in the biochemistry
22 department. She got acute hepatitis and died.

23 You know, this can happen, and I think it is a
24 potentially serious and very preventable infection both with
25 screening and with vaccine. I don't see a down side to

1 changing the licensure standard toward a more sensitive
2 assay at this point since it is feasible.

3 DR. BOYLE: Just one observation I would like to
4 make from the data, and that is, I think it was a very
5 impressive presentation, I thought it was a good study that
6 was presented, but when we are looking at differences
7 between products where in one particular case there is a
8 window period difference of 12 days, but it is not
9 significant because of small sample size and variability,
10 yet, you have got another one where the window period
11 difference is two days and it is significant because of
12 different levels of variability, then, I certainly hope we
13 have big enough samples to be able to make determinations of
14 real differences between products.

15 So, I am very glad we are not making the decision
16 of what the level is, and I certainly hope that caution is
17 exercised with changing those levels, but I think the data
18 presented certainly indicates that we are moving in a
19 different direction.

20 DR. NELSON: Presumably, in developing the
21 standard since the FDA would have to look at the data, the
22 feasibility, the products, et cetera, and the standard would
23 be in nanograms for surface antigen and be in genome
24 equivalence for a NAT assay, and that those two, if it is
25 optional, one or the other, that they would be equivalent,

1 hopefully correct.

2 DR. SIMON: I, too, am impressed by the data, and
3 I think that what I am understanding is from the comments
4 that have been made from the FDA, and from the presenters,
5 is that as we move along and some of these new assays become
6 licensed, the FDA could then institute these new lot release
7 specifications, and they are asking the committee then, when
8 this occurs, and some of the older tests are not approved,
9 is that acceptable, and I think most of us appear to be
10 weighing in on the side of saying yes, that we do see this
11 as a sea change or a generational change, or whatever
12 terminology one wants to use, it would justify changing the
13 lot release specification as new assays became licensed and
14 available.

15 DR. NELSON: I guess the other point that should
16 be made, too, is that although the numbers aren't large, if
17 you compare the estimate of how many hepatitis B
18 transmissions there are with how many HIV and hepatitis C,
19 the numbers for B are larger even though the consequences
20 may be different in the quality life years and all that kind
21 of thing, nonetheless, the numbers are larger, and given the
22 larger numbers, there can be a case like the one that I saw
23 when I was in Chicago, that can have very adverse
24 consequences.

25 Estimating what the outcome is with small numbers

ajh

1 is always a hazard, but this is a serious infection
2 irrespective of--and it is worth preventing for sure.

3 DR. KOFF: I would love to see some more data that
4 would support or possibly refute the notion that was raised
5 I think earlier about what do we know about the infectivity
6 of samples obtained in this very early phase.

7 I don't think we have a whole lot of information.
8 We have some anecdotal information, I think mainly, but this
9 is something that clearly could be studied with great
10 difficulty, obviously, in chimpanzees because of the cost
11 and limited availability, but we are calculating the number
12 of infections averted assuming 100 percent infection rate,
13 and that may be correct, but I am not sure that that really
14 is the right number.

15 DR. SIMON: Could I just address this question to
16 Dr. Koff, is that more germane to the issue of whether you
17 add NAT rather than the sensitivity of the antigen test?

18 DR. KOFF: It may well be, I am not sure. In
19 other words, if your antigen test sensitivity goes down
20 dramatically, how many of those specimens, in fact, will be
21 HBV DNA positive, and are they truly infectious? I don't
22 think I know that information.

23 DR. NELSON: There are data both from REDDS and
24 from the FACTS study, and so on, that transmission of
25 hepatitis B from current surface antigen negative units.

1 Again, in both, that was a higher rate than hepatitis C or
2 HIV using the current screening test.

3 That doesn't tell us about the pre-ramp-up phase,
4 but I mean I think we have to assume given a unit of blood,
5 now, given a product that has been through a plasma pooling
6 or something like that, it may be different, but I would say
7 given a unit of blood, I would suspect that any one in the
8 pre-seroconversion or the ramp-up or pre-ramp-up, we would
9 have to assume that there is a pretty good chance it's
10 infectious. That would be my conclusion.

11 Do you agree, Mike?

12 DR. BUSCH: Yes, and it is complex and there is
13 not a ton of data, but certainly there have been extensive,
14 well, historical titration studies where either again front
15 end sort of acute HBV viremic samples or chronic carrier
16 samples have been titered out, and those titration serially
17 transfused into chimps, and those data support a relationship
18 of about 10 genome equivalents per infectious unit.

19 Now, the numbers we are talking about are being
20 expressed as genome equivalents per mL, and the question is
21 whether, you know, the concentration is critical or the
22 absolute number of viral particles that are transfused.

23 In talking to Fred Prince about this, his
24 assessment is that it is the absolute number, that the liver
25 is an incredible filter, it will concentrate the virus no

1 matter what volume it is diluted in, so in his assessment,
2 as few as 10 genome equivalents in a transfusion is probably
3 enough to transmit.

4 The typical unit of blood, you know, red cell or
5 platelet component, will have 20 to 50 mL's of plasma, so
6 probably there is infectivity even below the limit of NAT
7 detection, and there is some suggestive data from one study
8 from the Netherlands where they detected a HBV
9 seroconverter, and they had samples back. They documented
10 the transmission from a prior donation about a month or two
11 earlier that was completely negative by HBV NAT assays.

12 Also, all the modeling that we are doing for yield
13 in the individual donation phase that would be missed by
14 minipool are only focused on the ramp-up component of that,
15 so we haven't factored in what might be additional yield due
16 to this smoldering viremia that will be intermittently
17 detected stochastically by NAT.

18 On the front end, I think that those are the
19 issues. In terms of anticore, there you often have either
20 low levels or absent surface antigen, and you can have HBV
21 DNA present in those samples. Some limited data again from
22 Fred Prince in the chimps shows that those do not transmit.
23 You have got so much complexed antibody that, in fact, the
24 infectivity in that side of the HBV infection phase probably
25 is much lower than reflected by the DNA copy.

1 DR. NELSON: I recall also that Jules Dienstag
2 reported some years ago from hospital workers with needle
3 sticks of surface antigen carriers. Now, this wasn't NAT,
4 but some of the them were actually vaccinated. They got a
5 surface antibody response, no core, no evidence of active
6 infection. But I think that probably doesn't apply to the
7 ramp-up stage where there is no neutralizing antibody and
8 that kind of thing, so that in the various stages, the tests
9 may behave differently.

10 Is the committee interested in voting on the first
11 question or do you want to discuss it some more? Okay.

12 Do you want to read it again?

13 DR. BISWAS: As tests for HBsAg continue to
14 increase in sensitivity, should FDA change the lot release
15 specifications for licensed HBsAg tests in regard to lower
16 limits of detection?

17 DR. NELSON: How many voting members would vote
18 "yes" to that question?

19 [Show of hands.]

20 DR. NELSON: "No" votes?

21 [No response.]

22 DR. NELSON: Abstentions?

23 [No response.]

24 DR. SMALLWOOD: The results of voting for Question
25 No. 1, there were 14 "yes" votes, there were no "no" votes,

1 not abstentions, and the voting strength of 14.

2 DR. NELSON: Also, the consumer and industry
3 representatives?

4 MS. KNOWLES: Yes.

5 DR. SIMON: Yes.

6 DR. NELSON: Thank you.

7 Let's move to the second question. Do you want to
8 read it?

9 DR. BISWAS: Inasmuch as products from pooled
10 plasma undergo validated viral inactivation/removal steps
11 during their manufacture, whereas whole blood and components
12 are not subject to such steps, should FDA set two separate
13 standards for the lower limits of detectability of HBV DNA
14 in individual donations: one standard for plasma for
15 further manufacture and a different standard for whole blood
16 and components?

17 DR. NELSON: I think perhaps we need to discuss
18 this question a little bit before we vote. It may be not as
19 straightforward.

20 DR. SIMON: I have tried to get the thinking of
21 industry in a position that I can state to the committee,
22 and it is a little bit divided or inconclusive, but I think,
23 on the whole, I would support the question and urge the
24 committee to support the question.

25 I think there is enough scientific information and

1 practical clinical information about the infectivity of the
2 two different types of treatment, that is, the whole blood
3 and the components versus the fractionated derivatives, to
4 indicate that it is justified to have two separate
5 standards, and one does need to lower the limit of
6 detectability of HBV DNA for plasma for further manufacture
7 given the way that product is treated and the viral
8 inactivation removal steps.

9 So, I think it is logical to have two separate
10 standards in this case.

11 DR. NELSON: Marion.

12 DR. KOERPER: On the other hand, there have been
13 many examples of slip-ups during the manufacturing process,
14 and there have been transmissions of viruses that
15 theoretically should have been destroyed by the heating, the
16 solvent detergent, and the filtration.

17 So, I would argue that the recipients of these
18 components deserve at least a safe a supply of blood as the
19 recipients of whole blood and that we should set equivalent
20 standards for both types of products.

21 DR. BOYLE: I would like to echo those comments.
22 Two pieces of information. One thing we heard today was
23 that the incidence, if I heard it correctly, of HBV in
24 plasma donors was four times as common as in whole blood
25 donors, which would lead us to worry somewhat about the

1 donors themselves, and the second thing that we usually
2 discuss at these meetings is good manufacturing practices
3 and failure of good manufacturing practices and why we
4 cannot rely on the treatment alone to make it safe.

5 So, I would certainly be wary of establishing
6 different standards for the two products.

7 DR. NELSON: Mark.

8 DR. MITCHELL: I believe that there should be two
9 separate standards because, for example, I am very concerned
10 about children with sickle cell that may receive four units
11 of blood every month or every two months from the time they
12 are babies through their adult life, and they are being
13 exposed to a lot of whole blood, and the whole blood does
14 not have the protections that the manufactured cells do, and
15 I think that it is important that we try to equalize the
16 protection of the blood and therefore, if we equalize the
17 protection, it may call for increased protection on whole
18 blood and products that do not have viral inactivation, so I
19 think that we could very easily justify that.

20 DR. NELSON: So, you are arguing for there being
21 two sets of standards?

22 DR. MITCHELL: That is correct.

23 DR. NELSON: Ed.

24 DR. TABOR: Not to detract from the importance of
25 GMPs and our prior discussions, but I would just like to