

1 November, right, was again the data base is still
2 really incomplete. We get data, we've shown data
3 today from just the NGVL, from Ken Cornetta's group
4 which is great because it gives us data, but I think
5 that Dr. Mulligan's and Dr. Chanock's points are well
6 taken. It's not every case. We don't really see the
7 whole universe and I think in the absence of really
8 getting all the data the way scientists want to see
9 it, it's not reasonable to ask the Committee to kill
10 a vector or to even make those kind of decisions. And
11 I think that you're trying. I mean that was the
12 message in November as frustrating as it was
13 sometimes, that this whole area is suffering in one
14 major way from a data search crisis. We need all the
15 data in one place. And I know that you guys got that
16 message last time.

17 DR. MULLIGAN: My message was that there
18 is no social redeeming value to that cell line.

19 (Laughter.)

20 I don't think -- there's no special
21 properties of that that I can think of that would make
22 me support it the way it appears that I am, but
23 nevertheless, I wouldn't do it right now.

24 DR. SALOMON: Okay, are we comfortable
25 with that? All right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 The next presentation is from Suzanne
2 Epstein. Dr. Epstein is going to talk about responses
3 to the FDA letter on testing of plasmids. If you
4 haven't already, obviously, looked ahead a little bit
5 here, it's -- we talked about replication competent
6 retrovirus. Now we're going to talk about plasmids.
7 Then we're going to talk about adenoviral vectors and
8 we're also then going to hear some more about
9 adenoviral infection.

10 Somewhere in the line here I've got to
11 juggle this with lunch and stay reasonably on time so
12 someone doesn't strangle me by late this afternoon.
13 I'll worry about that.

14 DR. EPSTEIN: Can you hear me? How's
15 that? I'll try and make up some time. I'm going to
16 be talking about testing of plasmid DNA when it is
17 used as an intermediate in manufacturing other gene
18 transfer gene therapy products.

19 Plasmid DAN is used in a variety of ways
20 in the manufacture of biologicals. In one extreme it
21 is the actual product administered directly to the
22 patient. In the case I'll be discussing today,
23 plasmid is used as an intermediate during the
24 lot-by-lot production process of other products. And
25 then finally at the other extreme, plasmid DNA can be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

4 status is really not very different from any other
5 reagent.

6 The goals in this area were as follows:
7 testing of plasmids used as intermediates would help
8 achieve consistency of manufacturing of the gene
9 transfer product and would prevent contamination of
10 cell cultures that are used as the product or in
11 making another vector.

12 What we decided from the March 6th
13 exercise was that we needed to clarify CBER's
14 expectations for testing of plasmids when used as
15 intermediates and also seek advice from the Committee
16 about the reasonableness of our set of recommended
17 tests.

18 So first to give you some examples of what
19 we mean by this, when plasmids are used as
20 intermediates in production, they're used during
21 production of each lot of a gene transfer product, not
22 just during deriving some kind of a construction of a
23 cell line. Some examples include ex vivo transduced
24 cells, AAV vectors and retroviral vectors in certain
25 cases, certain production schemes by transient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

(202) 234-4433

(202) 234-4433

1 used early in deriving a construct that's used for any
2 of a wide variety of purposes, but then it's a
3 reactant. . . It's not something used every time and its

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 transvection.

2 One thing we noted in the responses to the
3 March 6th letter were that sponsors were confused.
4 There was tremendous in whether or not they reported
5 on plasmids and in some cases they reported only on
6 the final product. In other cases they reported
7 surprisingly only on the intermediate and didn't, for
8 example, provide data in answer to questions 1 and 3
9 about cellular populations which in those cases may
10 have been the actual product. So anyway, there was
11 confusion as to what our expectations were.

12 So here's one of the examples. If cells
13 from a patient, this is often a patient-specific
14 population, but could be a cell line, are transvected
15 with a plasmid that contains a transgene, you then end
16 up with a cellular population expressing the transgene
17 product and this is your final material given to the
18 patient, but this vector is quite important and is
19 used every time and will have tremendous impact on the
20 consistency and quality of this production scheme.

21 Here's another example, production of AAV
22 by a certain method. This is from a paper by Grimm,
23 et al., and in case two plasmids are used, one
24 containing the vector, the intended AAV, and one
25 containing the rep and cap functions and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 adenovirus helper functions. Both are used to
2 transfect a cell line and the cell line then produces
3 the AAV. So again, the intermediate is used every
4 time and its identity and quality will have an impact
5 on the consistency of this manufacturing and whether
6 these cell cultures become contaminated.

7 There are lots of precedents for
8 regulatory scrutiny of an intermediate. First of all,
9 reagents and intermediates in general, when used to
10 produce biologicals are subject to quality control
11 testing, some of this is in the GMPs in qualifying
12 source materials and so on, and this is quite general.
13 Then specifically, the uses of plasmids I've
14 illustrated for you are analogous to use of retroviral
15 vectors when they are used to transduce cells for ex
16 vivo gene therapy and in that case the transduced
17 cells, not the retroviral vector are administered
18 directly to the patients. There are some other cases
19 where retroviral vectors are given directly to
20 patients, but the analogy here is with the ex vivo
21 case. In those cases, even though the vector is an
22 intermediate, retroviral vector preparations are
23 subject to extensive quality control testing. So what
24 I'll be talking about is nothing new.

25 What I'll do now is just throw out there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 a proposed list of quality control tests, but this is
2 for discussion purposes and is certainly open to
3 change. These would be plasmid intermediates being
4 used, as I've discussed and what I mean here is that
5 each lot of plasmid DNA that was prepared for use
6 would be tested in these ways. So this lot-by-lot
7 testing and we'll come to some one time testing.

8 Sterility is pretty obvious to avoid
9 contaminating the cell culture. Residual toxic
10 reagents such as organic solvents also could have a
11 negative impact on the cell culture. Endotoxin can
12 interfere with transvection. Then identity is
13 particularly important because of the number of
14 multi-use facilities and we've heard before the
15 discussion of mixups. This could be a variety of
16 types of tests. We're thinking of, for example,
17 restriction mapping, but I'm hoping Dr. Roessler will
18 comment on this because sequencing is a possibility
19 here also. And in a multi-use facility this might
20 include excluding contamination with particular other
21 products. Purity -- these interact -- purity could
22 include ruling out a variety of contaminants and in
23 this case might be an agarose gel electrophoresis.
24 Concentration might be absorbance. We're certainly
25 not specifying particular assays for these things.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 And then activity or gene expression can
2 be very important to know that the protein expressed
3 from the transgene is active if you're not doing a
4 full sequence here. So these types of tests are
5 proposed and the sponsor would have to establish
6 acceptance criteria which would depend partly on the
7 amounts being used and so on, what levels of endotoxin
8 might be acceptable, for example.

9 Note that an activity assay is not
10 necessarily a fully quantitated, validated assay like
11 a potency assay.

12 Next. We're proposing as a one-time test,
13 full plasmid DNA sequence and homology search for open
14 reading frames. As you probably know from the earlier
15 meeting, this type of analysis has to do with finding
16 extraneous material in the construction that shouldn't
17 be there, looking for rearrangements and so on, fairly
18 gross features.

19 This would be performed once, not
20 necessarily on every lot although we'll return to that
21 in the discussion because there are different points
22 of view there and it would be performed prior to Phase
23 I because these are small vectors and there should be
24 no problem conducting that analysis, so you should
25 know what your construct is.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Then we'd like to raise the question of
2 whether certain other tests are necessary or not for
3 plasmid intermediates. Residual E. coli DNA, RNA and
4 protein are contaminants that can matter in some
5 situations. They can indicate inconsistent
6 manufacture or a sloppiness, but they may not matter
7 if the product isn't going into patients and it's just
8 being added to a cell culture. Then a potency assay
9 is a more quantitative, formally validated assay of
10 activity and we're proposing instead only an activity
11 or expression assay. These additional tests, these
12 purity tests and potency tests are expected for
13 plasmids that are being given directly to patients, so
14 we're proposing a less stringent standard for
15 intermediates.

16 And that brings us to the questions for
17 the Committee.

18 DR. SALOMON: Thank you very much. Can
19 you do one level of clarification while everyone sort
20 of gathers their thoughts and that is you used these
21 words very clearly, potency and activity. Can you
22 maybe just --

23 DR. EPSTEIN: Give examples?

24 DR. SALOMON: Yes.

25 DR. EPSTEIN: A true potency assay would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 be a measure of what the product is supposed to do to
2 have its biological effect in the therapeutic setting.

3 An activity assay can just demonstrate
4 something the product does. To try and give an
5 example, suppose the plasmid is being used to
6 transfect lymphocytes which are then infused in the
7 patient and lymphocytes are supposed to go and kill
8 something. An activity assay might be that the
9 plasmid causes the appearance on the cell surface of
10 that protein. A potency assay might be some
11 correlative killing or an animal model in which the
12 tumor regresses, something like that. So it's much
13 more difficult to provide a potency assay and for a
14 final product for patient use by Phase III you have to
15 at least do your best. For an intermediate, we feel
16 if you are getting, for example, the proper enzymatic
17 activity of insert, that's good enough or whatever.

18 DR. SALOMON: Good. That's great. Okay,
19 specific questions then?

20 Are the quality control tests listed
21 appropriate tests to be performed on each plasmid lot?
22 Sterility. Yes? Obviously, right.

23 Residual toxic reagents, for example,
24 solvents. Now my response there is I'm not quite so
25 clear, so maybe this is where we need some discussion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Number one, it's not always so easy to assay a
2 preparation for the presence of solvents unless we're
3 talking about gas chromatography or thin layer
4 chromatography.

5 DR. EPSTEIN: There hasn't been any
6 resistance to that. Organic solvents, phenol or
7 ethanol or whatever that are used in plasmid
8 purification can be detected by means like gas
9 chromatography, very sensitively and it doesn't seem
10 to trouble people to do that.

11 DR. SALOMON: Well, okay. I'm just
12 bringing it out. Here, again, we make this segue, to
13 have a gas chromatograph I think minimum would be
14 \$75,000 to \$150,000 investment.

15 DR. EPSTEIN: They just have a firm do it
16 for you.

17 DR. SALOMON: Okay, fine. I guess the
18 other question would be if there's phenol or something
19 contaminating it, what's the concern? If I now add it
20 to my T-cells in order to deliver this potency
21 product, I'll affect the T-cells and I won't know it,
22 right?

23 DR. EPSTEIN: Right. Say there's
24 chloroform in there. You may kill your T-cells or
25 even if you didn't, you don't want to give chloroform

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to your patients.

2 (Laughter.)

3 DR. SALOMON: I certainly don't want to
4 give chloroform to the patients. I'm just trying to
5 be reasonable here. At some point, you're going to
6 wash the cells I would hope.

7 DR. EPSTEIN: Yes, but basically, the
8 reason to be concerned in the case of intermediate,
9 would be the health of the culture. It's more of a
10 consistency. In fact, all of this is more of a
11 consistency. In fact, all of this is more of a
12 consistency issue than safety. It's very unlikely
13 you'll put enough of something in to create a safety
14 hazard to the patient. It's more likely that you'll
15 kill the cells and/or mess up, contaminate your
16 production.

17 DR. SALOMON: Good. I'm fine with that if
18 everyone else is fine with it.

19 Endotoxin, I think we pretty much all
20 assume that lot testing should include endotoxin
21 testing and that is a danger and I don't want to give
22 my patients endotoxin and it's a real issue in any
23 manufacture. Is there any disagreement there?

24 Identity. Now that covers a lot of
25 ground. I hope that there's some discussion from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 group here. I'll play the stupid guy for a while
2 here, but in some way identity is important.

3 (Laughter.)

4 I'm telling you identity is important and
5 I want discussion on this one.

6 (Laughter.)

7 DR. ROESSLER: I'll take the bait there.
8 I think that there probably is a role to consider the
9 value of sequencing of lots and I think that's largely
10 on the basis of a specific transgene in the effect
11 that a specific transgene might have during the
12 production process in terms of an adverse selection
13 pressure it might exert on your E. coli producing
14 strain that would allow for mutations, rearrangements
15 or deletions to occur at a high level that might
16 affect the fidelity of your product and might affect
17 how much of your viral reagent was manufactured
18 post-transvection or how much of your transfection of
19 interest was produced post-transvection.

20 DR. SALOMON: So the last time we met, we
21 all agreed that you had to supply sequence identity
22 for plasmids under 40KB, in like 40KB or less. And
23 the interesting thing that came out today, I think
24 partly in Richard's comments was where is that
25 sequencing validation done and how often do you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to do it in order to stay within the Committee's
2 spirit that you know what's in, right? We all agreed
3 that on the way in you need sequencing because you
4 just don't know what someone helped you with and it's
5 just really hard when people are giving you little
6 vials to know exactly what's in there, right? And
7 your point is well taken that some of it's even
8 selected again by differential growth of the
9 production bacteria.

10 So we all agree on that, but how about --
11 how about at the end of production? How often does
12 one have to determine the identity? Is it only on the
13 first day when I give you the vial, you grow it up?
14 So what is an appropriate recommendation from the
15 Committee on tracking identity over time?

16 DR. ROESSLER: Well, we wouldn't expect
17 that there would be changes in the actual sequence
18 over time and is your question related to product
19 storage? For example, that you're going to make a
20 large batch of plasmids that express rep or cap and
21 that you'll need some stability assay to be performed,
22 but that doesn't necessarily have to involve
23 sequencing.

24 DR. SALOMON: I agree.

25 DR. EPSTEIN: I'm not sure you realize

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 that Dr. Roessler was proposing lot-by-lot full
2 sequence.

3 DR. ROESSLER: No, not full sequence. I
4 think we just need to consider the role for sequencing
5 of lot-to-lot production material.

6 DR. SALOMON: That's the question that was
7 asked.

8 DR. ROESSLER: I don't think that I would
9 -- I would just say that for every gene vector that's
10 being produced that restriction analysis alone is
11 going to be absolutely adequate. I think for the vast
12 majority it probably would be adequate, but I wouldn't
13 discount the added value of doing some limited
14 sequencing, primarily of the transgene insert or
15 flanking regions. I think once again it echoes Dr.
16 Mulligan's point that you have to take advantage of
17 the available technology and changes in technology.
18 Clearly, sequencing is evolved and it's become more
19 rapid and less expensive. And so whenever you have a
20 technology that is moving in that direction, it
21 represents from my perspective added value to the
22 manufacturer and to the sponsor.

23 I think the one other issue is that in the
24 academic sector, specifically, if you have a sponsor
25 who is going to be responsible for performing the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 potency assay, and that assay fails, then they're
2 going to come back to the manufacturer and say the
3 potency assay suggests that there was a problem with
4 the plasmid and as the manufacturer what data or
5 evidence can you provide to me that it wasn't a
6 problem with the plasmid. So I think it's just
7 another series of checks and balances and it may be
8 that my perspective from the academic sector is trying
9 to think through that scenario where we might send a
10 plasmid intermediate to a sponsor for a potency assay
11 or a functional assay and then it may not meet
12 specifications and then we have to problem solve that
13 unexpected result.

14 DR. SALOMON: Well, at the risk of
15 oversimplifying, right now when we think about what
16 kind of things we'd do with plasmids in gene therapy,
17 one is that we would do ex vivo exposure to effector
18 cells, right? They could be stem cells or it could be
19 T-cells or macrophages, something like that, that
20 would give them a property to target or to kill or to
21 home to some sort of area and maybe produce a growth
22 factor.

23 The second thing is actual injection of
24 the plasmid directly into a site, in vivo, right, such
25 as the VEGF trials being injected into areas of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 ischemia, in the heart or into peripheral, ischemic
2 peripheral vascular tissues. So that would be two
3 major things.

4 Now injection of the plasmid, I would be
5 comfortable that you had made a large lot of plasmid,
6 sequenced a aliquot of it and so I know what I was
7 injecting. That would be easy. The question I have
8 now is in the experiments where I'm putting plasmid
9 into 10^{10} purified T-cells for a study that I want to
10 do, just for example, do I need to - -and I know that
11 the input plasmid is sequenced because it came from
12 the same lot you would have given me had I wanted to
13 do a direct in vivo injection of plasmid. Do I also
14 need to grab a couple of the T-cells and sequence the
15 plasmid in the T-cells? Is that something that we're
16 suggesting?

17 DR. ROESSLER: I think that's obviously
18 technically much more difficult and presents much more
19 costly scenario. So I don't think that we have enough
20 information. It's kind of a theoretical anecdote that
21 you raise, but I see where the point is, but I think
22 that from a manufacturing product perspective, once
23 again, it seems reasonable to do the complete
24 sequencing and then restriction analysis and then on
25 a case by case basis to consider added value for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 limited sequencing of a specific lot.

2 DR. MULLIGAN: I think Blake's point about
3 how you can take a perfectly good plasmid and grow it
4 up to a large quantity and have something happen to it
5 is very, very key. So I think the issue we dealt with
6 at the issue meeting was essentially just knowing that
7 you're beginning with the right thing and everyone
8 agrees, I think, at this point we ought to have a
9 sequence. I think I would still go for my interest in
10 seeing lot-to-lot sequencing for the very reasons that
11 I'm not sure you really know that the coding sequence
12 is going to necessarily be the relevant place that
13 would affect gene expression. It could be the
14 upstream sequences or something.

15 The issue of the post-transvection, I
16 think, is an easy no, except for coming back to that
17 black hole of single genome retrovirus things. There
18 is a context where you have to consider a plasmid
19 intermediate within the cells and that's when you're
20 doing transient transvection that make retrovirus
21 vectors. And one of the things that I think most
22 people don't appreciate is even if you have separate,
23 separated viral functions if you in a transient
24 transvection introduce those separate functions,
25 there's a remarkably high rate of recombination among

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the transvected sequences so that I don't know if
2 anyone has really looked at this, I don't know whether
3 you've assayed, want to look at this, but I bet you
4 see there's a high rate of those dangerous single
5 genome packaging sequences.

6 So you might want to ask people to at
7 least assess whether or not that happens because what
8 you're going to see, I think is that everything is
9 going to link to everything, so you're going to have
10 a little bit of retrovirus vector linked to a little
11 bit of lung packaging sequence. It's not exactly what
12 I would say is the best way to go about making this.

13 Now AAV is a slightly different case.
14 It's probably less of an issue, but it may
15 nevertheless be worth looking at.

16 Okay, well, I wanted to say one thing. I
17 certainly don't think it's an anecdote to be doing
18 this. At every transplant meeting you go to now, you
19 have somebody, at least one person, getting up and
20 singing the praises of ex vivo gene transfer to target
21 cells and then infusion back into patients.

22 DR. ROESSLER: I just meant as an anecdote
23 a single case as opposed to a specific protocol.

24 DR. SALOMON: So what I'm hearing now is
25 we all have agreed before we even came here today that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 we would have to know the complete sequence of the
2 plasmid when it arrived at the facility. Then we
3 talked today about the fact that there should be a
4 lot-to-lot control because in expansion of the plasmid
5 DNA in bacterial systems, there can be changes, right?
6 Although Dr. Mulligan, Dr. Roessler, you guys have
7 given two slightly different statements. You started
8 to sound like you were going to do every sequence
9 should be, every lot rather should be sequenced and
10 then you sort of backed away from it and you were
11 saying every lot should be sequenced.

12 DR. ROESSLER: I'm trying to make it
13 broader in terms of giving the Committee the
14 perspective that you need to consider that every
15 plasmid is a little bit different and there may be
16 plasmids that are used over and over again that are
17 known to be quite stable in terms of their genetic
18 identity.

19 So from that perspective, it doesn't seem
20 to make as much sense that you would need to do
21 complete sequencing of that particular component, that
22 particular intermediate, whereas you might have a
23 transgene that has a particularly negative selection
24 pressure on your E. coli strain so that you believe
25 there would be a higher incidence of either

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 recombinational events, mutations, deletions and in
2 that instance, that particular intermediate component,
3 there may be significant value to doing sequencing.
4 Now whether that's complete sequencing or sequencing
5 only of the promoter transgene or whatever, I think
6 you have to deal with that on a case by case basis and
7 that you can't just assume that complete sequencing is
8 going to be the best way to go at this stage.

9 DR. CHANOCK: Can I ask a question at this
10 point? Just in terms of the utility of sequencing, I
11 understand that theoretically the question is on a
12 practical level. Is the sequencing tied to the
13 release of any plasmid or any material as it goes
14 forward? In other words, you do the sequencing, but
15 is that tied to when that material is then made
16 available only when someone has actually done the
17 sequencing, looked at it and verified it and moved on
18 or is this more protective in the sense of being able
19 to look back and say all right, we have that
20 information, we now need to go look at that because
21 something has gone awry.

22 It seems to me those two different tracks
23 have two different implications.

24 DR. SALOMON: Well, that's important to
25 clarify. Certainly my thinking and the Committee can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 modify it, is that we're actually saying that for lot
2 sequencing or pre-manufacturing sequencing that these
3 are events that have to be done, checked off before
4 you go on to go forward. It's not a parallel sort of
5 testing that might occur under other circumstances.
6 So trying to take this and make a practical statement
7 out of it, if you have a plasmid that you're going to
8 make large lots and go forward into trial, then
9 basically the manufacturing facility and the sponsor
10 has to answer the question that you posed, is this a
11 plasmid that maintains its integrity and is not an
12 issue, which means there has to be some data on at
13 least several lots sequenced that would satisfy FDA
14 staff, that this was correct and then if you did,
15 perhaps after that there would be a more limited
16 obligation for quality control of the lot. That might
17 make a lot of sense, vice versa if it turned out that
18 either (a) you didn't have data, but you still wanted
19 to push forward in the trial, you might accept the
20 onus until you do have data to sequence all lots. Or,
21 if you had data that actually showed you had a
22 difficult plasmid that you would have to sequence a
23 portion of lots, if not every lot. Is that -- again,
24 I'm just trying to be practical in terms of a
25 recommendation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 DR. EPSTEIN: I just want to explain why
2 we took a different position. If you have both a good
3 restriction map and an activity assay, so I'm
4 accepting what he's saying and we'll take that back
5 for consideration, but if you have an activity assay
6 that's well controlled and highly specific, then you
7 know the insert both is expressed and has not either
8 mutated or been rearranged to such an extent that it
9 loses its biological function. Minor mutations
10 elsewhere that don't lead to wrecking the promoting
11 and so on, we would simply accept. So if the
12 restriction map shows it's what you think it is, you
13 know the sequence when you went in and now some degree
14 of mutation has occurred, but without losing transgene
15 expression and function, that's where we were starting
16 from.

17 DR. SALOMON: I would just point out
18 though that there's a problem potentially there
19 because when you infuse it back into the patient or
20 you inject the plasmid into the patient or into a
21 tissue, if a mutation occurs, let's say and most of
22 these are natural biological products. Some of them
23 might generate antigens or other unwanted effectors on
24 other portions of the molecule that wouldn't regularly
25 be anticipated and might really be devastating. So I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 think you have to be a little cautious at this early
2 in the field.

3 Again, I'm not saying that I could take
4 the sequence and go aha, I've got an antigen created
5 here, that's not my point.

6 DR. CHAMPLIN: Just to clarify, what you
7 had said and I believe our consensus was was that you
8 would want to fully identify the lot, but if that lot
9 is going to be used to treat a series of patients, you
10 wouldn't then need to take the T-cells from each
11 patient and redo the sequence at that point which I
12 believe would be onerous.

13 DR. SALOMON: Okay, no, I was just trying
14 to be logical and walk it through and I -- we haven't
15 gotten to that one yet.

16 DR. MULLIGAN: I think the issue with the
17 sequencing is -- my own philosophical view that we're
18 in the age where you can easily do that, like wash
19 your hands after you go in the bathroom or something.

20 (Laughter.)

21 So I would still push for the complete
22 sequencing, but on the other hand, it's very obvious
23 that's a very low resolution determination of how pure
24 the thing is because you're only going to get the
25 major sequence. So I mean it's of course -- it's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 dicey type of thing, that is if you had 20 percent of
2 some different thing, when you sequenced it, you might
3 detect and you might not detect it by a good high
4 resolution, a gel assay either. So but I just think
5 it's so simple, if I were a company that was making
6 DNA, I would be shocked if we wouldn't be sequencing
7 a sample from every lot.

8 DR. CHANOCK: Just on that end, I think
9 the technology is clearly there for -- I mean, I think
10 your point is very well taken. It may be 15 or 20
11 percent representation of a variant, but the whole
12 SNIP world of SNIP detection has exploded and the
13 technologies are there and the software is there, so
14 I think it may very well be possible if you know, if
15 you do the right aliquotting so to speak, to be able
16 to identify at a certain place that you may have 20
17 percent sequence that goes off when you look at your
18 standard phred phrap scores off of your ABI sequencer
19 or whatever. So I think that that is pretty much at
20 hand already and it's something that we may want to
21 think about.

22 DR. NOGUCHI: Just some clarification,
23 Dan, on where you're taking this. It just seems like
24 there's still one part of it is that nobody is
25 routinely, as far as I know, looking for how stable a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 plasmid is through the production process by
2 sequencing, so wouldn't you have to say that at this
3 point in time everyone would have to do that first and
4 then we would back off on a case by case basis? Is
5 that what you're kind of suggesting?

6 DR. SALOMON: That's what I said. I mean
7 in other words, either you have data, you have a
8 stable plasmid which I was picking up on what Dr.
9 Roessler was saying, or you don't. If you don't, then
10 you have to show that it's stable. When you've
11 satisfied that it's stable then you can -- you don't
12 have to maybe do it as often.

13 I think that the technological issues are
14 well taken. We all have core laboratories now. It
15 really is like washing your hands to send something
16 for sequencing.

17 I like the idea of incorporating even the
18 newer technologies that more bio-informatics than
19 another technology in the sense of looking for SNIPs
20 and satellites and other groups that might rapidly
21 give you information on subspecies that would address
22 the question Dr. Mulligan came up with. That's a good
23 idea.

24 All right, again, just kind of plodding
25 forward is the question Dick Champlin had said and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 next step would be do you have, what do you have to do
2 with the -- this will be cells that have been
3 transduced. They put the plasmid in and you get the
4 transduction and you want to go forward into the
5 clinical trial. Do you have to do anything with
6 those? I'm not saying that I think you do, but I just
7 think that's something the Committee ought to make a
8 comment on.

9 DR. MULLIGAN: I can't understand why
10 you'd want to do that.

11 DR. SALOMON: Fine. I'm just being the
12 devil's advocate right now. I don't want to do that,
13 no.

14 DR. SIEGEL: You're specifically speaking
15 of when you say do anything, you mean do sequencing?

16 DR. SALOMON: Yes. I'm talking about
17 right now, I give you 10^{10} T-cells from a pheresis
18 because I want to put in a granzyme and stimulate it
19 with a dendritic cell antigen for my tumor and then
20 inject it into the patient with melanoma. That's a
21 very scenario. That's the kind of things that people
22 want to do with plasmids right at this second.

23 DR. SAUSVILLE: Yes, but it seems that
24 that's going to vary in a case by case basis and where
25 you're going to efficiencies of detection, how you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 detect it, etcetera, that could be viewed as I think
2 very onerous to actually require that type of thing.

3 DR. SALOMON: I wasn't, again, I know that
4 that's the way this is going and I think we should
5 comment on it as part of this discussion. I don't
6 think that that's what we should be doing right now.
7 I agree with that.

8 Okay. Any other comments on identity
9 then?

10 Purity. So I guess here we're referring
11 particularly to things like E. coli DNA and RNA as
12 well, I guess, you could add that to things like
13 solvents, etcetera, that would go forward?

14 DR. EPSTEIN: Actually, the second
15 question deals with that. I think it's the second
16 one. We're talking here about general purity. Should
17 you have to do something like an agarose gel to show
18 what you've got, then looking for specific
19 contaminants is where we'd like to distinguish it from
20 plasmid for patient administration.

21 DR. SALOMON: Okay. Any comments on that?

22 DR. SAUSVILLE: Agarose is cheap.

23 DR. SALOMON: Agarose is cheap. Okay.
24 Would that be okay? Is that enough these days? I
25 mean an agarose gel, you sustain with ethidium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 bromide, you turn up the integration on most image
2 processing packages. You can always find an extra
3 band here and there. What exactly is it that I'm
4 supposed to show to reassure everyone that I have a
5 pure plasmid?

6 DR. EPSTEIN: Consistency. You can have
7 contaminants at some level. The cells would be washed
8 and so on, but you're just going to look at the purity
9 of what you're using. And have some reasonable
10 standard.

11 DR. MULLIGAN: We had a talk, I think,
12 last time or several times ago about someone getting
13 into the details of dimers circles, and that type of
14 thing. What is your opinion on -- I mean that can
15 vary from batch to batch. You have basically the same
16 identical construct, but it may be as a dimer, trimer
17 or some complicated multimer. Have you thought about
18 whether or not you want to have a consistency in that?

19 DR. EPSTEIN: Well, for plasmids at the
20 later stage, say for patient administration, you
21 certainly want to note the percent that is supercoiled
22 and the percent that's in various forms. I don't know
23 if it's consistent which form is the active one. Do
24 you know whether transvection of cells is always the
25 same species?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 DR. MULLIGAN: Well, I think it makes a
2 big difference how big it is and it would make a
3 difference. So if you have different species, it
4 would make some difference. I'm not actually
5 personally all that concerned with it, but I think
6 that it is, it will be different. You'll have a giant
7 piece and that will probably behave differently,
8 depending on how the multimerization occurs. You may
9 have different gene expression potential.

10 DR. EPSTEIN: So you could simply report
11 all the forms observed and if you are way out of line
12 with your experience of a reference, say you have a
13 reference standard, that would be useful.

14 DR. MULLIGAN: Again, on these things I
15 would think the investigator and the manufacturer
16 would really want to have a product that's as good as
17 possible, as homogenous as possible.

18 DR. SALOMON: When we went over these
19 questions with the staff before this, my comment at
20 this part was that we're dealing with things that are
21 production quality issues that I think certainly are
22 beyond my expertise. I mean we agarose gels all the
23 time with plasmid DNA, but I'm just excited when I see
24 a big band around the right molecular weight and then
25 we cut it out and go do our blunt, clone it into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 something and go on. And that's not what we're
2 talking about today, so I feel a little bit -- one of
3 the comments that I made back to the staff on that was
4 that did we have enough expertise on the Committee at
5 the production level. That's one of the reasons we
6 asked Dr. Roessler to join us.

7 Does anyone in the audience want to
8 comment on this? I mean if you see two or three
9 different bands on it, you can't just cut it out and
10 purify it. I mean when you're talking about
11 commercial lots, right? So what do you do when you
12 see these? It's just you mark it down and it's part
13 of the record which is what Dr. Epstein suggests and
14 is that okay with everybody? These are the things
15 that concern me is that the Committee is making some
16 comments on things that I feel, I certainly feel is
17 not in my area of expertise any more.

18 DR. MULLIGAN: I mean I think a key
19 message that's probably the most important message is
20 that as we march down more biologicals, there's going
21 to be more and more of the issue that these entities
22 are not homogenous like a drug would be and the
23 viruses are clearly the case and it's most important
24 to have the FDA get a sense of how they're going to
25 deal with that philosophical issue and the plasmid DNA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 is one of those, but clearly virus preps, when we get
2 into the different ways to purify and the inability to
3 completely characterize the composition of a virus
4 prep and so I think we have to begin to think about
5 the fact that you can't be that specific. And I think
6 plasmid DNA is that guy who talked to us, some expert
7 in making plasmid DNA and I think he had some ideas of
8 how to reduce the amount of the multimer forms and so
9 forth and you want to encourage that sort of thing,
10 but I think the state of the art is that you're going
11 to get this sort of thing and I agree that just at
12 least having a good, accurate description of what you
13 have is probably the best you can hope for.

14 DR. SIEGEL: This is not an issue for gene
15 therapy. It's present for our protein products, for
16 vaccines and so forth. It's not a -- the question, as
17 worded up there and I'm not sure exactly whether our
18 group wants a different question answered, but the
19 question that's asked up there is what testing should
20 be done, not what specifications should be set for
21 those tests. It's very common for development of
22 complex products that we require a test be done and
23 that the initial specification is that the results are
24 to be reported and reviewed and that over time that
25 tells you, among other things, not just how homogenous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 the product is, but how consistent it is and whether
2 your Phase II studies are being done with the same
3 stuff as your Phase I studies and ultimately it
4 collects the data base that as you move into more
5 advanced studies and licensing, you can set
6 specifications based on not only what is considered
7 safe and effective, but also what is considered
8 achievable levels of consistency so that if something
9 unusual happens, you have an indicator and you -- so
10 I'm not sure we really at this point are asking or
11 need to be discussing whether where to set the limits
12 or what to allow or whatever. The question really was
13 I think the one that Dr. Sausville answered pretty
14 succinctly, that agarose is cheap and we should be
15 testing it and accumulating the data, if that's, in
16 fact, the sense of the Committee.

17 DR. SALOMON: I think that's fine. Again,
18 I was just making sure that we were comfortable. To
19 request tests that make no sense also is onerous and
20 part of my feeling, the job of this Committee is not
21 to support that sort of thing either. So that's kind
22 of why I was questioning is this something you are
23 going to make a decision on or are we just archiving
24 it. I'm okay with that.

25 Please identify yourself.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MS. CHRISTENSEN. Yes, Janet Rose
2 Christensen with Targeted Genetics Corporation. I
3 think in response to the question that you asked about
4 what are people doing, I think this really dovetails
5 back to what we heard from Mary Malarkey earlier.
6 Specifically, that yeah, you can get a band, you can
7 do the ethidium bromide staining, whatever, but again
8 the controls and the amount of characterization, the
9 understanding of what your assay is telling you and as
10 Dr. Siegel just pointed out about as you move through
11 production and developing those controls I think is a
12 very common type of approach we take in the industry
13 and I think that that's a very reasonable thing as we
14 gain more experience, that I would expect that we
15 should be able to quantify and characterize what we
16 should be seeing and as part of that you're going to
17 have to understand the sensitivities of your assays
18 and it gets back to assay qualification which again,
19 I think, is an important attribute of these types of
20 assays, even very early on. So when we get a question
21 from the Agency specifically well, that's a nice
22 looking band, what is it, and does it have any
23 relevance, we can answer those questions.

24 I think what you're hearing, certainly
25 from my perspective is taking the approach of Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Siegel and what the FDA has advised us is a very use
2 and I think it really supports the ultimate issues of
3 product safety, product consistency and patient
4 safety.

5 DR. SALOMON: That's exactly the kind of
6 feedback that I think I'd like to hear more of from
7 the audience as again, as you see appropriate to back
8 up some of the manufacturing experience that we don't
9 have sitting up at the table.

10 Yes?

11 MS. SEAVER: Sally Seaver, Seaver
12 Associates and I consult on CMC issues and I would
13 like to back something up and really ask the Panel if
14 they want to do this and that is if you wanted to
15 complete sequencing, is your sequencing -- I know you
16 all do it in academia, but can you validate that
17 method and are you doing it under full GMPs? I think
18 that goes back to Mary Malarkey's talk and I would
19 like to remind you that we do not do full sequencing
20 of the amino assays on every protein lot, even in
21 Phase -- even in clinical trials of our recombinant
22 proteins and clearly a change in amino acid could
23 affect amino genecity.

24 DR. SALOMON: Thank you. Good comment.
25 Do you want to comment on that, Dr. Roessler?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. ROESSLER: Well, once again, a main
2 reason that I said I saw a role for sequencing and I'm
3 not sure that I would advocate complete sequencing
4 along the lines that Dr. Mulligan articulated, was for
5 the quality control aspect that's a necessary part of
6 our role in the NGVL programs, specifically when you
7 sent plasmid material to a sponsor and they do a
8 functional assay and that functional assay fails, then
9 you have to problem solve and having that sequence
10 data allows you the opportunity to get some insights
11 into what the problems may have been. And once again,
12 I think that there's always value in doing whatever
13 you can at some level within the cost-effective
14 constraints to try and verify the identity of the
15 material that you're using to manufacture your final
16 product.

17 So I think that my perspective may be a
18 little bit different than your perspective.

19 DR. SALOMON: I think appropriately so,
20 which is good.

21 The next question would be on
22 concentration. I mean that's kind of a no brainer
23 unless I'm missing something.

24 Activity in gene expression. And I see
25 this one as sort of now segueing with the next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 question and that is should tests be added for
2 potency. Can we have some consideration for a second
3 about activity versus potency assays?

4 DR. SAUSVILLE: It gets back to this
5 question, do you mean this in the case of ultimate use
6 such as Dr. Champlin brought up, or do you mean in the
7 expected performance in a model system as a
8 description of the product? I clearly would be in
9 favor of the latter. I would not be in favor of the
10 former.

11 DR. SALOMON: So you're saying that if
12 there was an animal model that was used in the process
13 of your pre-clinical and now you were a -- a year or
14 so later you were doing your clinical study, if you
15 demonstrated potency, it should be demonstrated in the
16 animal model?

17 DR. SAUSVILLE: Animals, in vitro cells,
18 whatever. I think that would be part of the complete
19 description of the product package and in that sense
20 be in the spirit of potency.

21 DR. EPSTEIN: There wouldn't necessarily
22 be an animal model if here, the intended function of
23 this plasmid is to transfect 293 cells, for example.
24 So I think we have to back off yet another layer
25 beyond what you're talking about. This is not for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 patient use and the intended function is in vitro and
2 is simply for transvection. So I think we should
3 simply the whole thing greatly and look for activity
4 ability to transfect those cells and express what it's
5 supposed to. That can be by flow cytometry or
6 enzymatic activity and it should be a very simple
7 test.

8 DR. SAUSVILLE: I didn't mean to imply
9 that an animal should be used. I mean one could
10 imagine situations where they might be, but as you
11 say, you want to imagine situations also where that's
12 not necessary.

13 DR. CHAMPLIN: It seems as if this is a
14 product-specific issue. Certainly, some things where
15 there's a readily detectable functional assay you
16 should probably do it. If you're going to give
17 T-cells that are going to kill a liver tumor that's
18 unique in that patient, there's no readily apparent ex
19 vivo assay that could show that you're going to kill
20 that patient's tumor.

21 DR. EPSTEIN: That's probably the wrong
22 topic. We're talking about the plasmid intermediate
23 being qualified for use. We're not talking about
24 taking the T-cells -- the potency assay for those
25 T-cells might be an animal tumor model. I want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 back off that we're only talking about showing that
2 this plasmid is good enough to use and --

3 DR. CHAMPLIN: Let's go back. When I
4 asked you to clarify this, the idea was that activity
5 would be, let's say expression of a gene in the
6 targeted cell and that potency was some sort of
7 cellular assay.

8 DR. EPSTEIN: Oh, I'm very sorry then. I
9 was trying to define potency and get you to understand
10 the concept. For a cellular preparation, potency is
11 the kind of thing I alluded to. But here, we're
12 talking about an intermediate and even the ultimate
13 potency assay, if there were one, would be a very
14 quantitative, very validated version of in vitro
15 transvection because that's all this material is
16 intended to do. I'm sorry, I thought that the
17 definition of potency was unclear. And the easiest
18 cases to give a definition where the product has to
19 serve its biological function for therapy, but here,
20 the real question before us is whether to do an assay
21 with controls showing that this transvection works, or
22 whether to really do a quantitative and validated
23 version of that, a fancy assay requiring showing that
24 the T-cells now have acquired a receptor that mediates
25 lyses in vitro, for examples. Or, can you just show

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 that the plasmid went in and the T-cells now have a
2 marker on their surface?

3 DR. CHAMPLIN: Presumably we have shown
4 this for the parent gene and your question is do you
5 have to do this lot-to-lot reassessment, can you kill
6 the tumor in nude mice or what have you and I would
7 think that would not necessarily need to be done if
8 you've shown that you've got expression of the protein
9 of interest and that that -- you had met all of the
10 other criteria that we have discussed.

11 DR. SALOMON: I guess my concern here,
12 just trying to keep the conversation going to all the
13 different levels it could go, I'm not certain of that,
14 in the sense that we keep repeating the obvious fact
15 that this is a very new field and that there are a lot
16 of rules that begin with manufacture and go all the
17 way to administration that are far from clear. And if
18 that's true, I'm not so certain that I'm comfortable
19 not having early on maybe more than later in terms of
20 potency, so if there are -- if I'm giving it to
21 T-cells and I'm going to take those T-cells and put
22 them into the patient and put the patient through all
23 the different things that I might do including
24 radiation therapy and all that, based on these great
25 plasmid transduced T-cells I'm giving them, then yeah,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 dammit, I think they probably ought to have some sort
2 of assay lot-to-lot before I go to the bedside,
3 particularly when everything is so new that we really
4 don't have all the rules in place. So it's -- I
5 actually disagree with you with on that one.

6 Amy, I know you had a comment and then
7 Richard.

8 DR. PATTERSON: I think it might be
9 helpful to parse these concepts out because in the
10 dialogue they seem to be intermingled.

11 Suzanne, you were first asking about or
12 one of the things you were asking about is efficiency
13 of transvection and then someone asked about duration
14 of gene expression, which genes are being expressed
15 and I think those are fundamentally different concepts
16 and they're lumped together here. I think it might be
17 helpful for the Committee to consider them separately.
18 Transvection efficiency, gene expression, is the gene
19 expressed, to what extent and what's the duration?

20 DR. EPSTEIN: And then the one that people
21 are raising now is you're trying to show that the
22 product of the expressed gene functions as it should
23 and how close does that functional assay have to be to
24 what you're concerned about or can it be a marker
25 assay?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 DR. SALOMON: Well, I think -- yes, and I
2 think there that's got -- I don't think we can give
3 you guidelines for that. That, I think is clearly
4 product specific, but if I have a product that well,
5 taking Dick's example, it's going to kill liver
6 tumors, I mean there's got to have been a human model,
7 I mean a mouse model probably for it. I'm hoping,
8 obviously, I think we ought to stop short of insisting
9 on nonhuman primate model for the testing. And so
10 there would be let's say a skid mouse model. I'm just
11 making that up, but there would probably be a model
12 like that and if you did have a model like that, then
13 it probably wouldn't be unreasonable to test that
14 until we become more confident that these sorts of
15 things work.

16 DR. SAUSVILLE: Yes, but I guess my
17 position would be that although in the development of
18 this product, such a model would have likely been used
19 to gather confidence that things were -- this was a
20 good thing to do. I would be wary about establishing
21 the response of a mouse model as a qualifying issue in
22 the manufacturer's subsequent lots because at least
23 our experience with mouse models is if they range from
24 the health of the mice, hepatitis, I mean, so this
25 gets into very problematic sorts of issues that could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 make things very expensive.

2 Certainly if there was the type of
3 information collected and the expected duration of
4 expression of the gene, the normative properties of
5 the gene product, again, as we emphasized earlier in
6 the morning, much of this preclinical testing and
7 quality sorts of issues are to help explain the
8 behavior ultimately in the clinic and as long as it's
9 available so that there were a problem or lack of
10 expected performance, you could go back and maybe
11 address these issues. It's fine. Bottom line is I
12 don't think requiring animal model behavior is a good
13 thing.

14 DR. SALOMON: And as I pointed out, what
15 I'm trying to do is push the conversation as far as we
16 can go to try and make sure we get a clear idea of the
17 Committee, of what you want to do. I would also agree
18 that every lot having to be done in an animal model
19 would be onerous and that would be fine. However, I
20 would say though in terms of the pendulum that if it
21 was a simple cellular assay that that would probably
22 be readily doable and so when those kinds of assays
23 were available, a couple day assay of killing or
24 something in a model, then I think it wouldn't be
25 unreasonable to do that at this early point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. SIEGEL: I would note that there's not
2 just an issue of being onerous here, but I think as
3 Dr. Sausville correctly pointed out, potency assays
4 are not dichotomous. They're quantitative assays and
5 a quantitative in vivo assay, you know, you're lucky
6 if you can get within a log or so, base 10 of estimate
7 of accurate estimate. They are just not very useful
8 and I think it is to be urged of all manufacturers and
9 sponsors to be looking for relevant potency assays
10 that can be done in a more reproducible way such as
11 cellular assays.

12 I'm a bit concerned about time. I wonder
13 if we might want to move off this question.

14 DR. SALOMON: We're almost done with this
15 one.

16 Dr. Mulligan, do you have a --

17 DR. MULLIGAN: I was just going to say I
18 think Amy's point about the transvection piece of this
19 is that any assay of biological potency where you're
20 trying to look at, I thought the DNA's potency is
21 going to be totally variable based on DNA transvection
22 efficiency. It's like an in vivo assay. So I would
23 go very easy on biological assessments. If what we're
24 talking about which is what I think is is the DNA
25 that's been now sequenced a number of times shown to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 be the right thing, does it have inherent biological
2 activity it ought to have? I think there's almost
3 nothing you really have to do on that front. Because
4 if you try to transvect it and look for how well it
5 performs, if you did five transvections, you'll get a
6 variation of probably 30 percent and will that
7 convince you that you'll have less potent DNA?

8 DR. SALOMON: I don't think that would
9 convince me I had less potent DNA, no. But if I was
10 going to do a clinical trial with this reagent in any
11 shape or form, I would like to know if that would be
12 fine to know that there was going to be a 30 or 40
13 percent difference in the product that I eventually
14 put in the patient.

15 DR. MULLIGAN: I'm just trying to, maybe
16 in the spirit of moving along, say that's another
17 issue. That's not the DNA issue. That's the
18 transvection issue. You know, you have to show that
19 the procedure that you're going to use with the DNA is
20 reversible, but that's different than the DNA issue.

21 DR. SALOMON: Yes, I understand that
22 though. I have to say to this particular issue every
23 time we've tried to clarify it, I get less clear where
24 we're stopping it, because we start talking about
25 potency assays and then we are talking about -- yeah,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 if you just want to talk about the plasmid DNA, then
2 we're done.

3 DR. EPSTEIN: We're not talking about the
4 final ex vivo cells or the AAV or retrovirus. They
5 have their own potency assays. They will fail their
6 lot release criteria if this plasmid doesn't do its
7 job. What we're trying to do is avoid tremendous
8 rates of failure.

9 DR. SALOMON: All I'm saying is in the lot
10 of clinical uses of the plasmid, it's the plasmid into
11 a cell and that's your product.

12 DR. EPSTEIN: Right, and we're talking
13 about qualifying the plasmid, but the cell is subject
14 to assay before it goes into the patient, including
15 potency assay when there's a correlative of what it
16 does.

17 DR. SALOMON: Fine. So you got some free
18 discussion on that.

19 DR. EPSTEIN: Right, that was not the
20 question being raised. But thank you.

21 DR. SALOMON: Then I think we're done.
22 The last one is full plasmid sequencing and homology
23 analysis appropriate as a one time characterization
24 test and such analysis could use plasmid from the
25 master cell. I think we've covered that adequately.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 The other thing we did when we presented
2 this kind of as a discussion before, we all agreed
3 that time would be -- that this would be a real killer
4 to keep all of this on time and we're seeing that this
5 is very difficult to keep this all on time.

6 So the question here would be that I'd
7 like some feedback right now. Obviously, lunch --
8 we'd be right on time for lunch.

9 (Laughter.)

10 We haven't done the presentations on
11 adenovirus. So Phil, do you want to comment?

12 DR. NOGUCHI: Yes. What we would like to
13 do is we certainly would like to have Dr. Chanock
14 present his extensive knowledge about the adverse
15 affects of replication competent adenovirus and Dr.
16 Bauer has agreed that I could condense his to a very
17 short, just statement that his talk would lead into
18 it. Dr. Bauer will actually update us at our next
19 Advisory Committee meeting because it will be at that
20 time even more information on this collaborative
21 effort between academia, industry and the government
22 in terms of producing a standard for vectors. But Dr.
23 Chanock's talk is actually could be done in the
24 afternoon as part of the clinical and compliance
25 issues because it's very relevant to the clinical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

situation.

DR. SALOMON: So would a good plan be to break for lunch now and begin the afternoon session with Dr. Chanock's talk?

DR. NOGUCHI: Yes.

DR. SALOMON: Okay. Then I want to see us all back at 1:15. Thank you.

(Whereupon, at 12:39 p.m., the meeting was recessed, to reconvene at 1:15 p.m., Thursday, April 5, 2001.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

A F T E R N O O N S E S S I O N

1:35 P.M.

1
2
3 DR. SALOMON: Sit down and we can get
4 start with the afternoon session.

5 (Pause.)

6 Can we have everybody sit down, please, so
7 we can get started? We've got a pretty busy afternoon
8 here and I appreciate the Panel already sitting down
9 and all that. That's great.

10 Okay, so this afternoon we're going to
11 pick up what we interrupted for lunch with some slight
12 modifications that I will explain in a second, but
13 essentially going on to some, the clinical issues in
14 adenoviral infection and to start that I'd like to
15 introduce Steve Bauer who is going to make some
16 comments to put this into context.

17 DR. BAUER: I just had a few short quick
18 comments about adenovirus and what we learned from the
19 March 6th letter response and some of our changes and
20 the first one is we are going to recommend from this
21 point forward that the ratio of virus particles to
22 infectious unit be less than 30 virus particles per
23 infectious unit for adenovirus lots and I know there's
24 been a lot of discussion of this at various forums in
25 recent times so I wanted to make that announcement.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 The other is that our recommendation for
2 RCA which had been based on a radio with infectious
3 units, we're now going to change to a specification of
4 less than one RCA in 3 times 10^{10} viral particles and
5 that's in response to a lot of discussions about
6 assays and their precision and accuracy.

7 And then finally, I wanted to just set the
8 stage for our next speaker, Dr. Stephen Chanock who
9 has agreed to come and talk to us. The specification
10 that I just mentioned, less than 1 RCA and 3 times
11 10^{10} virus particles is going to be used for clinical
12 lots, at least currently, regardless of the clinical
13 indication. And the appropriateness of that stance is
14 what, I think, the next speaker will address.

15 The background issue is are there clinical
16 indications for which this recommendation might be too
17 stringent and/or are there clinical indications for
18 which that might not be stringent enough. So without
19 further ado, I'll turn the floor over.

20 DR. CHANOCK: Thank you. I thank Stephen
21 and Phil for inviting me to speak this afternoon.
22 When I was first invited I wasn't quite sure what I
23 was going to do and coming this morning I wondered
24 whether I was supposed to be the comic relief before
25 lunch, but then they moved to after lunch, so now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 hopefully while everyone is digesting I'll be able to
2 provide a little bit of comic relief, but more
3 importantly, the clinical questions and particularly
4 the clinical issues that come up with an issue that we
5 were discussing earlier about lots, what is the
6 consequence about what we would consider in a very
7 practical terms of lot failure, in other words, too
8 much of an infectious load being challenged or being
9 infused into an individual who is clearly at risk.

10 So in order to do that, what I'd like to
11 do is talk about several issues. I'll take the first
12 slide, please. And we'll start with this slide. And
13 there are a couple of very broad, important points
14 that I think are very important to the comments that
15 Steve just made in terms of really trying to set a
16 bar, per se, and having it be more specifically
17 addressing the actual host who is going to be
18 receiving the gene therapy product and that really
19 comes to the critical point is the host immune
20 function is really what's crucial about adenoviral
21 infection and I'll take us through adenoviral
22 infections in normal individuals as well as
23 individuals with immuno-compromised systems such as
24 bone marrow transplant recipients, patients with HIV
25 infection and then primary immunodeficiencies. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 there's a very important issue that I'd want to jump
2 right into as just a primary point and that relates to
3 primary infection and we know that there are many
4 different serotypes of adenovirus and immunity appears
5 to be specific to the different serotypes of which
6 they're well into the 40s now. And we know this is
7 very important because this really provides for
8 recurrent risk for exposure to different adenoviral
9 infections. Now the question is what specific
10 serotypes are being used as the backbone for the
11 generation of the specific gene therapy vehicles. I
12 think that's a very important question and I know that
13 there's been a big debate in the literature about
14 going beyond using serotype 2 and 5 for reasons
15 related to immunogenicity.

16 The clinical side, particularly, the
17 immuno compromised hosts really in adults it's much
18 more important to think about reactivation because in
19 adults it's much rarer that a primary infection is
20 taking place, whereas in a child, particularly a
21 younger child, primary infection is taking place, so
22 I think there's a pediatric/adult dichotomy that we
23 need to factor into this as well in thinking about who
24 are the hosts and who is potentially at risk per se.

25 And then that reactivation is really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 determined by the underlying events, in other words,
2 alterations are changes in immune function, not only
3 from the point at which you start, but then what
4 happens during the course of that such as in a bone
5 marrow transplantation, particularly an Allogen A
6 transplantation with HLA mismatch, T-cell depleted
7 cells. That's a very high risk for having
8 reactivation of adenoviral infection as opposed to
9 someone who may have an autologous transplant with no
10 manipulation whatsoever of their marrow. Those risks
11 have very significant implications with respect to the
12 likelihood of developing infection, plus the question
13 of co-infection and the ever present of which I think
14 there is very strong data to really argue against, but
15 I at least want to bring it up, the question of the
16 oncogenic potential based upon the animal models and
17 the information that's seen in other systems, but not
18 in humans, per se, for oncogenic adenoviral infection.

19 I might just add at this point that I
20 really have not been convinced or seen anything in the
21 literature to suggest that chronic or persistent
22 adenoviral infection has clearly been linked to any
23 known human cancers at this time and if anyone can
24 come forward in making that point I would very much
25 like to see that data. I think this question has been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 studied for an extended period of time.

2 Next slide, please. So really the
3 adenoviral serology is really based on a number of
4 standard references of sera that have been generated
5 with the primary epitope being the capsid protein, one
6 that's not necessarily going to be eliminated in the
7 standard approaches right now towards generating
8 adenoviral vectors and particularly it's a different
9 question when we talk about adeno associated virus,
10 reflects the heterogeneity of the adenovirus genome
11 which we know has the ability to evolve and when we
12 look at the sequencing now that a number of the
13 different serotypes have been sequenced, have been
14 classified into these so-called DNA homology groups.
15 And this is very important when you think about the
16 question of recombination and there are these
17 anecdotal cases of recombination between serotypes
18 that are of the same VNA homology group. For
19 instance, there's a well known reported case in an HIV
20 individual that had serotype 7 in 37, apparently have
21 a recombination in vivo and I think that's a very
22 important question to consider.

23 And then also, we know that the serotypes
24 are associated with pretty specific clinical
25 manifestations and we'll review some of those.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Next slide, please. So just taking the
2 large DNA groupings, we think of the A subgroup as
3 really being associated with common upper respiratory
4 infections that affect us all, that we certainly see
5 in military recruits or in prisons or adult
6 populations that are in very close proximity as well
7 as in the pediatric setting. We know that a large
8 percentage of children who come in with apparent strep
9 throat turn out not to have strep throat and
10 adenovirus certainly is an important contributor and
11 plays an important part in that.

12 Now the B subgroup is very important,
13 particularly in the immunocompromised population. We
14 know that in, for instance, particularly the allogeneic
15 A transplant recipients, hemorrhagic cystitis can be
16 an extremely disabling and actually a very dangerous
17 long-term complication because of the propensity to
18 have continual bleeding and hemorrhaging and an
19 inability to really stop that.

20 Similarly, the respiratory tract with
21 pneumonia. We know that there are endemic URIs in the
22 tonsillopharyngitis, certainly with the subgroup C and
23 then the very epidemic keratoconjunctivitis and I want
24 to just pause there for a second and talk about what
25 we know in terms of the transmission of adenovirus.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 We usually think of as a relatively stable virus that
2 can be transmitted from individual to individual by
3 droplets, by cough or by touch and certainly we know
4 with the D group that we certainly see the
5 keratoconjunctivitis or so called, associated with
6 swimming pool outbreaks where one person can go in a
7 pool and everyone else who gets in that pool for the
8 next or two is certainly at risk for developing that
9 infection and certainly those in the audience who have
10 children and have gone to a pool or you've had that
11 and then three or four days later are beset with that,
12 certainly understand that.

13 The conjunctivitis and the
14 pharyngoconjunctival fevers are also very important,
15 particularly the pediatric setting. And the
16 gastroenteritis is really a much more complicated
17 story. When the adenovirus was first described people
18 thought that it was an important cause of diarrheal
19 events, particularly in young children and I think
20 that that's waned as we've gotten better at
21 identifying other pathogens. It appears to be sort of
22 dropping on the list of pathogens associated with
23 clinically significant diarrhea, particularly in
24 younger children. But when you look at the
25 immunocompromised hosts, i.e., the transplant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 recipients, or HIV population, that's where the
2 gastroenteritis, the F pops back up and we certainly
3 know that in HIV there are a number of studies of
4 individuals with HIV infection who have chronic
5 gastroenteritis in which the Fs are isolated and are
6 presumed to be responsible, or at least partly
7 responsible.

8 Next slide, please. So how do we detect
9 that in a virus clinically? We take material and we
10 inoculate into cell lines and we look by a number of
11 different effects and what many laboratories use now
12 are fluorescent antibody staining per se, but we also
13 use direct tissue detection and this is particularly
14 important in the immunocompromised host where you're
15 addressing questions of either pneumonia of hepatitis
16 in an individual who is getting sick very quickly with
17 what we would describe as disseminated disease. And
18 we either do an in situ hybridization, Southern blot
19 analysis or PCR. It's not that easy, necessarily, to
20 make the diagnosis of an adenoviral infection per se.
21 You have to look for it and you have to have a
22 diagnostic virology laboratory that's thinking about
23 it and not every laboratory clearly is and I would say
24 that that's a point we may want to come back to in
25 terms of linking where and who is going to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 following up and looking specifically at specimens and
2 individuals who may be receiving these base products.

3 Next slide, please. We know in the
4 healthy child, roughly 80 to 90 percent of children
5 have antibodies to 1 or more serotypes between ages 1
6 and 5. Serotypes 2 and 5 which are the backbones that
7 have been used for a number of the vectors that have
8 been commercially and/or academically advanced at this
9 point, 2 and 5 fall into that 80 to 85 percent, so we
10 know it's a very common adenovirus out in the general
11 community, causing up respiratory infections and many,
12 many children develop antibodies to it.

13 We know that mild illnesses generally last
14 less than 10 days, usually on the order of 3 or 4
15 days. There's a latency in lymphoid tissue as well as
16 adrenal tissue and an interesting thing that's been
17 published, sort of buried in the review about two
18 years ago was when they looked at a number of
19 individuals and saw this serologic profile and then
20 went back and looked at the actual lymphocytes
21 isolated from a small subset of individuals. About 75
22 percent of individuals who were serologically positive
23 were positive by PCR and their lymphocytes, but not
24 symptomatic at the time. So in other words, there's
25 this idea that adenovirus once infected can certainly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 be maintained in the lymphocyte population as well as
2 we know that important targets are respiratory
3 epithelial, particularly for regeneration, for making
4 new viruses as well as for infection. And then
5 certainly, the renal and particularly the bladder
6 epithelial are other very interesting targets.

7 Next slide, please. So we know that
8 adenoviral infection, particularly in the normal host,
9 particularly in children, sort of here we look at the
10 decreasing frequency of pharyngitis, a very, very
11 common cause of pharyngitis and similarly
12 conjunctivitis. Gastroenteritis should probably be
13 down about the equivalent of pneumonia and from some
14 of the early 1960s and 1970s studies of these large
15 sort of sweeping prevalence studies of children with
16 pneumonia through the United States, pneumonia
17 represented between 5 and 10 percent causes associated
18 with adenoviral infection.

19 Next slide, please. So really, we know
20 that there are sort of calculable attack rates in the
21 general population and we now have these numbers that
22 have been published and have been verified and
23 confirmed by other groups, not only in the United
24 States, but certainly in Western Europe this has been
25 looked at and it's roughly about 40 per 100 person

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 years below the year age 1 where you know that
2 somebody is infected and that there is an ascribable
3 clinical manifestation. Now whether there's actually
4 a culture that links that is a whole other question
5 and as individuals get older you see a decrease in the
6 infection rate, but we still see that it's
7 substantial, roughly 14 in 100 per years for people
8 above age 10. And we know that acute diseases are the
9 most important thing, particularly in the upper
10 respiratory tract. Roughly 5 percent of URIs across
11 the population, 8 percent of childhood pneumonias and
12 adult pneumonias probably a little bit less than that.

13 Why don't we go on to the next slide? So
14 now I want to, having taken that sort of background,
15 I wanted to take a step back and really think about
16 the immunocompromise population where we know that
17 many, many people have been exposed to adenovirus, the
18 question is is there a difference between adenovirus
19 infection and adenovirus disease when we now launch
20 into looking at, for instance, the reported experience
21 in bone marrow transplant, in HIV-infected individuals
22 and primary immunodeficiencies. And indeed, there is.
23 I think it's important to just pause and use this
24 definition as we look at the literature so that we
25 understand two questions. One is who actually has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 reactivation or at least the ability to be able to see
2 and isolate that they have adenovirus from a sterile
3 site which is a palpable risk in a palpable number
4 that can be generated in any number of studies and
5 then out of that a subset, generally on the order of
6 anywhere from 20 to 40 percent of individuals who will
7 actually what we consider to be clinically significant
8 disease, in other words, there are clinical symptoms
9 that are linked to the isolation of the particular
10 pathogen at that time. So if you want to turn this
11 around, in other words, there's a good percentage of
12 circumstances where we may find adenovirus is isolated
13 from urine or from sputum or from the gastrointestinal
14 tract, but yet it doesn't link very closely with a
15 clinical event that's taking place. So there is this
16 disjointed nature that I think we have to take into
17 account when we look at these numbers and are trying
18 to calculate what would be estimable risk that we
19 would use or apply in trying to come up with
20 particular guidelines at this time.

21 Next slide, please. So what are the
22 clinical syndromes that are associated with adenoviral
23 infection, I just want to give them sort of
24 generically and then we'll start to look at what the
25 literature really tells us at this time. Well, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 disseminating disease is really defined as having two
2 or more of the following and this is one that we worry
3 about. This has mortality rates of anywhere from 30
4 to 80 percent, depending upon the host. In other
5 words, what's going on in the patient, what's the
6 status of their immune system, are they in that
7 terrible ablated stage, 15, 20 days post-allogenic
8 transplant? Do they have a primary immunodeficiency?
9 That's very different from an individual who may be
10 just minimally immuno suppressed. In other words,
11 they may have just a perturbation of one part of their
12 immune system, but not a complete loss. Pneumonia
13 certainly is a clinical syndrome that we worry a lot
14 about as is the fulminant hepatitis and pancreatitis.
15 Colitis and gastroenteritis certainly in the
16 transplant population. As I mentioned before, the
17 hemorrhagic cystitis and I just put up here for the
18 sake of completeness, the encephalitis, but this is
19 exceedingly rare in this population and I don't think
20 this is something we should really concentrate on.
21 These are rare case reports and I think that there are
22 some issues about whether that should really be
23 applied in any given model.

24 Next slide, please. We know that the
25 distinct serotypes have been associated or presumably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 cause disease in the immunocompromised hosts.
2 Serotypes 5, 11, 34 and 35 and I just underscore the
3 importance of 5 showing up there have clearly been
4 associated with infection and immunocompromised adults
5 and if we just look at, for instance, a series of 46
6 patients with Adeno 35, I mean this is sort of looking
7 the other direction. Clearly, a number of HIV
8 infected individuals have problems with 35 and 35 is
9 strongly linked to the hemorrhagic cystitis problem.
10 Bone marrow transplant and renal transplant as well as
11 severe combined immuno deficiency and then a few
12 individuals who are otherwise healthy, although that's
13 always a very difficult question of what's going to
14 happen, what diseases are they evolving and at that
15 time we have not characterized per se.

16 Next slide, please. What lessons have we
17 learned from the patients with primary or secondary
18 immunodeficiencies? We know that there are sporadic
19 neonatal adenoviral pneumonia which can be very severe
20 and they are very localized outbreaks with a fairly
21 high case fatality rate in newborn nurseries. We see
22 less and less of that now that we're better and better
23 at being able to recognize and cohort neonates in the
24 NQ. SCID population, in other words, patients with
25 severe combined immunodeficiencies and the absence of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 B and T cells, the patients who are at significant
2 risk in that circumstance. There's very high
3 morbidity, mortality. Small sets of case reports of
4 either hepatitis and pneumonia with extremely high
5 fatality rates, 80 percent or greater. The DiGeorge
6 syndrome-case reports of fatal hepatic necrosis and
7 then certainly now we move into the solid organ
8 transplant where we know both the infection of the
9 transplanted organ as well as reactivation in the
10 donor and these kinds of cases and reports have
11 clearly increased over the last 5 to 6 years in the
12 literature and if you just try and look at those very
13 carefully, part of it, I think is the reporting bias
14 of people beginning to catch on and look for this, but
15 there's no question that there is a clear morbidity
16 and some mortality associated with particularly in
17 solid organ transplant, individuals who are receiving
18 particularly severe immuno suppression.

19 And then the HIV population has been a
20 very interesting population for a number of reasons.
21 We've been able to identify new serotypes from the HIV
22 population and then the ever-present co-infection with
23 other pathogens which is a very important question in
24 adenoviral, particularly with pneumonia that there may
25 be other pathogens that may have kicked off a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 pneumonitis or a type of infection in the lung that
2 then has the adenovirus reactivation.

3 Next slide, please. So let's just
4 concentrate on bone marrow transplant. If you look at
5 the different published studies, the mortality is
6 anywhere from roughly 20 to 60 percent with risk
7 factors being the very young and the older patients
8 who are at greater risk for poorer outcome. Graft
9 versus host disease is a very important risk factor
10 for reactivation of adenoviral infection, particularly
11 the acute GVH, but it certainly can be seen in the
12 chronic and then the conditioning with T-cell
13 depletion and in a particular, HLA mismatching. I
14 think they're very important things.

15 Now the risk for adverse outcomes, we know
16 that individuals who have multiple sites, those
17 patients who have disseminated infection, as I put
18 that list 3 or 4 slides ago of two or more sites.
19 Those individuals are the highest risk for a poor
20 outcome. We know that serotypes, for instance, 2, 5,
21 7 and 9 are particularly important for pulmonary
22 disease in the younger patients and 11, 34 and 35 in
23 the hemorrhagic cystitis. And then this
24 ever-recurring question of co-infection with
25 opportunistic infections.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 Next slide, please. Now when we actually
2 look at some of the studies, for instance, we go to
3 the Flomenberg study, adenoviral infection occurred in
4 21 percent of 200 patients who were undergoing bone
5 marrow transplant. And of that, 6.5 percent overall,
6 or in other words, one third developed clinically
7 significant disease, so just taking that paradigm that
8 I was trying to convey in that slide a little bit
9 earlier, we know that from that study, particularly,
10 that the isolation of the virus for multiple sites and
11 the presence of GVH were very important risk factors,
12 as well as infection appeared more common in children
13 and this comes back to a point that I made with the
14 very first slide and that is with children who may be
15 immunologically naive, this very important question of
16 primary infection I think is all the more pressing
17 because of the clinical implications in an
18 immunocompromised child are quite literal, quite
19 significant. The time of onset in children, we knew
20 that these things come on much sooner, whereas in
21 adults you see them over a period of time. Again,
22 this may have something to do with reactivation versus
23 primary infection.

24 Next slide, please. From the big Mirza
25 study of 1300 adults, in that situation they looked at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 specifically adenoviral disease; 6 percent versus 1
2 percent in the autologous setting. They did not find
3 that GVH was a risk factor. They had a lower case
4 fatality rate than in some of the other studies, but
5 again, I think it was very important. If you look at
6 the Shields study going back even further, about 5
7 percent and what I think the message here is, even
8 though it may have been lower numbers earlier on,
9 we're getting more aggressive as a community with
10 respect to bone marrow transplant, longer, more
11 intensive therapies that put patients at greater risk
12 and probably more commonly used in the last 10 years
13 than they were the 10 years before or the 10 years
14 before that. So I would use that as one way of
15 understanding that and not to say that we've gotten
16 worse, per se in treating, just that we are better at
17 creating the circumstances where somebody is at
18 greater risk for developing that infection as we've
19 pushed the envelope of immunosuppression per se.

20 And then certainly the Blanke study of
21 13.5 percent among T-cell-depleted allogenic bone
22 marrow transplants. There again, a mortality of
23 roughly 50 percent GVH and co-infection were not
24 contributory in that study. So there are certain
25 current, such as GVH, which show up in some studies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 and don't show up in other studies and I think that we
2 have to sort of factors those into what specifically
3 is being done in those particular transplant
4 populations.

5 Next slide. So when we look specifically
6 at children, I think this is very important that the
7 adenoviral disease is about 18 percent in children
8 which is a higher number than we've really seen in
9 adults, particularly high in individuals who have
10 significant GVH, but also individuals without GVH so
11 I think in the pediatric setting it's still more of an
12 open question of the importance of GVH. We saw a lot
13 of adeno-12 in this particular study which is uncommon
14 in the normal host and the most important thing that
15 the authors of this study really suggested was
16 preconditioning, but I again point to the fact that
17 this was done 12 or 13 years ago.

18 Next slide. And then now we look at a
19 retrospective study looking in the last decade and we
20 see that -- I'm sorry, that's a mistake. That should
21 be 6 percent adenoviral disease, not infection, in the
22 pediatric population. I just noticed that. In that
23 setting, it was really restricted to mainly patients
24 with hematologic malignancies which raises this other
25 question, what's the underlying condition that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 child or the adult is receiving the transplant for?
2 Is that a contributing factor. And certainly having
3 an underlying suppression or loss of a immune function
4 is very, very important. And as we looked here, down
5 at the bottom, the type of graphs seen at the
6 mismatched or matched unrelated donors, appeared to
7 have a higher likelihood than the HLA-match and the
8 Autograft. Fits with the model that we'd seen before.
9 But as you can see, the numbers are floating about 3,
10 5, 10 percent at most of individuals in a cohort of
11 transplant recipients which develop significant
12 adenoviral disease and I think that's a number that
13 continues to be relatively consistent.

14 Next slide, please. And then the question
15 is where is this disease? Well, when we look at this
16 Hale study, the hemorrhagic cystitis was really a very
17 significant problem. We know that 7 of the 13
18 individuals died, but only one of them clearly died as
19 a result of the adenoviral infection that was
20 associated with significant hemorrhage and other
21 complications.

22 Can we really implicate other risk factors
23 such as total body irradiation or type of graft?
24 Certainly by different kinds of statistical
25 manipulations these things are brought up, but again,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 I think that's not the purpose of the discussion
2 today, but clearly these are underscoring the
3 importance of other events that are taking place in
4 these populations.

5 Next slide, please. So the hemorrhagic
6 cystitis is certainly something, I think, that we all
7 have to pause and think about because we know that
8 there a small cadre of otherwise healthy children who
9 develop hemorrhagic cystitis with adenoviral infection
10 and it can be a chronic debilitating problem. But
11 when you then put in the circumstance of a bone marrow
12 transplant and an underlying disease, it is a
13 particularly difficult disease and entity to treat and
14 this is one that I think we really have to watch very
15 closely. At the same time, we're able to actually
16 monitor by looking at urine samples and specifically
17 culturing urine samples for adenovirus.

18 Next slide, please. So really what do we
19 take from this transplant literature? Well, for the
20 older individuals we really look at this question of
21 reactivation and I think most people would agree that
22 it's reactivation in those populations who have a
23 defect or a set of defects that have been introduced
24 in the adult population.

25 In children, there clearly is this risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 for primary infection and you still have the
2 possibility of reactivation in children and I think
3 that that's something that still has to be
4 underscored, but the younger the child, the greater
5 the risk for a primary infection per se. We know that
6 case reports of primary infection can be devastating
7 in particularly young infants and we may really, as we
8 begin to bring bone marrow transplant into the infant
9 population, children under two years of age expect to
10 see increases and talking with some of the pediatric
11 transplant centers and being at some of their meetings
12 in the last year, there clearly are sort of anecdotal
13 references to this, but no one has put this together
14 in a large enough series, anyone who would have the
15 gumption to get up and show in front of an advisory
16 panel per se, but that's something that we need to be
17 concerned about and over the next year the Panel
18 should particularly have a close eye on that.

19 Next slide, please. So in the adenoviral
20 setting and particularly in the bone narrow transplant
21 population, we know that the primary infections are
22 things that we worry about, particularly in the
23 younger children. Reinfection is clearly another
24 issue that I've just barely touched upon, but
25 nosocomial transmission is clearly a very important

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 question and the question is who is susceptible to
2 that reinfection or exposure to a serotype that they
3 may have had, immunologic response to and have lost it
4 due to their underlying either disease or therapy and
5 then certainly reactivation which we know is very
6 important.

7 Next slide. Treatment, unfortunately, at
8 this time is still relatively limited. We don't have
9 good antivirals that are clinically in hand that have
10 progressed at least to a Phase III or to licensure in
11 the United States at this time. Ribavirin and
12 Ganciclovir have each been used. They are rare
13 anecdotal cases of successes, but I think the
14 overwhelming experience is that these are not
15 primarily successful therapies for adenoviral
16 infection and in particular adenoviral disease.
17 Intraviral IGG has certainly been used and there are
18 again anecdotal cases, but I would -- it's safe to say
19 that our treatment options at this time are extremely
20 limited, so an immunocompromised host who develops
21 this significant infection with adenovirus is really
22 in a very perilous state and much of their, I think
23 the reason for survival or success of getting someone
24 through really has to do with supportive care and
25 really most importantly the reactivation and the --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 and really, the reimplementation of their immunologic
2 system being reconstituted.

3 Next slide, please. Now in HIV, we see
4 enough people in the 1980s predicted that adenovirus
5 would be a very significant pathogen in the HIV
6 population and it really has not been. Other than the
7 chronic diarrhea, it really has not been a significant
8 problem in the HIV population which is something that
9 I think most of us would not necessarily have
10 predicted 15 years ago per se, in just thinking about
11 the transplant and/or cancer paradigms being
12 applicable to HIV. We know that there are a number of
13 individuals who can excrete adenovirus, particularly
14 in their urine and there's this famous case of the
15 question of recombination between 7 and 34 which I
16 think is a very important point that we have to at
17 least be aware that this has been shown in vivo or at
18 least suggested in vivo.

19 Next slide, please. So really the issues
20 again come back to this same slide for the sake of
21 time. I think I've emphasized them very strongly.
22 The state of the host immune function of the
23 individual and I think when we're thinking about gene
24 therapy protocols, this is very important. It's
25 probably a very different risk in an individual who is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 undergoing cancer therapy or in conjunction with
2 chemotherapy as opposed to an individual who may have
3 an underlying congenital disease or primary monogenic
4 disorder in which there is no known defect in the
5 immune system per se, but this is a vehicle to be able
6 to approach neurodegenerative disorders. I think
7 those are two very different poles. The exposure to
8 primary infection, certainly as we get younger becomes
9 more of an issue as well as the reactivation in terms
10 of what other concomitant therapies or changes are
11 potentially taking place as a result of the natural
12 history of the disease or therapies or supportive
13 therapies that are being offered to the individual at
14 that time.

15 Steroid, corticosteroids is always an
16 issue that's brought up and there's very, very little
17 data to really link corticosteroid usage and the
18 development of adenoviral disease and the
19 reactivation. That's a topic that has not really been
20 addressed and I have never really found anything
21 satisfactory in the literature to be able to address
22 that. But that's certainly something that I think is
23 important for this population.

24 Next slide. So really the future issues
25 we want to look towards the development of new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 antiviral therapies and I know of several different
2 approaches that are going forward, as well as the use
3 of cytotoxic lymphocytes is certainly being addressed
4 in several academic centers right now.

5 Early detection is very important and then
6 personally, my own laboratory is very interested in
7 this host susceptibility factors, looking at things at
8 the genomic level and asking the question are there
9 certain SNIPs that are going to predispose or protect
10 an individual who is at high risk for developing
11 adenoviral infection, but I think those are still some
12 way away. So I don't think that these, the host
13 susceptibility factors are really available in any
14 meaningful way to apply to any of the things that need
15 to be addressed by this Committee at this time.

16 Next slide, please. So really, in my
17 mind, there are some very important things I'd like to
18 end on, sort of as points for discussion and thinking
19 about and that has to do with the use of adenoviral
20 vectors and the question of adenoviral infection,
21 whether it's Iatrogenic or whether it's a natural
22 co-infection per se in the gene transfer protocols,
23 really has to do with the response and site of the
24 inoculation because there are certain places we know
25 that the adenovirus replicates particularly in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 respiratory epithelium and we know that pulmonary
2 disease can be a significant problem. And similarly
3 in hepatic cells so the inoculation into each of those
4 places, I think, raise very important questions. The
5 state of the host immune function is very important,
6 both with respect to the changes that are being
7 undergone at that time that are either iatrogenic or
8 disease related, but then also this very, very rare
9 case, I think, at least gives us pause to at least
10 consider this question of recombination events and
11 thinking about really what kinds of things could
12 potentially go wrong and there's no real data in the
13 animal literature to really validate this per se with
14 respect to gene therapy reported studies per se, but
15 again, it's a theoretical question that I feel morally
16 obligated to at least toss that out for discussion.

17 Next slide. So why don't I stop there and
18 see if there are any specific questions and I'm sure
19 we'll have discussion.

20 DR. NOGUCHI: Dr. Chanock, what is the
21 experience in terms of adeno infection in patients
22 with chronic hepatitis? Does it add to any risk? Is
23 there any literature on that?

24 DR. CHANOCK: There are a couple of very
25 small studies that suggest that reactivation of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 adenoviral infection in the course of chronic
2 hepatitis, whether it's with a known B or C or whether
3 it's chronic active hepatitis without a known
4 pathogen, it may contribute to that, but I would say
5 that that literature is extremely rudimentary and I'm
6 not sure there's a lot we can do to generalize on
7 that, simply because what we're looking at are case
8 reports, basically, where someone sees something and
9 says yes, this may mechanistically make sense, but I
10 don't -- I'm not aware of an extensive literature on
11 that.

12 DR. SALOMON: One of the things that I'm
13 trying to now put this back into the context of the
14 way it was, we were going to try and present this in
15 the -- so we're not going to talk about the
16 replication competent adenovirus issue because that's
17 going to come up later, not during this session but in
18 a subsequent session, but I think everybody should see
19 first just so that the record is clean for later that
20 this thinking about what the implications of
21 adenoviral infection in different patient groups that
22 you so expertly presented today is very relevant to
23 our thinking about the quantity of replication
24 competent adenovirus that might be contained in a gene
25 therapy trial. But I'd like to take a moment, you do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 bring up a couple other issues about adenoviral
2 therapy that might be worth mentioning and the
3 question I had with regards to this reactivation. So
4 I'm used to it as a transplanter with CMV infection
5 and CMV disease and reactivation, so this is just all
6 -- I'm used to this one. This is easy.

7 But what we're saying here is there are
8 two things that we're interested in in this session.
9 Tomorrow, we're going to talk about long-term
10 follow-up. One of the ways that we tried to think
11 about long-term follow-up and how that impacted on
12 regulation was the idea if you had a non-integrating
13 virus, that long-term follow-up was maybe less of an
14 issue than with an integrating virus. However, if
15 this non-integrating DNA, double strength DNA virus,
16 the adenovirus is actually capable of reactivation
17 later, then I have two questions. One is maybe that's
18 not true. Clearly, just integration is not the
19 measure of long-term follow-up and then the question
20 is is the production of adenoviral vectors being done
21 in such a way that they are not taking on latency,
22 that this is unique to the wild type virus. That's
23 one question I had for you. And then the second is
24 closely related and that is, if that's not true and if
25 you have long-term persistence of adeno-DNA and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 adenoviral vector exposure, how much possibility is it
2 that every year you get an adenoviral infection and
3 you get recombination finally?

4 DR. CHANOCK: Let me start with the second
5 one. I think the second is -- they're both excellent
6 questions. The second one I don't think anyone has
7 data at this point to really answer that sufficiently
8 and that's the kind of thing that I would think that
9 as we go forward with these trials that we would need
10 to continue to monitor because I think that is a real
11 concern and a real question.

12 The issue of using a very immunogenic
13 adenoviral serotype such as 2 or 5 is the backbone
14 which we know generates a very good immune response
15 that presumably is for life in most in vitros unless
16 there's some kind of insult or diminution of their
17 immune function, but that doesn't mean that closely
18 associated by DNA homology groups, adenoviruses may
19 not undergo a recombination event and that HIV case is
20 one that just points that out in my mind as something
21 to think about. Again, I want to emphasize that's
22 occurring in a very particular individual who's at
23 very high risk, who the presumption is at least in our
24 current understanding of adenoviruses is that that
25 person probably has very high titers and was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 continually infected per se with adenovirus. And
2 that's a very important question because I'm going to
3 slide into your first question because then it comes
4 back this issue of how do we make sense of this
5 paradigm that's been put forth of adenovirus not being
6 an integrating double stranded DNA, but yet that
7 Columbia data suggesting that IPCR in the lymphocytes
8 of individuals who have been infected, that they may
9 be asymptomatic, but a good percentage of them have
10 adenoviral sequences. That kind of study is, I think,
11 provocative. It hasn't been carried to the point that
12 you would say that all the controls are done to be
13 sure that some portion of the adenoviral genome hasn't
14 been necessarily integrated or hasn't been picked up
15 by some other pathogen, whether it's EBV, any number
16 of other things that we know can go underground, so to
17 speak, in lymphocytes. But I think that's the kind of
18 question that we need to go back to the community and
19 look at much more closely and that people who are
20 interested in this have to at least address that and
21 think about that because the answer really is not
22 available at this time. I think that's the kind of
23 thing that although I recognize that this body is
24 trying to help guide and set guidelines, but at the
25 same time we can also identify questions that we hope

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 experts who are looking at this in the community would
2 say yes, we can apply our system and analyze the
3 appropriate data or animal system, whatever to be able
4 to address these kinds of questions.

5 DR. SALOMON: I think my comment to that
6 and we can get some more comment is just exactly that.
7 I think one of the responsibilities, at least that I
8 take on as chair is that I don't want us to be making
9 advice when there isn't the information and the
10 community to make it, so it's exactly what you said.

11 DR. MULLIGAN: I'm interested in the
12 question of how many virus particles would it take to
13 initiate an infection and I love the swimming pool --

14 DR. CHANOCK: I thought I was going to get
15 away for voting before that.

16 DR. MULLIGAN: I love the swimming pool
17 issue and that is what I'd really like to know is
18 every time someone jumps in the swimming pool when you
19 have these outbreaks, what's the probability that they
20 will get a disease from this because the amount of
21 virus particles, I was trying to calculate how many
22 liters a swimming pool was to what the concentration
23 of virus would be to give you a small number.

24 The suggestion is it's a very small number
25 that's necessary, right?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. CHANOCK: Correct. And you know, in
2 the swimming pool the question is how much of it is
3 the water and how much is it the lack of hygiene in
4 the dressing rooms and the close proximity of people
5 who are barely clothed, touching and bumping into each
6 other. I mean you can imagine the hospital
7 epidemiologists are very interested in this kind of
8 thing and it continues, it's a classic board question
9 and things put before people in training. But what I
10 think is also very important is not all adenoviruses
11 are the same in terms of their infectivity, as well as
12 their tropism for both tissue and subsequent
13 development of disease. Two and 5, we know, certainly
14 have been certainly seen in sort of small, endemic
15 respiratory outbreaks in military recruits, prisons,
16 centers where a number of children are kept, for
17 instance. And so there is some information on that.
18 The question is how many actual particles, I don't
19 think anyone really knows that answer. The animal
20 models and particularly the cottontail rabbits that
21 are used for infection, those kinds of systems are
22 helpful, but in terms of being able to actually
23 calculate what's the viral titer of actual replication
24 competent adenoviruses that it takes to engender an
25 infection, that's still very much of an open question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 DR. MULLIGAN: What about a single cough?
2 Is there any experimental work that says how many
3 virus particles are put out from a single cough of an
4 infected person?

5 DR. CHANOCK: Actually, going back a
6 number of years there were studies of that nature and
7 that's the infectivity of a cough? I would have to go
8 back and look. I know there's very strong information
9 for respiratory, stentitial virus and parainfluenza 1
10 and 3 which are big problems in the pediatric setting.
11 I'm not sure that those studies have been carried on
12 in adenovirus per se, but I think that information may
13 be available. I just don't have it in my fingertips.

14 DR. MULLIGAN: I think that the question
15 on this is just if you want to set a certain limit,
16 does the limit always have to be zero, that is, how
17 many -- if you thought that one or a couple of virus
18 particles had a certain measurable frequency of giving
19 -- you should be very, very serious about setting
20 those limits far off.

21 DR. SALOMON: I was actually laughing when
22 you said that because I like the swimming pool concept
23 myself. I think that the agreement that we sort of
24 had going into this is that there's no question that
25 this is really an important thing to talk about, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 that we didn't think that we had, we really were
2 setting it up for this discussion yet.

3 So I think I'm going -- unless my -- the
4 FDA tells me no, go for this -- no.

5 DR. MULLIGAN: I'd just like to ask them
6 what is the topic here?

7 DR. SALOMON: What we were -- there's two
8 things. That's what I was trying to explain before.
9 I didn't do a good job apparently. Initially, what we
10 wanted to do was get into this sort of new setting of
11 how many replication combinant adeno could contaminate
12 a clinical lot. And it was decided just because of
13 the interest of time and the important of that
14 discussion that we wouldn't get into that right now,
15 that we would make it a separate committee discussion
16 later and I said that, but I guess I didn't make it
17 clear enough.

18 Nonetheless, I think that we felt very
19 strongly to have -- to go on with the presentation,
20 changing its focus a little bit, in that it's really
21 a beautiful introduction into the afternoon's topic
22 about clinical issues in gene therapy, because it's
23 the way of saying that really, our understanding of
24 the behavior of the wild type pathogens that we've
25 made into vectors and their behavior in different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 situations and their biology, like this issue of are
2 there episomal, double-stranded DNA or is it
3 integrated in some. I don't think any of those -- is
4 really the kinds of directions this committee and the
5 whole field need to go into. So I think we'll take it
6 as an introduction and realize we won't get to discuss
7 all of the -- because I think there's a lot of
8 interesting things to talk about here.

9 DR. CHAMPLIN: Quick comment. I'm
10 impressed that this is really a safe virus. You
11 really have to have a pretty profound immune
12 deficiency to get sick here and even more than half of
13 the bone marrow transplant patients do just fine with
14 this virus and in the absence of profound (The
15 document referred to was marked for immune deficiency,
16 it doesn't cause serious disease. So not to say we
17 shouldn't be concerned about it, but of the spectrum
18 of viruses that one can think about this would seem to
19 be on the safer end of the spectrum because the immune
20 system seems very effective to deal with this
21 particular virus.

22 DR. SALOMON: Well, remember though again,
23 this is kind of segueing into the discussion, we have
24 the sense that it's a safe virus because we're not
25 seeing a whole lot of sick patients say in our bone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 marrow transplant patients, but there's a whole lot of
2 protection between them and people with adenovirus.
3 So we don't really know how many particles are
4 floating by. So if 6.7 percent is of the patients are
5 getting -- is the incidence of getting infected and
6 having disease is actually because one viral particle
7 got sneezed out on the parking lot, floated through
8 the ventilation system and into your transplant
9 patient's bedroom, then it's a damn serious virus in
10 an adenoviral gene therapy trial. I don't think we
11 can answer that question.

12 DR. CHANOCK: I think there is some
13 information that, in fact, this is not as infectious
14 as for instance measles or chickenpox which, in fact,
15 can have that where someone can be on a ward one floor
16 away and be highly at risk and develop it because
17 somebody coughed and it went through the ventilation
18 system. I think the point is very well take that it's
19 remarkable that this is such a ubiquitous virus and so
20 many people see it and in many ways we're lucky and
21 it's important to recognize that that many immunoviral
22 compromised patients are not coming down with it, the
23 majority are not. But again, what I would want to
24 leave as a very important point is really age, I think
25 is an important thing that we really have to think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 about and I realize that's always a difficult issue
2 when you're trying to set up programs, but for the
3 clinical implications, you know, of adenovirus in a
4 very young child, they're probably very different than
5 an adult because someone at age 20 who may be
6 undergoing a gene therapy protocol has seen most or
7 all of the adenoviruses that are going to be used as
8 the background multiple times, over and over and
9 they're probably going to be able to handle those
10 whereas the very young child is a very different
11 question.

12 DR. SALOMON: I'm ready to go on, except
13 Abbey, I didn't mean to cut you off. Is it okay?

14 MS. MEYERS: Maybe somebody can answer
15 this later on this afternoon, but they've been using
16 the adenovirus all these years in gene therapy. I
17 remember there was one experiment with cystic fibrosis
18 where there was a very severe reaction. I'm wondering
19 if somebody can tell us what the results are, what
20 were the adverse events in adenovirus experiments and
21 was there any pattern?

22 DR. SALOMON: Again, I don't think that's
23 exactly where we want to go this afternoon, but I
24 think Dr. Chanock is the world's expert on this, but
25 he can certainly your question briefly, I think.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. CHANOCK: I know there have been a
2 couple of instances where individuals have received an
3 adenovector and had an acute pulmonary type of
4 infection where it looked like they had pneumonia or
5 indeed actually had pneumonia and of course that's a
6 risk that you have to face, for instance, in a patient
7 with cystic fibrosis, but I would take the more
8 philosophical step back that I think that for many of
9 the reasons we talked about before, this is a
10 remarkably hardy and very useful vector and I know,
11 you know, we have to be very valued in pushing the
12 envelope and if we don't we're not going to make the
13 next steps because as you know, gene therapy still has
14 a ways to go before it really is a defined and truly
15 successful therapy and I think that those kinds of
16 risk benefit analyses, again, I would fall more on the
17 side of using an adenovirus knowing that we have that
18 risk in certain patients, but as long as they
19 understand and everyone else understands, those are
20 questions we can talk about alter.

21 DR. SALOMON: Yes, I think we'll have to
22 stop there and realize there's a lot to talk about
23 with adenovirus. That was a good introduction.

24 I'd like to introduce -- no. I've been
25 reminded by my better two-thirds that I now have by my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 obligation to open this up to anyone in the public who
2 would request to speak. This is the open public
3 portion and no one had asked to speak, but I'm still
4 requesting if anyone would like to.

5 Yes? Just identify yourself.

6 MS. CHRISTENSEN: I'm Janet Christensen
7 with Targeted Genetics, please excuse my voice. I'm
8 not trying to imitate Lauren Bacall. It's just coming
9 out that way.

10 I wanted to take a couple of minutes just
11 to address some of the issues that were raised this
12 morning about quality assurance and quality control.
13 I realize it's kind of wedged in here at kind of an
14 awkward time. But I think there were some good
15 questions raised by the committee about complexities
16 of quality control and quality assurance and the
17 issues on investigators and sponsors as they're trying
18 to develop these new technologies.

19 I've had the pleasure and sometimes I
20 reflect on that, yes, it's been a pleasure, in the
21 last 22 years of being direct, very involved in
22 quality assurance and quality control and I got
23 involved in the entire recombinant DNA process back in
24 the early to mid-1980s. At that time, I would say
25 that the recombinant DNA issues and activities back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 then were probably pretty analogous to where a lot of
2 the gene therapy and gene transfer issues are today
3 and even though there's a lot of different types of
4 concerns about documentation and what appear to be
5 very, very onerous issues, it's not reinventing the
6 wheel here.

7 Back in the 1980s it was well, gee,
8 biotech is different, we don't need to follow GMPs
9 because we're different. Well, at the end of the day
10 the answer is guess what, it's not different. The
11 issues are the same. The documentation systems, the
12 way that companies and investigators can structure
13 their quality program can be an added value to not
14 only the study, but the patient and the product as
15 well. They don't have to be highly complex. My view
16 on this sliding scale for GMPs is that you have GMPs
17 in Phase 1, but they may not be as complex. They may
18 not be as detailed. The compliance issues for quality
19 control and quality assurance in my view help to
20 validate the clinical trial. They help to ensure that
21 the product and the result that you're seeing from the
22 patient, albeit safety or efficacy or whatever, are
23 really founded in science by reducing variables. So
24 I think in viewing, excuse me, in viewing the whole
25 issues about quality control and quality assurance, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 thought Mary did a great job in kind of giving a good
2 framework for that today. But I'd like to assure the
3 committee that there are a tremendous amount of
4 resources available for the industry. I think it's
5 been raised and I think it's a very good point that we
6 somehow need to bring those two together, either
7 through ASGT, meetings like this, the outreach program
8 the FDA is doing to ensure that we can get information
9 to these groups earlier and investigators earlier
10 rather than later, so we can keep maintaining some
11 momentum with the industry.

12 Thank you.

13 DR. SALOMON: Well said. Okay, anyone
14 else?

15 All right, then the two photographers
16 jumped up. I thought my God, they're going to address
17 the audience on --

18 (Laughter.)

19 You're more than welcome, too.

20 (Laughter.)

21 I'd like to introduce two more people to
22 the table, old friends, Karen and Weiss and Patricia
23 Keegan for the afternoon, and Dr. Salewski, I'm sorry.

24 DR. WEISS: I was just going to say as Dr.
25 Keegan is walking up to the podium that as you know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 we're shifting gears a little bit this afternoon to
2 talk specifically on clinical issues and more
3 specifically to issues on clinical trial conduct,
4 issues that deal with monitoring of the clinical
5 trial, oversight functions of the sponsor of the whole
6 clinical program. The presentations this afternoon
7 will be two. First, Dr. Keegan will continue on with
8 the responses to the March 6, 2000 letter that
9 specifically asked our sponsors to address their
10 monitoring and oversight functions and some specific
11 issues related to the pre-clinical program. Then Mr.
12 Salewski will follow to talk about inspections that
13 were done at various clinical trial sites and after
14 that we can open it up then for some discussion and we
15 have some focus questions for the committee regarding
16 trial conduct. So with that let me introduce Dr.
17 Patricia Keegan who is the Deputy Director of the
18 Clinical Trial Division to discuss the additional
19 responses to the March 6th letter.

20 DR. KEEGAN: Okay, thank you, Karen. What
21 I'll do is review a little bit of the background and
22 the process and then our review of the responses to
23 the letter and the process that has continued beyond
24 the initial set of responses.

25 Go to the next slide. In way of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 background which is obviously redundant to this
2 committee, but part of the issue with regard to the
3 March 6th letter was the death of the patient
4 participating in a gene therapy protocol which was a
5 highly unexpected event and in reviewing the
6 circumstances surrounding that adverse event there was
7 an inspection conducted of the clinical study site
8 which revealed deficiencies in the conduct of the
9 clinical trial, including failure to adhere to the
10 clinical protocol, failure to report on modifications
11 to that protocol to the appropriate bodies and failure
12 to provide all relevant animal safety data.

13 Next slide. Based upon the concerns
14 raised by that inspection and the events surrounding
15 that event, FDA determined that there were certain
16 actions which should be undertaken to further assess
17 the scope of this problem and those actions were
18 really two fold with regards to clinical protocols and
19 clinical trial conduct. The first was a series of
20 unannounced inspections of a limited number of
21 randomly selected sites participating in gene therapy
22 studies and Dr. Salewski will review that process.

23 I will discuss the March 6th letter one of
24 the aspects of which requested information on the
25 clinical trial monitoring program from all IND

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 sponsors as well as requested confirmation of
2 adherence to reporting of all relevant animal safety
3 data.

4 Next slide. I'm going to review what was
5 in that letter, in part, because I actually needed to
6 review it several times in looking through the
7 responses and in talking to my reviewers because it's
8 clear that very few people carefully read the contents
9 of the letter and availed themselves of the references
10 cited in the text and therefore the responses really
11 didn't address the question, but the question as
12 originally asked was as follows: that the sponsors
13 provide a two to three page summary of their
14 procedures in place that ensured that the clinical
15 trial conduct was appropriate. In particular, it asks
16 that the summary of procedures that were in place to
17 ensure that there was adequate monitoring of the
18 clinical investigations and to demonstrate that the
19 trials were being conducted in accordance with both
20 the regulatory requirements for the IND regulations,
21 good clinical practices and the written protocol.

22 Next slide. It further stated that these
23 procedures would be those that would ensure that the
24 monitoring was adequate to demonstrate that the rights
25 and well-being of the human subjects were protected

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 and that data reporting, including safety data
2 reporting was being made in accordance with those
3 regulations to the IND sponsor to the Investigational
4 Review Board and to the NIH Office of Biotechnology
5 Assessment and that it was complete and accurate.

6 Further, that the procedures demonstrate
7 that the IND sponsor had adequate oversight over the
8 clinical investigation and in order to address that
9 question we specifically asked for an organization
10 chart which identified the individuals responsible for
11 the oversight of the clinical study and a summary of
12 his or her duties.

13 And in those instances, where the IND
14 sponsor had transferred some or all of his regulations
15 to another organization, we asked that -- we have a
16 summary of the procedures that demonstrated that there
17 was adequate oversight and for the CRO that there be
18 verification or for the monitoring body that had
19 overtaken or some of these obligations from the IND
20 sponsor, we asked the sponsor themselves to verify
21 that they were aware that the obligations for
22 oversight were being appropriately met and that they
23 were to provide a summary of the CRO's oversight
24 procedures.

25 A separate item in that letter requested

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 confirmation that all required animal safety data have
2 been submitted to the IND or if there was some areas
3 of clinical studies which had been of -- of the animal
4 safety studies which had not been appropriately
5 submitted, that they be submitted at this time in
6 response to the letter.

7 That the animal studies in regard were
8 those which suggested the clinical -- significant
9 clinical -- I'm sorry, that the results from the
10 animal studies that we were requesting confirmation
11 had been submitted were those animal studies that
12 suggested that significant clinical risk might exist
13 and that those studies were required to be reported in
14 writing to the FDA and that all investigators should
15 be aware of their obligations to report such studies
16 within 15 calendar days after initial receipt of such
17 animal studies and that IND annual reports are
18 intended to include a summary of major preclinical
19 findings.

20 The March 6th letter was sent to 156
21 individuals who were holders of 276 total IND or
22 master files. The number of letters were less than
23 the number of files because certain individuals held
24 more than one file. The responses to date as of March
25 8th of 2001, we have had, we have received responses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to 200 INDs. The total number of INDs are smaller
2 than the total number of sponsors and master file
3 holders for certain reasons. In those instances, as
4 I've explained in the briefing document where active
5 studies were on-going and it was no response to
6 receive those INDs have been placed on clinical hold.
7 In other instances, INDs had been previously withdrawn
8 or there had been an error in terms of the relevance
9 of a certain master file to the March 6th letter and
10 so there are some differences in terms of the number
11 of responses.

12 The response to the letter has been
13 reviewed and comments communicated to 165 IND holders
14 regarding the adequacy of the clinical monitoring
15 program.

16 Next. For those 165 INDs, we noted that
17 there were really sort of two categories of initial
18 response. There were a number of studies,
19 approximately 30 or 15 percent of the total active
20 INDs at the time that the March 6th letter was sent
21 out where the sponsors replied that they had completed
22 all studies, no further development was planned, no
23 further studies were planned, no patients were in
24 active follow-up and those sponsors chose as their
25 response to the March 6th letter to indicate that they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 would either inactivate or withdraw their IND. And
2 generally, I think there are only two exceptions,
3 didn't provide further information on the clinical
4 monitoring program.

5 I'm sorry, go back one. In the remainder
6 of the INDs where the studies were active and we have
7 reviewed the initial responses, it's clear to us that
8 there was some confusion about what we were asking for
9 because most of the responses really did not contain
10 adequate descriptions. They were deficient in their
11 description of the program. I'm not saying that the
12 programs themselves were deficient, just that they
13 didn't contain enough information to describe the
14 programs.

15 With regards to those 165 INDs where we've
16 completed all review and made communications, there
17 are 26 INDs where the description of the program has
18 been reviewed and in some cases has involved review
19 of multiple submissions and resubmissions to the IND
20 and we've determined that the program as described is
21 adequate to fulfill good clinical practices.

22 There are 139 INDs under which there are
23 212 protocols which have or are being conducted where
24 the description of the clinical monitoring program is
25 not full or complete. For six of those, the INDs were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 actually withdrawn prior to or inactivated prior to
2 that March 6th letter and we don't anticipate
3 receiving additional information on those.

4 There are 27 INDs where the sponsors
5 asserted that all the clinical studies have been
6 completed, no additional patients continued under
7 follow-up and they have chosen to withdraw or
8 inactivate their INDs and again, we don't really have
9 any information on their programs at this time, but
10 should they choose to reactive the INDs that will be
11 a condition of their reactivation, that they provide
12 complete and full detail on their monitoring programs
13 at that time.

14 There are 106 INDs which remain active,
15 where there is not complete information, sufficient
16 information to assess the adequacy of the monitoring
17 program and for those 106 INDs all the sponsors have
18 been contacted and provided with a description of the
19 deficiencies. And that gave an example letter in the
20 background materials as to the kinds of information
21 and the level of detail so that it would alleviate the
22 confusion of the initial, more summarized letter.

23 There were, as of March 1st, 35 INDs that
24 remained under review. Those INDs have been cursorily
25 analyzed, but either the information has not yet been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 collated and entered into our database and/or we are
2 in the process of requesting that the sponsor send
3 additional information. So we haven't completely
4 closed them out in terms of the first cycle.

5 I would also summarize the experience
6 since the March 6th letter in terms of new gene
7 therapy INDs and we have held that the INDs, all gene
8 therapy INDs should contain this information,
9 including those submitted since March 6th. There have
10 been a total of 32 new INDs submitted since March 6th
11 through March 8th of this year. Five were withdrawn
12 prior to initiation, there were 16 active INDs which
13 provided at least some of the information regarding
14 the clinical monitoring program and a few of those
15 we've requested additional information to tie up some
16 areas which need further detail. There were 11 INDs
17 which were placed on clinical hold for failure to --
18 generally, for multiple reasons, among them failure to
19 provide information about their clinical monitoring
20 program as described in the March 6, 2000 letter and
21 again, those sponsors have received a more detailed
22 letter regarding exactly the type of information we
23 would like to see.

24 Next. In terms of the initial response to
25 the March 6th letter, the major issue really seems to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 be that we -- although we thought we were being clear,
2 we obviously weren't and most people essentially
3 missed the boat on what we were asking for and simply
4 failed to provide an answer to the question being
5 asked. In particular, most individuals failed to
6 describe the procedure for monitoring adherence to the
7 protocol and to GCPs. Most of them failed to describe
8 their auditing procedures, for auditing the primary
9 study information and verifying the accuracy. And
10 interestingly, many -- virtually all of the sponsors,
11 with the exception of some of the industry sponsors,
12 really failed to understand that we did indeed mean
13 that we wanted to see an organizational chart of the
14 individuals who were responsible for this program.

15 Next slide. What did we get? We did
16 generally get a description of the procedures that
17 investigators use at the time of implementation of the
18 protocol that they hoped would ensure that the
19 protocol ran smoothly. For example, the type of
20 things that we would receive would be the investigator
21 would generate an eligibility checklist and would
22 agree to fill out the checklist prior to entering or
23 registering a patient on to study. That is different
24 from the type of information we expected to see with
25 regards to monitoring which documented that, in fact,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005