

1 strikes and you're out. At the 56, if it's still
2 NAT negative, EIA positive, RIBA negative or
3 indeterminate--I mean Western Blot negative or
4 indeterminate--they could be studied again at a
5 later interval, and if there is some--if they've
6 had a flu vaccine or something like that,
7 theoretically it would eventually, could eventually
8 disappear, if you thought that person was actually
9 not infected.

10 Yes?

11 DR. HOLLINGER: On the time period, I know
12 it's always difficult to put down a specific time
13 period, but it was my impression that at least one
14 or some of these HIV sero--I mean, the
15 seroconversion might occur up to 60 days or so,
16 which is a little over eight weeks. I just feel
17 more comfortable making that at least three months
18 in there. There's a confidence interval here. And
19 if I'm wrong about that information, that
20 everything is going to convert by 56 days, and if
21 you're absolutely certain about that, then I'll
22 feel comfortable with eight weeks.

23 [Laughter.]

24 Is that correct? I see you're nodding
25 heads. It looks like a bunch of these people I

1 have in the back of my car, you know.

2 [Laughter.]

3 DR. STRAMER: I've never been in your car.
4 Everyone will, every donor that I have ever seen--

5 DR. NELSON: Mike.

6 DR. STRAMER: From every donor that has
7 been studied since we first identified plasma
8 seroconversion series, you know, over 10 years ago,
9 everything happens within 7 to 14 days. People
10 become, especially on the screening tests we're
11 using today, people become EIA repeat reactive very
12 quickly, and then there may be a prolonged period
13 of time of Western Blot indeterminate before they
14 become fully Western Blot positive, but certainly
15 the seroconversion to EIA repeat reactive on the
16 screening tests we're using today is a very short
17 process. Going to p24 antigen after NAT reactivity
18 occurs within just several days, and then onwards
19 to antibody testing again takes only a week or two
20 weeks at the longest. The longest donor we've had
21 has been 42 days, and it's only been 42 days
22 because of the error in one week after the sample.
23 It's just an inter-assay--it's a sampling frequency
24 issue.

25 DR. NELSON: And in order to be considered

1 for reentry, somebody who was EIA reactive and
2 indeterminate would have to go to negative in that
3 56 days, and really be infected, and NAT negative,
4 according to this. So I think it's--I mean, it's
5 probably--but we can vote on this, and then if you
6 want to propose a different time period, we can
7 vote on that, as well. Let's vote on 56 days.

8 Oh, do you have a question?

9 DR. MITCHELL: So if we vote on this, can
10 somebody come in after eight weeks and get the
11 follow-up test at the same time that they're
12 donating a unit, and then just have two different
13 tests?

14 DR. NELSON: No, no, no. What we're
15 voting on now is that there has to be this
16 interval, and the question doesn't say that it's
17 part of a repeat donation.

18 DR. MITCHELL: But my question was, can it
19 be? Can you, at the same day, on the same day,
20 donate for the second and for a unit?

21 DR. NELSON: I don't think so.

22 DR. MIED: With this proposal, you
23 wouldn't have the test result from the sample.

24 DR. NELSON: Right. You would need to get
25 the result back, and it could be the next day. And

1 it has to be an EIA, so--

2 DR. KOERPER: The way this is written, the
3 follow-up is a sample, it's not--

4 DR. NELSON: Yes, it's not a donation.

5 DR. KOERPER: But right now we're being
6 asked to vote only on the time interval, not what
7 it is that gets tested after the time interval.

8 DR. NELSON: Right, right.

9 DR. KOERPER: So if we want to propose to
10 change the algorithm, that has to be a separate
11 question. We're just being asked to vote on the
12 time interval.

13 DR. MITCHELL: Yes, I understand. I
14 understand that, but the question is, what is a
15 sample? And I think that you answered it, that
16 they need the results from that sample before they
17 can collect a unit, and I think that that needs to
18 be clear.

19 DR. NELSON: Okay. Let's vote. So the
20 issue is, a minimum of 56 days. It could be a
21 maximum of, I don't know, 10 years. All those
22 voting yes?

23 [A show of hands.]

24 DR. NELSON: Voting no?

25 Abstentions?

1 Consumer representative?

2 MS. KNOWLES: Yes.

3 DR. NELSON: Industry?

4 DR. SIMON: Yes.

5 DR. SMALLWOOD: Result of voting on
6 Question No. 3 pertaining to HIV test result, and
7 the minimum time would be 56 days. It was a
8 unanimous "yes" vote of 15 votes, no "noes", no
9 abstentions, and both the consumer and industry
10 representative agreed with the "yes" vote.

11 DR. NELSON: Okay, let's move to hepatitis
12 C. Six months is the proposed interval. Comments?

13 Those voting yes on six months for
14 hepatitis C?

15 [A show of hands.]

16 DR. NELSON: Those voting no?

17 Abstentions?

18 Consumer?

19 MS. KNOWLES: Yes.

20 DR. NELSON: Industry?

21 DR. SIMON: Yes.

22 DR. NELSON: Okay.

23 DR. SMALLWOOD: Results of voting on
24 Question No. 7 pertaining to HIV test results,
25 minimum time of six months, unanimous "yes" vote of

1 15 votes. Both the consumer and industry
2 representative agreed with the "yes" vote.

3 DR. NELSON: Okay. For the final two
4 questions, I'd like to lump them because I think
5 they're pretty much identical, unless somebody has
6 an objection to this. But the question is, should
7 the blood establishment have the option of
8 continuing to follow up a donor who is NAT
9 negative, persistent either HIV repeat reactive,
10 and not--with negative or indeterminate
11 confirmatory assays, for potential reentry?

12 DR. HOLLINGER: Why is this being asked?

13 DR. NELSON: I don't know. I know the
14 answer. I don't understand the question.

15 [Laughter.]

16 DR. NELSON: Jay?

17 DR. EPSTEIN: Currently we don't allow
18 that.

19 DR. NELSON: Currently the FDA doesn't.

20 DR. HOLLINGER: I see.

21 DR. STRONCEK: Let me get a clarification.
22 What you said is different than what's written
23 here. Is that the intent of the FDA, to make that
24 an anti-HIV, EIA repeatedly reactive, and Western
25 Blot indeterminate or negative?

1 DR. NELSON: I think so. I think that--I
2 did embellish it a little bit, but I think what
3 the--if the issue is that this may be a false
4 positive EIA, then it's an EIA positive test that
5 is not--that has a negative confirmatory assay or
6 is not--and I would be, I think that's an issue,
7 because I think this could pick up, if the EIA is
8 repeatedly positive, it could pick up a variant
9 virus that maybe the primers in the NAT, you know,
10 are not. Or it could pick up an HIV-2 or something
11 like this. And so I would think that if--you know,
12 I would be uncomfortable with a confirmed repeat
13 reactive ELISA in, you know, a couple of occasions
14 being--that person being eligible for reentry.

15 Mike?

16 DR. BUSCH: Yes, I think once they're
17 confirmed, if on a retest you're EIA reactive and
18 confirmed positive, you're permanently deferred. I
19 think that's a given.

20 DR. NELSON: Right.

21 DR. BUSCH: I mean, if they have a
22 persistent nonspecific EIA reactivity, with either
23 a negative or a persistent indeterminate band, I
24 mean, to me those donors are not infected, and a
25 negative NAT.

1 DR. NELSON: Right.

2 DR. BUSCH: And the issue then becomes,
3 you know, if you have a double hit on a false
4 reactive EIA, are you permanently ineligible for
5 reinstatement? And that's the problem I was
6 alluding to earlier. The reality is that if you're
7 not changing screening tests, you know, a good
8 percentage of these people will persist false
9 reactive, so in essence you're going to kill the
10 donor. By trying to reinstate them, you're going
11 to permanently defer them. And you're better off
12 to wait years, until you change screening tests,
13 and you're not offering the donor even the option.
14 You know, as a program, I would not encourage
15 trying to reinstate those donors because of the
16 high probability they will defer and then be
17 permanently--

18 DR. NELSON: Okay. Well, let's--a quick
19 one, Celso?

20 DR. BIANCO: Just to add that many of the
21 donors that were deferred in the early days of HIV
22 because of antibodies to HLA and all that, they
23 were--they cannot be reentered.

24 DR. NELSON: Right. Okay, let's vote on
25 this one, and now we're talking about both HIV and

1 hepatitis C, if the committee agrees that this is a
2 good strategy.

3 DR. SIMON: I think it's going to be
4 impractical for most organizations to do this, but
5 I think the reason for leaving it open here--

6 DR. NELSON: It's permissive, yes.

7 DR. SIMON: It's permissive, and for
8 changes in technology that would allow you to
9 clarify.

10 DR. NELSON: Right.

11 DR. SIMON: And again, this would probably
12 be used for that particular special donor that
13 serves a certain purpose, so in that respect I
14 think it would be a positive move.

15 DR. NELSON: Okay. All of those voting
16 yes on this question?

17 [A show of hands.]

18 DR. NELSON: Voting no?

19 Abstentions?

20 [A show of hands.]

21 DR. NELSON: Consumer rep?

22 MS. KNOWLES: Yes.

23 DR. SIMON: Yes.

24 DR. NELSON: Okay.

25 DR. SMALLWOOD: The understanding is that

1 the committee is voting on both Questions 4 and 8.
2 Results of voting, 14 "yes" votes, no "noes", one
3 abstention. Both the consumer and industry
4 representative agreed with the "yes" vote.

5 DR. NELSON: Okay. Theoretically we're
6 supposed to start the afternoon right now, but what
7 I think we'll do is maybe have a 45 minute, 2:15.
8 It means that we're probably not going to finish at
9 5:00, and somebody who told me they had a 5:30
10 plane, either is not going to testify or
11 participate or should change their flight. So
12 we'll be back here at 2:15. Thank you.

13 [Whereupon, at 1:35, the committee
14 recessed, to reconvene at 2:15 p.m. the same day.]

15

- - -

A F T E R N O O N S E S S I O N

[2:30 P.M.]

DR. NELSON: Okay, the first topic, and a substantial topic, this afternoon is a discussion of rapid HIV tests. The title is CLIA Criteria for In Vitro Diagnostic Tests: Applicability of Waivers to HIV Rapid Tests. And to introduce this topic and give background, Dr. Elliot Cowan from FDA.

CLINICAL LABORATORY IMPROVEMENT ACT CRITERIA FOR
IN-VITRO DIAGNOSTIC TESTS: APPLICABILITY OF WAIVERS
TO HIV RAPID TESTS

DR. COWAN: If you think it's hard to say "applicability," wait until you deal with waivers.

If we could go to the next slide, I'm just going to cut to the chase here. My purpose now is just to spend literally about two or three minutes to set the stage for you all, and then let the speakers take over.

Why are we here in the first place? First of all, I think you're all aware that there is a public health need for rapid HIV tests. We discussed this with you in prior meetings.

Some examples of this are for health care workers with needle stick injuries, and for

1 neonates delivered from at-risk women of unknown
2 HIV status. It's critical to administer
3 antiretroviral therapy to these people, for that
4 therapy to be effective. This has to be done in a
5 short period of time, whereas the common turnaround
6 time for conventional HIV testing can be as much as
7 a week.

8 Also, we have a situation where testing of
9 individuals who are not likely to return for
10 conventional test results--these are data that have
11 been presented by the CDC, and I believe may be
12 touched on in today's presentation.

13 Having said that, that there is a need for
14 rapid HIV testing, it's unclear exactly what the
15 best mechanism is to maximize the availability of
16 that testing. So, given that, this is the way that
17 today's session is going to be organized.

18 First, Dr. Tom Hearn from CDC is going to
19 present a historical overview of CLIA waivers.
20 Following that, there will be an overview of an FDA
21 draft CLIA waiver guidance by Dr. Joe Hackett from
22 FDA. Then Dr. Ida Omerato from the CDC will
23 discuss public health strategic goals for HIV
24 testing, followed by Judith Yost from the Health
25 Care Financing Administration, who will go into

1 HCFA experience with CLIA waived tests in the
2 laboratory, and also a discussion of moderate
3 complexity tests and limited public health use of
4 moderate complexity tests.

5 After that, I will conclude by offering
6 some FDA perspectives on this issue, and then there
7 will be a discussion, there will be the open public
8 forum, and then presentation of the questions to
9 you all.

10 So let me just go through the questions
11 very briefly, and then we'll continue on with the
12 rest of the speakers. The first question is,
13 considering the known benefits and risks of rapid
14 HIV testing, should FDA consider the possibility of
15 removing all CLIA quality assurance oversight for
16 such tests, that is, waive simple and accurate HIV
17 testing from CLIA under its proposed criteria?

18 If I could just underline for you right
19 now the "its proposed criteria" portion, and have
20 you pay particular attention to the criteria that
21 are going to be included under the FDA draft CLIA
22 waiver, we're asking here whether a rapid HIV test
23 should be included in this draft CLIA waiver
24 guidance or if they should be pulled out, with
25 certain exceptions.

1 The second question is, if not, if they
2 should not be included under the FDA draft
3 guidance, what are the criteria that should be
4 applied in making waiver decisions for these tests?
5 And specifically I'd like you to think about the
6 sorts of data that you would like to see generated
7 to support waiver.

8 And, finally, if rapid tests are not
9 waived, is it appropriate to pursue other
10 approaches under CLIA, for example, limited public
11 health use, to promote wider access to rapid HIV
12 testing? And there will be more of a discussion of
13 what the limited public health use route is.

14 With that, I have completed my
15 introduction.

16 DR. NELSON: Okay. Thank you, Dr. Cowan.

17 So the next speaker will be Dr. Thomas
18 Hearn from CDC, who will present a historical
19 overview of CLIA waivers.

20 DR. HEARN: I don't know if I'm so
21 flattered to be part of history or not, but I have
22 lived through CLIA up to now, and I do plan to live
23 through it a little bit longer.

24 If I could have the slides, please, what I
25 will try to do in this short presentation, and I

1 will try to keep the remarks brief, is talk a
2 little bit about CLIA, the Clinical Laboratory
3 Improvement Amendments of 1988, briefly focusing on
4 those areas applicable to test categorization and
5 waiver; also tell you a little bit about the
6 process we used at CDC, when CDC had responsibility
7 for making waiver determinations; and give you a
8 sense of where things are now.

9 CLIA is actually the responsibility of
10 three different agencies, the Health Care Financing
11 Administration, the Centers for Disease Control,
12 and the Food and Drug Administration. Early on, in
13 the first rule that was published in 1992, there
14 was a role for FDA because of limited resources.
15 That role was taken on by CDC, but recently FDA has
16 come back in, particularly in the area of the test
17 categorization and waiver process.

18 The key features of the CLIA law--and this
19 is law, these are the things that are in the law--
20 that the law applied virtually to all clinical
21 laboratories. Previously, laboratories were
22 regulated by the fact that they were in interstate
23 commerce or they were hospital laboratories. This
24 expanded coverage to all sites that were doing
25 laboratory testing.

1 The standards in the law specify that they
2 would be based on complexity of testing, that is,
3 more stringent standards for those tests which are
4 really hard, less stringent standards for those
5 which are more simple. There are special
6 provisions for cytology. Sanctions are included in
7 the law. And this is a user fee law, so this
8 regulation is supported by user fees from the
9 laboratories.

10 Going back to the CLIA statute and test
11 complexity, the statute actually requires, again,
12 that lab regulations be based on how difficult the
13 test is to do, and specifically there is no
14 provision for looking at a test because of the
15 context in which it is used, that is, screening
16 versus definitive diagnosis versus monitoring, or
17 at the site at which it is used. So this has been
18 a challenge, I think, under the CLIA law.

19 There was a provision, is a provision in
20 the CLIA law that says that some tests may be so
21 simple, so risk-free, that they could be considered
22 exempt from CLIA standards, and they are called
23 waiver.

24 There are some guiding principles that
25 were used in the development of the regulations

1 that implement the law. First, of course, is to
2 assure quality testing, because that's what the law
3 was about, but I think it would have made no sense
4 to anyone to have not thought about ensuring
5 access, making sure the standards were at a minimum
6 achievable level but at a level that would assure
7 quality, and that the regulations would be written
8 in a way to accommodate new technology and not
9 hamper and impede it.

10 A lot of work went into the development of
11 a complexity model, trying to decide how tests
12 would fall under regulation, and I'm going to start
13 at the bottom. The group of tests which are highly
14 complex, require sophisticated equipment, judgment
15 in doing tests, etcetera, the standards are written
16 under the regulation so that they encompass QC, QA,
17 proficiency testing, and personnel standards.

18 A less stringent criteria is for moderate
19 complexity tests, and I'll tell you how that
20 distinction is made in a little bit, but the big
21 difference in moderate and high complexity are in
22 the personnel standards, with some slight
23 differences in quality control requirements. Labs
24 doing only moderately complex tests were given some
25 period of time to be able to achieve all of the

1 quality control standards.

2 Again, here is this "waived" category, and
3 the only thing required under CLIA is that the
4 laboratories or sites that are doing only waived
5 testing, register and follow good laboratory
6 practice.

7 I could have mentioned on the previous
8 slide, and we do not need to go back to it, even
9 with those provisions, there was a concern of, gee,
10 are all the sites that need to be doing testing and
11 can do quality testing under moderate complexity,
12 are they able to do all the administrative sorts of
13 things in order to comply with CLIA.

14 So a limited public health certificate was
15 developed so that labs could coalesce together, as
16 long as they did very few different types of
17 procedures, 15 or less, and they could be covered
18 by an umbrella certificate by a lab that does high
19 complexity testing. More to come on that in
20 another presentation, I believe.

21 Waiver requirements, again, the only thing
22 that waived labs must do, and again, waived sites,
23 is they must register, and I think there's a \$50
24 every two year fee. They are not inspected, and
25 they are exempt from all of the CLIA standards, and

1 that is in the areas of personnel, proficiency
2 testing, quality control.

3 To kind of set the stage properly, I think
4 there has been some confusion about test
5 categorization and waiver. These aren't sequential
6 processes. First of all, all tests are
7 categorized, and at the time essentially of a 510K,
8 PMA, every test is assigned to a complexity
9 category of either high or moderate. We had much
10 experience doing that because we had to do all the
11 backlog of tests that existed at the time the '92
12 rule was written.

13 Additionally, sponsors or manufacturers
14 may request that their products be considered for
15 waiver, and the general criteria for waiver are
16 defined in the law. There is statutory language.
17 There is, further, a proposed rule for guidance,
18 and then tests are reviewed and determinations are
19 made. We'll see how this plays out in just a few
20 more slides.

21 Just to let you know, because test
22 categorization has really been the bulk of the
23 work, almost 27,000 tests were categorized,
24 essentially every product, every test was looked at
25 with these seven criteria. There was a Leichert

1 scale established for each of the seven, of one to
2 three. And I'm not going to show you a lot of data
3 but there's a pretty nice split, so that those
4 products which fell below a score of 13 I think
5 ended up in the moderate category, and those above,
6 in high complexity. And quite frankly, there was
7 very little noise or feedback or, even more
8 bluntly, complaints about the categorization of
9 procedures as high or moderate.

10 The law for waivers states that tests are
11 waived if they are, first of all, approved by the
12 FDA for home use. So any product that is cleared
13 for home use is waived. Second, simple waived
14 tests are those simple tests that have an
15 insignificant risk of an erroneous result, and
16 that's the overarching statement, including those
17 that employ simple, accurate methodologies with
18 negligible likelihood of erroneous results by the
19 user, or those which EHS has determined pose no
20 unreasonable risk of harm to patients that perform
21 correctly.

22 The last bullet, I'll tell you quite
23 frankly, no one could ever agree that a test didn't
24 have some potential for harm if performed
25 incorrectly. Consequently, much emphasis was

1 placed on the requirement of accuracy. If a test
2 was simple, foolproof, accurate, then that seemed
3 to diminish the concern about risk of harm.

4 This is just one more meeting in the
5 history of a lot of different steps about waiver.
6 CDC, after consultation with the CLIA Advisory
7 Committee, after looking over the initial 60,000
8 comments to a proposed CLIA rule, the 16,000
9 comments to the 1992 rule, developed guidance, a
10 proposed rule for making waiver determinations, and
11 published it, and I believe that we got 44 comments
12 to that, so we felt like were getting closer to
13 something that worked for most people. I actually
14 did read a lot of those 60,000 letters, by the way.

15 And then there is a further legislative
16 history, particularly with FDAMA, and now we've
17 been in this process of trying to refine what the
18 right guidance is for making waiver determinations.

19 With regard to simplicity, this is really
20 a fairly commonsense approach, I think, that for a
21 product to qualify or be considered for waiver,
22 there was a requirement in the 1995 rule, proposed
23 rule, that specimens not require any special
24 handling, processing. In other words, they were
25 either whole blood, urine, not serum samples, no

1 filtration. That the analyst not have to do
2 anything in the process of doing the test, to
3 adjust a piece of equipment, to do something which
4 would require independent judgment. That there be
5 fail-safe mechanisms, so that if the test didn't
6 work, essentially a result wouldn't be issued. And
7 that the instructions and the numbers of steps be
8 very simple and straightforward.

9 There are requirements also in the
10 proposed rule for accuracy and precision, and
11 again, these requirements we viewed as a way to
12 lower the risk. In the CDC proposal, we looked at
13 field studies where data were collected at three
14 sites, using at least 20 participants who were lay
15 users or the kinds of people who would be doing
16 waived testing.

17 For accuracy, we did require the sponsor
18 to show data that would illustrate how the results
19 that you would obtain with their product compared
20 to a reference material, a reference method, the
21 closest they could get to accuracy, and then these
22 data were evaluated statistically.

23 Again, to summarize, CDC had the
24 responsibility for categorizing and making waiver
25 determinations essentially from the proposed--from

1 the publication of the 1992 CLIA regulations until
2 January 2000, and even after January 2000 we worked
3 closely together with FDA for a period of time in
4 transition. At CDC, we classified or categorized
5 almost 26,000 tests, looked at that many individual
6 procedures.

7 And I think there's a misconception. We
8 didn't waive any tests. There were 733 test
9 systems that got approved for waiver. Now, 612 of
10 these were tests which were like those published in
11 this 1992 rule, and I'll show you that list in a
12 minute. There were 109 test systems that we used
13 this guidance that we published in 1995 to make the
14 determination. And then there were 12 that got
15 waived because they were cleared and approved by
16 FDA for home use.

17 This is simply the list of tests that were
18 published in the 1992 CLIA rule. These were
19 published, this list and others were included in an
20 earlier proposed rule, and these are the ones the
21 department determined met their sense of what
22 "waived" could be at that time, and quite frankly I
23 think there was also a sense of grandfathering
24 tests which were a standard of practice, were in
25 use, and not waiving them would have disrupted

1 practice.

2 Here is a list of those tests, waived
3 tests, which were approved for home use, and you
4 can see this is a fairly diverse list of tests:
5 prothrombin time, ketone, cholesterol, another
6 diabetes test, cholesterol, catalase, and a bladder
7 tumor antigen test.

8 This is the list of analytes, kinds of
9 things that are measured, that came through the
10 proposed rule process. It is a list of about 19
11 different things, although this and this are
12 actually vaginal pH sorts of measurements, not too
13 different. But as you could see, quite frankly
14 this list contains a lot of things that, pre-CLIA,
15 I might not have envisioned would be on here. But
16 the important part is that they met the legal
17 criteria and also met the published guidance
18 criteria.

19 So where are we now? We started out, and
20 there was less than 1 percent waived tests. Now
21 there are about 3 percent waived tests. The bulk
22 of tests are moderate complexity tests, with about
23 a fourth of them being high complexity.

24 I believe Dr. Hackett is going to talk a
25 good bit about where they are in their draft

1 guidance document. The main thing here is just a
2 reiteration that for waiver, we look at simplicity,
3 risk, and in our case, in CDC's case, we looked at
4 that in terms of accuracy and precision. We looked
5 at accuracy by looking at reference material and
6 method comparisons. We looked at how the fail-safe
7 mechanisms were handled. And if there wasn't a
8 built-in shutoff, fail-safe mechanism, we approved
9 tests where the manufacturer required that QC be
10 done.

11 And flex studies were also supplied by
12 manufacturers to show how much variation and
13 tolerance could you have in environmental
14 conditions, amount of sample applied, those sorts
15 of things, so we would get a sense of just how
16 robust the test was.

17 Last slide, clearly there are a lot of
18 challenges in this area. Maintaining consistency
19 in decisions. This was a brand new process for us.
20 Starting and doing 26,000 categorized tests, and
21 then the waiver process, was tough. I think we did
22 a fairly good job. We had a fairly tight quality
23 control of the decision-making process, both for
24 waiver and test categorization. Independently,
25 analysts looked at all the data that were

1 submitted. If there was agreement, they went on to
2 another level of review within the division, and
3 then another review at CDC.

4 So I felt like maintaining consistency of
5 decisions went well. That there is always the
6 challenge of new tests and technology you wouldn't
7 have imagined. There is certainly an increasing
8 complexity to the waiver reviews. And I think that
9 we do need to have more discussion about public
10 health benefits and concerns.

11 Thank you very much. I will be glad to
12 answer questions.

13 DR. NELSON: Thank you, Dr. Hearn.

14 Questions from the committee? Yes, Mary?

15 DR. CHAMBERLAND: Tom, can you maybe
16 augment or amplify a little bit, under the 1995
17 proposed rule, how accuracy was defined, if you
18 will? You said it had--in your slide, it made the
19 point that tests were--the test that was being
20 considered for waiver were compared with reference
21 material. Is this really--were you trying to
22 establish things like sensitivity, specificity,
23 predictive value? Is that how accuracy was--

24 DR. HEARN: We were looking at that. We
25 were looking at how close to target value results

1 were, when compared with results obtained with
2 reference materials or reference methods. And we
3 were interested in sensitivity and specificity.

4 Quite frankly, the reviews got harder and
5 harder. We started with things like cholesterol,
6 and as you get towards infectious disease testing,
7 it was hard to ignore thinking about predictive
8 value, particularly for diseases and tests which in
9 one setting the test would look really good, but
10 once a test is waived and you think about it being
11 used in a setting where the prevalence is very low,
12 how good is that test, really?

13 So we didn't set a bar, Mary. If you're
14 saying, did we say--

15 DR. CHAMBERLAND: No, but to--for example,
16 in the situation that we are going to be, the
17 committee is going to be asked to review, the rapid
18 assays and their potential applicability for
19 waiver, under the 1995 proposed rule, would rapid
20 assays have been evaluated, or a standard panel, a
21 well characterized panel, let's say, of blood
22 samples would be available and tested by both rapid
23 assay as well as for HIV, EIA, Western Blot,
24 whatever, and then look at those, compare those
25 with respect to the rapid assay sensitivity,

1 specificity? Is that--

2 DR. HEARN: Clearly, we did look data like
3 that, because data are important, and if you see a
4 Strep test up there, Strep came in, were clearly
5 reviewed, see how they performed with panels of
6 samples, but we didn't have a magic formula. We
7 had not worked out, well, how many panels should
8 you look at, how many samples should you look at.

9 DR. CHAMBERLAND: Right.

10 DR. HEARN: And quite frankly, because we
11 had a proposed rule, we were looking for public
12 input to exactly those kinds of things. In fact,
13 in our proposed rule relative to this meeting, we
14 did ask for what are the parameters, give us some
15 feedback. Also we asked about, let us know about
16 public health concerns, or even health care
17 concerns or benefits that would be an outcome of
18 using the process that we described.

19 So we weren't pretending that we had all
20 the magic answers, and so we would welcome input
21 here, and I'm sure FDA will, too.

22 DR. NELSON: Thank you very much, Dr.
23 Hearn.

24 The next speaker will be Dr. Joseph
25 Hackett, overview of FDA draft guidance for CLIA,

1 criteria for waiver.

2 DR. HACKETT: Good afternoon. With the
3 passage of the Food and Drug Modernization Act,
4 there were some slight changes in the language of
5 the CLIA '88 legislation, and the result that we
6 decided to look into the matter and found that for
7 accuracy, we were going to be comparing how the
8 test performed in the hands of a user versus how it
9 performed in the hands of a professional. And I
10 will elaborate on that or explain a little bit more
11 as we go along.

12 So we call these alternative criteria that
13 manufacturers could use, but at the present time we
14 are following ourselves the criteria set up by HCFA
15 and as used by CDC before us, so that's what we're
16 using now. We're following CDC criteria until our
17 own criteria, our own guidance, are up and
18 formalized.

19 In the next slide I can give you kind of a
20 status of where we are. We had a draft guidance
21 that was published early this year on the internet
22 and then announced formally in the Federal Register
23 in January, with a comment period which came to an
24 end last week, and we had several dozen comments,
25 which means we must be getting closer, too. And we

1 hope to finalize this in the fall of this year, and
2 have final guidance, so we would have two criteria
3 available for looking for waiver by a manufacturer,
4 either the CDC criteria or the FDA criteria.

5 Now, the components, some of these may
6 sound very similar to what Dr. Hearn told you,
7 because we really aren't that different from what
8 CDC criteria had already proposed. We just differ
9 slightly in some areas. Simplicity, the test has
10 to be simple. There must be an insignificant risk
11 of erroneous result. Accuracy. Again, we and CDC
12 define that differently. CDC is looking at a
13 reference material, and we are looking at
14 comparison between the untrained user and the
15 professional, and see how close they agree. And
16 then finally, for labeling, we have some more
17 information there to try to make the test easier to
18 use.

19 The first component, simplicity, must be
20 fully automated or self-contained, must be very
21 simple to use. We keep stressing simplicity. Uses
22 direct, unprocessed specimens. That's either whole
23 blood or urine. We don't use serum because serum
24 is a process step where you have to let the blood
25 clot and take off the serum. So it must be very,

1 very simple.

2 Next, there must be no operator
3 intervention during the analysis, so it has to be
4 an almost hands-off type of activity, hands-off
5 type of test. We keep again stressing simplicity.

6 No maintenance should be required. If the
7 test breaks down or the instrument breaks down, you
8 would notify the manufacturer and they would come
9 in and take care of it, but the user is not
10 expected to do any kind of maintenance.

11 There must be a direct read-out of the
12 result. You don't have to multiply or divide or
13 draw graphs or figure things out that way. Just a
14 direct, easy readout, easy to understand, again
15 stressing simplicity.

16 Insignificant risk of erroneous results.
17 We look at two major things. The hazard analysis,
18 the wrong order of application. What happens if
19 you put your reagents in in the wrong order? Will
20 that mess up the test? If it does, will you get an
21 answer? Should you get an answer?

22 Incorrect timing. If the test is supposed
23 to be read at five minutes, can you read it at
24 seven? Can you read it at two minutes? If you do,
25 how much leeway do you have? How much robust

1 activity is built into the test itself?

2 The environmental factors, again Dr. Hearn
3 mentioned. Heat, humidity, temperature, storage
4 temperature, all these are important also.

5 Validate QC procedures. QC is very
6 important to us, as it is to CDC. Will your QC
7 indicate if there is a failure? Is there any
8 alert, that you can detect that your product is not
9 working? Internal controls, how well do they work?

10 Accuracy. For quantitative precision, as
11 does CDC, we looked at three levels, a high
12 positive, low positive, and an average somewhere
13 around the cutoff. We're looking at untrained
14 users versus professional. We have like 20
15 specimens, 20 people testing at a site, three
16 samples, that adds up to 180, and this is again
17 compared to the result the professional obtains.

18 For quantitative accuracy, again,
19 untrained versus professional, we're looking at 300
20 individual readings by 300 individual people who
21 are untrained, versus the results of the
22 professional. And usually a manufacturer will have
23 like three professionals, and the professionals
24 will sit down and do 100 at one time.

25 For qualitative tests, we're looking

1 mainly starting out with a feasibility study, and
2 we're defining positives and negatives. We have a
3 strong positive, which is about 2 to 5 percent
4 false negative reaction. We have a strong--a
5 weakly positive, which is about 15-20 percent false
6 positive results; the weakly negative, which is
7 about 15 percent to 20 percent false positive
8 results; and a strong negative, which still has 5
9 to 10 percent false positive results. So this is a
10 good way we feel we really test the system.

11 Component four is the labeling. First of
12 all, we want the users to read the directions.
13 Don't jump into it. Decide what you're going to do.
14 Be familiar with the test. There is a step-by-step
15 procedure which is supposed to be written to the
16 level of seventh grade understanding. QC
17 procedures, very important to follow. Actions to
18 be taken. What if the test doesn't work? What if
19 something fails? What do you do? What happens?

20 We have two checklists. This one will
21 list all the items that I have been discussing.
22 Are these included in the application the
23 manufacturer sends in? And the second, the
24 labeling items, are these all taken care of? And
25 we try to use these to make it very simple and easy

1 to find. You don't have to guess. For instance, I
2 have a coin here. There is no guessing. You don't
3 have to flip a coin, try to figure out what
4 happened. No guesswork at all. Everything
5 straight, easy to understand, nice and simple.

6 Any questions? Yell and scream?

7 DR. NELSON: Yes, Pat?

8 DR. CHARACHE: I'm not sure I fully
9 understand that slide that showed the permissible
10 false positive and false negative rates, the one
11 earlier. Could you explain that again?

12 DR. HACKETT: Okay. We don't want to have
13 a high level of all positives and a low level of
14 all negatives. We want to try to divide up the
15 screen, the area to be covered. So some of your,
16 what you would call high positives or strong
17 positives, also have some false negatives in there,
18 too, just to see how both would affect. So you
19 would have high positive, low positive, high
20 negative, and low negative.

21 DR. CHARACHE: So that will be in your
22 panel of samples that are going to be compared
23 between an untrained user and a trained user?
24 Okay, thank you.

25 DR. NELSON: I don't understand, with

1 accuracy, you know, what I would think of--and
2 maybe this was the CDC's criteria--that you have
3 some independent test, a gold standard, if you
4 will, and you compare this to a gold standard.
5 Now, you may be comparing a copper standard with a
6 professional and copper standard with a lay person,
7 but it's still a copper standard. Am I
8 misunderstanding that?

9 DR. HACKETT: No, that's correct. You're
10 comparing the results obtained by the lay user
11 versus a professional.

12 DR. NELSON: But if the test is a lousy
13 test, then you can still waive it, if they both got
14 the same lousy results?

15 DR. HACKETT: If they both got the same
16 results.

17 DR. CHARACHE: Actually, I am a member of
18 CLIAC as well, and that's the Clinical Laboratory
19 Advisory Committee that advises FDA and CDC and
20 HCFA on the policies that pertain to CLIA. This
21 was, I think, one of two key points that was raised
22 by CLIAC and is in their report.

23 They recommended that the guidance
24 document be changed so that the word "accuracy" be
25 used as it is in Webster's dictionary, because as

1 it is defined now, it is really comparability
2 between two people, one of three trained
3 technologists who are doing the test and someone
4 who is not a trained technologist. And it was felt
5 that one should first determine if the test is
6 accurate or not, and then see whether you get
7 comparability as to different steps.

8 DR. NELSON: Yes, the word should be
9 "reproducibility" maybe, rather than accuracy.

10 DR. CHARACHE: Or precision, or whatever.

11 DR. NELSON: Yes.

12 DR. MACIK: A couple of points. I guess
13 one problem I have is the use of untrained and
14 professional. What you're really looking at, it's
15 not fair to say an untrained because it's a lay
16 person or a nonprofessional who has received
17 training. I mean, obviously they have to be shown
18 how to use the instrument, what to do with it. So
19 that is--you know, I would kind of look at phrasing
20 that as the nonprofessional or lay versus the
21 professional.

22 The other is, if you look at the accuracy
23 of the test, there's two parts. We're talking
24 somewhat about waiving a test, but then you also
25 have the 510K for that instrument test reagent, in

1 which accuracy is addressed because then it has to
2 be compared to some gold standard. And so are we
3 really looking at when you go, when you define
4 where waiver comes in, is it after the 510K or is
5 it part of the 510K?

6 DR. HACKETT: This is after the 510K.

7 DR. MACIK: Okay, so the 510K would have
8 already taken care of whether that instrument is an
9 accurate instrument.

10 DR. HACKETT: Right.

11 DR. NELSON: Pat?

12 DR. CHARACHE: A second issue that perhaps
13 we could help clarify is the definition of the risk
14 of an erroneous result. CLIAC divides a test into
15 three parts in the original '88 law: the pre-
16 analytical, the analytical, and the post-
17 analytical. And the post-analytical includes what
18 happens if you give the wrong result to an
19 individual. It's the social and medical
20 implications of the patient getting the fact that
21 he's HIV positive if he's not, or vice versa, that
22 he's not positive if he is.

23 And, as I have heard the definition of
24 risk, it addresses the analytical phase only, you
25 know, how easy or difficult it is to get a wrong

1 result. Does the guidance document address the
2 impact on the patient who receives the erroneous
3 result, whether positive or negative, or the social
4 implications of that?

5 DR. HACKETT: It's very limited, as far as
6 extending to impact on the patient.

7 DR. NELSON: Mary?

8 DR. CHAMBERLAND: Yes. To follow up on
9 these comments, because I agree with the comments
10 that were made about the need to determine the test
11 accuracy as we would traditionally define it and
12 then to determine its precision and
13 reproducibility, those are two different things, my
14 question is, however, is the accuracy--is not FDA
15 presented with data regarding a test's accuracy,
16 meaning sensitivity, specificity, the usual things
17 we consider, when the sponsor approaches FDA for
18 licensure of the test? So wouldn't in point of
19 fact you have--it may not be in your waiver
20 guidance, but can we not presume that you have
21 access to that data, or you would require that
22 data?

23 DR. HACKETT: Yes.

24 DR. CHAMBERLAND: You're shaking your head
25 "no", and you're saying "yes."

1 DR. HACKETT: Yes, that's in the
2 information that we look at when a test is first
3 marketed for professional use: sensitivity,
4 specificity, predictive values.

5 DR. CHAMBERLAND: Can I ask, and is it Dr.
6 Charache, why are you shaking your head "no"?

7 DR. CHARACHE: Because that was the reason
8 for putting this accuracy consideration into the
9 CDC '95 definitions. It's to emphasize the need to
10 ensure that the sensitivity and specificity and
11 whatever are appropriate to the test that's being
12 considered for waiver.

13 So I think if there were a preamble to the
14 FDA guidelines that stated that the accuracy would
15 be determined prior to the decision on waiver, I
16 felt that that would handle the issue very well.
17 And then that thing that's now called accuracy
18 would really be called--

19 DR. CHAMBERLAND: Precision?

20 DR. CHARACHE: --precision, or whatever
21 you wanted to call it, rather than implying that
22 that was the only accuracy required for waiver.

23 DR. CHAMBERLAND: So we are in agreement,
24 then, that the data--that FDA requires the data
25 about accuracy? I mean, for a test to come to FDA

1 for licensure, you have to present the FDA with
2 these data, and it's not that they're missing.
3 They may be missing from the guidance document, you
4 know, mention of that, if you will.

5 DR. HACKETT: We have the data in the
6 prior application.

7 DR. NELSON: Yes, Dr. Wilson?

8 DR. WILSON: Well, the data that are
9 available from the prior application are the data
10 that were used to validate the performance
11 characteristics of the test. What's missing,
12 though, is the next step. If they waive a test, it
13 may be used in a completely different patient
14 setting where the disease prevalence is different,
15 and therefore some of those data probably are no
16 longer applicable because you're not using it in
17 the same setting anymore.

18 The performance characteristics with a
19 defined set of patient specimens in a certain
20 patient population will be there, but the
21 difference is, in a waived category, is that you're
22 no longer dealing potentially--you may be, but you
23 may not be dealing with the same patient
24 population, so some of the performance
25 characteristics will differ.

1 DR. HACKETT: The predictive value.

2 DR. CHAMBERLAND: Predictive value.

3 DR. NELSON: Exactly, and that may be
4 important. I mean, that's what a patient--

5 DR. WILSON: Why would you use a separate
6 population?

7 DR. MACIK: Well, actually that's the same
8 question I had asked. If you have to first of all
9 have 510K clearance of this instrument or test,
10 then that implies a certain amount--that you've
11 already gone through doing your sensitivity,
12 specificity, the whole bit. If you have your 510K
13 clearance, then that tells you whether that test,
14 that instrument, is capable of giving you a result
15 that is the same as another standard in use.

16 Then the issue that seems to be missing is
17 the step between going from 510K clearance, okay,
18 this is an accurate instrument, and then waiving,
19 to put the waiver on you want to know, can a lay
20 person do it with the same degree of accuracy as
21 professionals can? And then the question is, do we
22 then include in there not just what is the
23 predictive value, etcetera. Do you have some
24 overlay of the clinical significance of a test that
25 also goes into defining the waiver, or not?

1 And that is, you know, those were kind of
2 the issues basically I was getting at, because the
3 first step with 510K, you have to go through a very
4 stringent criteria. For a home instrument, you
5 have to--the FDA, in order to approve something for
6 a home instrument, you have to show that you had
7 people in the home setting or lay people that did
8 it, before it gets--I believe I'm correct--before
9 the FDA says this can be used at home.

10 So some of these issues we're talking
11 about, I think if we could maybe get a little bit
12 more feedback from the FDA, what really goes
13 through these tests? What has happened before you
14 hit the waiver part of whether a test has gone?

15 Because if you've already established the
16 accuracy by 510K, if you've already established
17 that this instrument can be used by lay people at
18 home, to give it its home clearance, then what are
19 we now being asked to look at as far as waiver?
20 Because these are three, you know, really different
21 components, and I think it's something that's very
22 different than the way we think about things most
23 of the time.

24 DR. HACKETT: These are probably the
25 25,000 tests that are either high or moderate

1 complexity.

2 DR. CHARACHE: I think one of the reasons
3 for wanting to have the accuracy as part of the
4 waiver document is that the definitions of
5 requirements for accuracy are not set at the same
6 level for all types of tests. We heard at CLIAC a
7 couple of weeks ago that the permissiveness for
8 false positives and false negatives, for example
9 for home tests, are set at a very different level
10 than those that are set for other types of tests.

11 And we know that the sensitivity of some
12 of them, for example, the influenza test that was
13 waived was set at about 63 or 65 percent sensitive.
14 Now, that leaves an awful lot of people who have
15 influenza A and could benefit from drugs, who are
16 not being detected, without necessarily knowing how
17 poor it is in terms of sensitivity.

18 So it was felt that it would be important
19 to say that the accuracy was tested before the
20 waiver decision was made, but it would also be
21 important to have a knowledge of what the accuracy
22 is under the conditions in which it will be used.

23 DR. NELSON: Jay?

24 DR. EPSTEIN: Yes. Well, I wanted to make
25 much the same point. Whereas it's true that the

1 accuracy is determined in the 510K, it's not true
2 that there is any absolute standard for how
3 accurate that test must be, so that under the FDA
4 guidance it could be a fairly inaccurate test which
5 is nonetheless deemed approvable. But then if it
6 is comparable in what's being called the accuracy
7 study in the guidance, it could in fact become
8 waived.

9 However, I think it's important to
10 remember that the proposal for HIV rapid tests is
11 that they be no less than 98 percent sensitive and
12 98 percent specific, where that is the lower limit
13 of the 95 percent confidence interval in a one-
14 sided test. So we are talking about, for HIV rapid
15 tests, tests that have high analytical accuracy. I
16 think that should just--people should bear that in
17 mind when we discuss the HIV rapid tests.

18 But it is a point of distinction between
19 the CDC scheme in existence on the proposed rule
20 and the FDA guidance. In other words, a test could
21 be waived which is inherently inaccurate, as long
22 as it's no more inaccurate in the untrained hands.

23 DR. NELSON: Right. Okay, the next
24 presentation--did you have a comment?--the next
25 presentation is the public health strategic goals

1 for HIV testing. Dr. Onorato from CDC.

2 DR. ONORATO: Today I am going to discuss
3 the critical role of simple rapid HIV tests in the
4 implementation of CDC's national strategic plan for
5 HIV prevention in the United States.

6 CDC estimates that there are 800,000 to
7 900,000 persons in the U.S. who are infected with
8 HIV. We further estimate that 625,000 people know
9 they are infected, while 175,000 to 275,000 persons
10 are unaware of their HIV infection. We think that
11 a substantial proportion of transmission is
12 occurring from persons who do not know they are
13 infected.

14 There are currently great benefits for
15 HIV-infected persons to know their status. First,
16 there is the benefits of receiving comprehensive
17 HIV treatment and care, especially highly active
18 antiretroviral therapy, or HAART.

19 There are also significant public health
20 benefits. Several studies have now shown that
21 people who know they are HIV infected make efforts
22 to reduce their high-risk behavior, decreasing the
23 possibility of HIV transmission. The second public
24 health benefit is the potential effect of HAART in
25 reducing the risk of transmission by decreasing

1 viral load. Thus, knowledge of serostatus can be
2 an effective individual and public health
3 intervention.

4 In spite of these benefits of knowing
5 one's HIV status, the proportion of persons who
6 receive their HIV test results in CDC-funded
7 counseling and testing programs could be better.
8 These data are from 48 CDC-funded project areas and
9 over 10,000 facilities, including HIV counseling
10 and testing sites; STD clinics; family planning and
11 prenatal clinics; and drug treatment centers.
12 These sites administered about 2 million HIV tests
13 in 1998.

14 Overall, the test results were received
15 for only 63 percent of HIV positive tests and only
16 56 percent of HIV negative tests. Among STD clinic
17 clients, who are a very high risk group, only 56
18 percent of HIV positive and 45 percent of HIV
19 negative clients received their results.

20 Health departments throughout the U.S.
21 routinely conduct active follow-up to find persons
22 who have had an HIV positive test but who do not
23 return for their test results. Without this
24 considerable expenditure of time and resources that
25 did happen in these situations, these proportions

1 of persons who know their results would be even
2 lower, and likely are lower for testing in some
3 private settings.

4 CDC has recently worked with state and
5 local health departments, community leaders, and
6 other federal agencies to develop a national
7 strategic plan for HIV prevention. The overarching
8 goal of the CDC's strategic plan is to reduce the
9 number of new HIV infections in the U.S. by half,
10 from 40,000 to 20,000 infections per year, by the
11 end of 2005.

12 There are four main goals in the strategic
13 plan. Goal two specifically focuses on increasing
14 knowledge of serostatus. This goal states, "By
15 2005, through voluntary counseling and testing,
16 increase from 70 percent to 95 percent the
17 proportion of HIV-infected persons in the United
18 States who know they are infected."

19 Under this goal there are four objectives.
20 First, to increase the motivation of at-risk
21 individuals to know their HIV infection status, and
22 to decrease real and perceived barriers to getting
23 tested. Second, to improve access to voluntary HIV
24 counseling and testing in high seroprevalence
25 communities and in populations at risk.

1 Third, to increase the number of providers
2 who routinely provide voluntary counseling and
3 testing in high prevalence health care settings,
4 such as STD clinics, as well as in nonclinical and
5 social venues like gay bars or homeless shelters.
6 And, fourth, to increase the percentage of persons
7 who know their results after testing. We think
8 that simple, truly rapid HIV tests will potentially
9 play a significant role in achieving these
10 objectives.

11 An example is the OraQuick device shown
12 here. The OraQuick test may be used with whole
13 blood from a finger stick, serum, or oral fluid.
14 This test is simple, and requires no mixing of
15 reagents or manipulation of equipment.

16 The specimen collection is also easy to
17 do. The person being tested swabs his or her gums,
18 using the flat pad end of this device. There is no
19 additional specimen preparation necessary. The
20 swab is then simply placed into a reagent vial.

21 The results are ready in 20 minutes, and
22 are easy to read, similar to a pregnancy test. The
23 device on the right shows a red line, which is the
24 built-in control, and the specimen in this case is
25 clearly negative. On the left, the device shows

1 the red control line, and also here a red positive
2 test result. CDC has previously presented data to
3 this meeting showing these tests to be highly
4 sensitive and specific compared to the standard
5 tests.

6 We believe that rapid tests will be
7 helpful in achieving the public health objectives
8 of increasing knowledge of serostatus. Rapid tests
9 that collect oral fluid or blood by finger stick
10 are easier to implement in community settings, and
11 in some studies they were preferred by clients over
12 venipuncture.

13 These tests can increase the numbers and
14 types of providers able to offer HIV testing in
15 clinical settings such as ERs and physicians'
16 offices, and in non-traditional settings such as
17 mobile vans and jail. They will also expand access
18 to the highest risk populations, who may reached in
19 social settings such as gay bars, dance clubs, and
20 bath houses which conduct their business after
21 normal business hours. These venues do not have
22 access to a laboratory or to laboratory
23 professionals to perform tests. Rapid tests have
24 the potential to increase the number of people who
25 get their test results, especially if multiple

1 rapid tests become available.

2 The potential usefulness of the
3 alternative test collection devices have already
4 been shown in some earlier studies. Although not a
5 rapid test, OraSure, which uses oral fluid for HIV
6 testing, is a simpler and more acceptable method
7 for specimen collection than venipuncture.

8 In 1997 the Michigan Health Department
9 distributed OraSure kits to community-based
10 organizations that had not previously been able to
11 offer testing using serum-based tests. 12,068
12 persons were tested in outreach activities, 80
13 percent by mobile vans standing at street corners
14 and in parks. HIV prevalence was as high, 2
15 percent, in these settings as in our traditional
16 counseling and testing sites.

17 Sixty-three percent of persons who were
18 HIV positive returned for their test results when
19 testing was done in the clinic using serum-based
20 tests. In the outreach testing with OraSure, 91
21 percent of the HIV positives and 77 percent of the
22 negatives received their results.

23 The acceptability and convenience of
24 testing in a community setting with an oral fluid
25 test improved access to testing, but still many

1 people did not return to get their test results,
2 including many positives. So in April 1998, CDC
3 recommended the wide use of rapid HIV tests to
4 increase the number of persons who receive their
5 test results without the need to return.

6 This study was conducted in an STD clinic,
7 and it compared return rates after testing with
8 SUDS, which is the only currently licensed rapid
9 HIV test, and a test of moderate complexity, versus
10 testing with the standard EIA and Western Blot.
11 The SUDS test was performed on-site in the STD
12 clinic lab, and the mean testing time was 22
13 minutes for negative results and 38 minutes for the
14 positives, due to a need to repeat the test.

15 One hundred percent of patients tested
16 with SUDS received their tests and their post-test
17 counseling session, compared with only 47 percent
18 of the patients who were positive who were tested
19 with the standard tests.

20 The rapid testing also appeared to improve
21 entry into HIV care. Eighty-six percent of SUDS-
22 tested patients kept their first scheduled care
23 visit, compared with 70 percent of patients that
24 were tested with standard tests. The mean time to
25 the first clinic visit was 10 days for the SUDS

1 patients, versus 55 days for standard test
2 patients.

3 This study showed that tests like SUDS,
4 which is a moderate complexity test but still
5 shortens the time between testing and getting
6 results, were useful in a setting that has clinical
7 laboratory support on-site, and may also improve
8 getting positive persons into care. However, the
9 definition of "on-site" turned out to be important.

10 Investigators at Grady Memorial Hospital
11 in Atlanta found that two-thirds of patients who
12 were newly diagnosed with full-blown AIDS had come
13 to medical care but had not received an HIV test in
14 the 12 months prior to their AIDS admission. These
15 patients had had a median of four patient visits,
16 mostly to the Grady ER and Urgent Care Center,
17 without receiving an HIV test.

18 So, in response, Grady conducted a study
19 where clinicians were encouraged to recommend HIV
20 testing routinely to all patients in the ER and the
21 urgent care setting who were age 16 to 65 years.
22 Compared to the same time period in the previous
23 year, 1,687 more patients received an HIV test, and
24 27 new HIV infections were picked up. More
25 patients had a CD4 count greater than 200, which

1 suggested that these patients were also being
2 picked up at an earlier stage of disease.

3 This study will be published in next
4 week's MMWR, which is occurring just before
5 National HIV Testing Day on June 27th, and will
6 show the impact of routinely recommending HIV
7 testing in clinical settings with high
8 seroprevalence.

9 All the testing was done in the Grady
10 Hospital laboratory, and SUDS was used as the rapid
11 test. The mean time to getting the test results
12 was two-and-a-half hours, so only 29 percent of
13 patients tested with SUDS received their results
14 the same day. So even though a rapid test was
15 used, the need to perform this particular rapid
16 test in the hospital laboratory rather than in the
17 clinic or the ER, required almost all patients to
18 return for a second visit to get their results, or
19 required an active follow-up by the physician's
20 assistant, which was a great burden on the busy
21 Urgent Care Clinic.

22 Another ER study illustrates the
23 consequences of even an hour's delay in getting
24 back test results. In this case, SUDS was
25 performed either in the main hospital lab or in a

1 special satellite lab set up next to the ER. When
2 SUDS was performed in the main hospital lab, it
3 required a mean of 107 minutes to get test results
4 back, compared with the satellite lab which reduced
5 the delay to 48 minutes. Only 45 percent of those
6 tested in the main hospital lab received their
7 results before leaving the ER, compared with 80
8 percent of those tested in the satellite lab.

9 Thus, tests which require a moderate
10 complexity lab can cause enough delay to reduce the
11 number of patients who can get their results, and
12 for settings not directly affiliated next to a lab
13 or near a lab, such as community-based
14 organizations or outreach vans or a private
15 physician's office, these delays are anticipated to
16 be much greater, and may negate the advantages of
17 using a rapid test. Ideally, if multiple rapid
18 tests become available, clients will receive their
19 test results, including confirmatory results, in
20 one visit, eliminating any of the loss to follow-up
21 that now occurs.

22 We and many others are concerned about
23 appropriate counseling for persons receiving rapid
24 tests. Public health settings where SUDS tests
25 have been used, have been experienced now in

1 counseling clients with rapid tests, and these
2 techniques have been published in the peer review
3 literature and on the CDC web site.

4 CDC publishes the PHS guidelines for HIV
5 counseling, testing and referral, and later this
6 year the new version of these guidelines will
7 address counseling for rapid tests. CDC recommends
8 that before rapid testing is done, that clients be
9 informed that confirmatory testing will be
10 necessary if a rapid test is reactive.

11 If the rapid test is negative, the client
12 may be told that he is not infected, unless there
13 has been recent risk exposure, in which case the
14 client is counseled to return for retesting after
15 an appropriate time interval.

16 If the rapid test is reactive, the
17 counselor arranges confirmatory testing and
18 discusses what the patient may want to say to his
19 partners. The counselor will also recommend that
20 the client adopt various behaviors to reduce the
21 risk of transmission while waiting for the
22 confirmatory test result. This type of counseling
23 may actually decrease the risk of transmission to
24 partners sooner than when using standard testing.

25 Several studies have shown that people who

1 receive a preliminary reactive rapid test result
2 will return for a confirmatory result. The first
3 set of bars on this graph shows the return rates
4 for persons testing in that ER study that I showed
5 before, whose HIV test was positive. In this case,
6 three attempts were made by phone and letter to
7 reach all persons who did not come back. With this
8 active follow-up, 62 percent of HIV positive
9 patients who had standard testing returned for
10 their results, compared to 73 percent of the
11 patients tested with SUDS.

12 In the STD clinic study, which is shown
13 here, only 45 percent of HIV positive patients
14 tested with standard tests returned to the clinic
15 on their own, compared to 94 percent of patients
16 who had been told that their SUDS result was
17 reactive. After follow-up by the local health
18 department of non-returnees, a total of 79 percent
19 of HIV positive patients tested with standard tests
20 received their results, compared to 97 percent of
21 the SUDS patients who had a preliminary reactive
22 result.

23 So what is the best way to implement the
24 use of rapid tests? A number of questions need to
25 be addressed, including training, quality

1 assurance, and precision. The CDC laboratory is
2 planning to conduct studies with well characterized
3 specimens to compare results of rapid testing done
4 by laboratory professionals and lay users. CDC has
5 also funded four sites to do operational research
6 in settings where these tests will be used by
7 individuals who represent the anticipated users.

8 If rapid tests become available, CDC and
9 other PHS agencies, state and local health
10 departments, and community leaders will then
11 develop algorithms for their use, so that test
12 results, including confirmatory test results, would
13 be available in only one visit.

14 As stated, the PHS/CDC guidelines for
15 counseling, testing and referral provide
16 recommendations for all aspects of the testing
17 process, and these will be updated as multiple
18 rapid tests become available. In addition, states
19 have laws or regulations that govern persons
20 authorized to order rapid tests and give rapid test
21 results, and that govern the processes of consent,
22 counseling and laboratory testing. CDC will work
23 closely with health departments and policy-makers
24 to ensure that appropriate practices are in place
25 when and where rapid tests are used.

1 Rapid tests are a valuable and long-
2 awaited technology which is widely available in
3 many parts of the world, but not in the United
4 States. Simple, truly rapid tests have the
5 potential to greatly expand HIV counseling and
6 testing services to community settings and
7 physicians' offices who do not have the ability to
8 use more complex tests. By substantially reducing
9 the time to perform an HIV test and eliminating the
10 need to return for a second test, the number of
11 persons who know their results will increase.

12 The greatest potential of rapid tests to
13 contribute to both the health of HIV-infected
14 individuals and our public health goals of stopping
15 this epidemic might be realized if they are made
16 widely available and eligible to be considered for
17 CLIA waiver. Decisions can then be made based on
18 data as it becomes available from planned CDC and
19 other studies.

20 If you haven't seen any of these rapid
21 tests, I'm just going to pass around, these are
22 tests, one is a negative test, one is a positive
23 test, done using the OraQuick collection device,
24 test device.

25 DR. NELSON: Thank you very much.

1 DR. ONORATO: And I'll take questions.

2 DR. NELSON: Are there other questions?

3 Jeanne?

4 DR. LINDEN: Are you assuming that point-
5 of-care testing will only be done if waived?

6 DR. ONORATO: We are not assuming it will
7 only be done, because point-of-care testing in a
8 sense is done using OraSure and SUDS, but the
9 complexities around needing to, in one case, get a
10 test, a specimen to a laboratory to test, really
11 greatly delays getting the results back to people
12 and, as I've shown, greatly affects the follow-up
13 rate and the rate of people getting their results
14 back when they're tested. So while point-of-care
15 testing in a sense is done now, the problem becomes
16 getting the results to people.

17 DR. NELSON: Dr. Charache?

18 DR. LINDEN: Well, but you seem to be
19 assuming that the testing cannot be--that there
20 can't be remote moderately complex labs at the
21 point of care, and certainly there are.

22 DR. ONORATO: That would be possible, but
23 for the kinds of groups and places that we're
24 talking about, which is outreach, homeless
25 shelters, it's very unlikely that they are going to

1 be able to set up moderately complex laboratories.

2 DR. LINDEN: Right. For the social
3 settings, I would agree. For the medical care
4 settings, I don't think that's the case.

5 DR. CHARACHE: Dr. Onorato, I think, for
6 the reasons you have presented and additional ones,
7 we can very strongly support the need for tests
8 that are rapid and accurate and can be done in a
9 wide range of sites. A waived test is only one of
10 the mechanisms through which this can be
11 accomplished. Does CDC care what the mechanism is,
12 if there are other ways of having a test that can
13 be done in your bath houses in a rapid way by
14 someone who is less trained? In other words, under
15 the supervision of a moderate or high complexity
16 lab, but not on site at the time, with provisions
17 for permitting. So do you care that the test has
18 to be waived, or are you after the goal of the on-
19 site, rapid, accurate test?

20 DR. ONORATO: We would certainly like to
21 see tests done at the time that the client or the
22 bath house attendee is actually standing there, and
23 before they can leave that setting, will get their
24 test results.

25 DR. CHARACHE: So as long as that happens,

1 you don't care whether it's waived or not waived?

2 DR. ONORATO: If there are other ways to
3 do that than a waiver, that would certainly be
4 appropriate, but we are trying to maximize as much
5 as possible all the various places where we can
6 reach people.

7 DR. CHARACHE: Right.

8 DR. SCHMIDT: In setting this up, maybe a
9 helpful caution. There are various state laws, as
10 I'm sure you are aware, which define a
11 "professional," and so you've got the medical
12 technologist versus the medical technician. The
13 medical technician is very qualified, but if you
14 write it in such a way, you will run into local
15 problems. In other words, differentiating the lay
16 person from the professional is a little sticky.
17 What's professional?

18 DR. ONORATO: Well, in fact, you raised a
19 very important point, that in fact HIV counseling
20 and testing is regulated under state laws and
21 regulations in every state, and so in fact the
22 restrictions on who can give results and do tests
23 and various other things are state-by-state, and
24 not necessarily the same in every state. And
25 certainly we would work, we would have to work

1 state-by-state with everyone, and would have to
2 plan to do that. And that is, in fact, an
3 additional safeguard where rapid tests could be
4 used.

5 DR. NELSON: Okay. Thanks.

6 Next speaker is Dr. Judith Yost from HCFA,
7 requirements for moderate complexity tests and the
8 HCFA experience with CLIA waived tests in the
9 laboratory.

10 MS. YOST: I thank you for the extra
11 degree, but that's okay.

12 DR. NELSON: Oh, that's okay.

13 MS. YOST: Good afternoon, everyone. I'll
14 take it. We can go ahead.

15 I'm going to give you some background,
16 which you've already seen several times today, but
17 I think it's important in these conversations that,
18 again, the CLIA law states that waived tests are
19 simple and have an insignificant risk of an
20 erroneous result. Waived tests currently, under
21 the regulations for CLIA, have no standards or
22 routine oversight.

23 Right now in the country we have 170,000
24 laboratories enrolled in the CLIA program. They
25 range from schools and ambulances all the way to

1 large reference laboratories and hospitals, so we
2 have the whole gamut. Out of that 170,000, 92,000
3 are already waived laboratories, so that's the
4 context at least from which we're coming.

5 My talk today is actually two parts. I'm
6 going to talk to you about the HCFA experience with
7 waived tests over the last nine years or so, as
8 well as talk to you a little bit about the moderate
9 complexity requirements so that you have a basis
10 for comparison.

11 Again, as further background, there is
12 some authority however within CLIA, that if there
13 is a problem in a waived laboratory, we still can
14 go visit that laboratory. If the lab is perhaps
15 performing a moderate complexity test and only has
16 a waived certificate, we can visit, or if there is
17 a complaint about possible risk of harm, we can
18 certainly go visit that laboratory, as well.

19 There is one requirement currently under
20 CLIA for those laboratories, and this is something
21 you have to remember throughout this talk, is that
22 waived laboratories must follow the manufacturer's
23 instructions.

24 As additional background, several of the
25 states that work with us as part of the CLIA

1 program did some investigational studies of waived
2 laboratories, because they had a large number of
3 complaints and they were concerned about the large
4 number of tests that were being waived. They went
5 into, on an educational basis, they went into
6 several hundred laboratories in 1999 and 2000. Of
7 the laboratories they visited, 50 percent of the
8 labs they visited had quality problems. The
9 majority of those were not following the
10 manufacturer's instructions.

11 Also, the Office of the Inspector General,
12 after hearing of the findings of the state
13 problems, also did some investigation of waived
14 laboratories, as well. Their findings were very
15 similar to the previous ones. CDC also had some
16 cooperative agreements with several states and did
17 concurrent studies, and they too found essentially
18 50 percent of the laboratories with quality
19 problems.

20 Because of those findings, the seriousness
21 of those findings and the concern about quality,
22 because that clearly is the intention of the CLIA
23 requirements, HCFA expanded the studies that had
24 been initially done to eight more states, in which
25 we took a 2.5 percent sample of the waived

1 laboratories in those states and visited those
2 laboratories. We did announced visits. They were
3 educational and information-gathering, just to find
4 out what in fact was going on in those
5 laboratories, and I'll be telling you some examples
6 of some of the things we found, because I think
7 that helps bring it home.

8 Let's talk about the findings. What did
9 we find, because I'm sure you're waiting to hear
10 that. Okay. The people who primarily do waived
11 testing right now are nurses, physicians, LPNs, and
12 medical assistants.

13 Some of the problems we identified were
14 that 32 percent of the waived laboratories we
15 visited failed to have current manufacturer's
16 instructions, so we don't know what they were doing
17 but they sure didn't have the directions. And
18 additional 32 did not perform the quality control
19 that was required by the manufacturer's
20 instructions or CDC's instructions per waiver.

21 Sixteen percent failed to follow the
22 current manufacturer's instructions. I'll give you
23 an example about following manufacturer's
24 instructions. The rapid Strep A test is a very
25 simple test. It has two reagents, A and B, and you

1 need to add them to the test in that order, A, then
2 B. We found a laboratory that was, for some
3 reason, adding the reagents in reverse order and
4 never had a positive test. And we all know the
5 implications of a false negative Strep test, Strep
6 A test. So that's one example of something we did
7 see.

8 Seven percent not performing calibration
9 per the manufacturer's instructions, and
10 maintenance per the manufacturer's instructions.
11 We actually have a state that reported a death in a
12 nursing home because they did not perform the
13 appropriate calibration and maintenance on a
14 glucose meter, and the patient, because of an
15 inaccurate result, did die.

16 Twenty percent of the laboratories were
17 cutting occult blood cards and urine dip sticks.
18 In relation to that, we also found a facility that
19 was using the sticks for a glucose meter upside
20 down. Additionally, we found that personnel that
21 were performing the tests were neither trained nor
22 evaluated at any point.

23 One of the things we found as part of that
24 personnel issue was that the people who were
25 training them were people who were trained by

1 somebody else, were trained by somebody else. So
2 you know in history that whole idea of "whisper
3 down the valley" doesn't always bring you to the
4 exact same information.

5 In addition to that, exacerbating the
6 situation, is the high turnover in very small
7 facilities. When you visit them, from one time to
8 the next, even the laboratories that we routinely
9 survey, there is a new person there doing the
10 testing, you know, different from the person from
11 the last time you had visited, so it is a concern.

12 Again, storage instructions aren't being
13 followed. Laboratories using expired reagents. I
14 have a case, I actually had an attorney call a
15 couple weeks ago about a laboratory that was using
16 expired reagents for occult blood testing, and had
17 a patient who could point to a delay in a diagnosis
18 of GI cancer as a result of that use of expired
19 reagents, so these are real situations.

20 To give you some background on the OIG
21 study, the OIG study had very similar findings.
22 I'm not going to enumerate them. One thing we did
23 not look at, however, was the failure to identify
24 correct results, which was something they did find.
25 We did not evaluate for that, so I don't have data

1 on that, but they did find a significant amount of
2 that. And part of the concern is that, regarding
3 some of the waived tests, is that there's no way
4 you know that the answer is wrong.

5 Just some background, just some statistics
6 from that study. Again, to summarize. 270
7 laboratories. They consisted of physician office
8 labs, skilled nursing facilities, and end stage
9 renal disease facilities, so it was kind of a
10 proportion, a very good correlation to the actual
11 population of laboratories in the country, because
12 that's pretty representative. Also, there were
13 again urban and rural. There were also
14 laboratories both in states that have laboratory
15 licensure programs and some without.

16 What did we do as a result of that, or
17 what are we going to recommend? Several things.
18 First and foremost, education, because we feel that
19 part of the difficulty that we saw was, these folks
20 really just didn't know what to do with these
21 tests.

22 And so education is the first and most
23 important thing that we're going to work on. We're
24 going to work with CDC in developing a
25 comprehensive program. We'll work with the

1 approved accrediting organizations, with the AMA,
2 with the manufacturers and others, to develop a
3 comprehensive program for these labs. We also may
4 look at--we haven't decided for sure--we may look
5 at a percentage of those laboratories, as well.

6 We also are considering a self-assessment
7 tool for those laboratories, with a quality control
8 or quality assurance focus, just to give them some
9 idea in writing about what they might do to ensure
10 the quality of their testing. With the application
11 process for the CLIA program, we will provide
12 additional information on the application and
13 probably on our web site, as well. We will
14 probably call some of those laboratories just to
15 see if they have any questions or problems that we
16 can assist with. With those recommendations, we
17 are planning a comprehensive plan that will involve
18 a sequential implementation, dependent upon
19 resources and funding for the program.

20 We have some additional recommendations
21 from the Office of the Inspector General, including
22 collecting the test menus of the laboratories.
23 That, we need to obviously evaluate, due to the
24 added burden that might cause. Also, because of
25 Medicare payment, there are concerns of

1 laboratories billing for tests that they are not
2 authorized to perform.

3 So, in summary, let me tell you where we
4 are. The study that we conducted does corroborate
5 the findings of the previous studies, very, very
6 closely, that 48 percent of the laboratories have
7 quality testing problems. That includes not
8 following manufacturer's instructions and not
9 performing required quality control. It also
10 indicates that physicians and nurses who are
11 performing these tests are not following the
12 manufacturer's instructions.

13 Laboratories that are located in states
14 with regulations seem to have a lot fewer problems.
15 I looked at the data again this morning, and it
16 appears that non-regulated states have four to
17 eight times greater problems than those that are in
18 regulated states.

19 One of the messages I wanted to send,
20 however, was those labs that we visited clearly
21 wanted to do a good job, just did not have the
22 information to do so. The laboratories were
23 actually appreciative of the information they
24 received. I mean, all of them weren't jumping up
25 and down when we arrived at the door, but clearly

1 did feel that the visit was educational and
2 information.

3 The number of waived laboratories does
4 continue to increase, because the number of waived
5 tests increases, so more and more tests are waived,
6 more laboratories have that opportunity.

7 CLIA-regulated laboratories demonstratedly
8 have very good data that shows that the
9 laboratories that have been regulated actually had
10 the same problems. When they started out, they
11 didn't follow the manufacturer's instructions,
12 either. But over time we have seen a significant
13 improvement, greater than 75 percent improvement
14 over the course of the program.

15 We also feel that there are significant
16 findings in this study--and that's the key thing,
17 and that has very serious implications for
18 patients--incorrect results because of not
19 following manufacturer's instructions, and the fact
20 that the testing personnel are not trained, so it's
21 a combination of that that gives us that potential
22 for harm. Again, several folks have all said the
23 same thing, that the experts agree that there is
24 potential of harm if any test is performed
25 incorrectly.

1 We clearly support the development and use
2 of rapid tests, regardless of what they are, and
3 particularly point-of-care testing, realizing that
4 that's the way to get to the patient population
5 that needs the appropriate care.

6 Just as a final note, I wanted to say that
7 a lot of skeptics say to us, "Well, you're just
8 telling us about noncompliance of the laboratory to
9 requirements." No, we're not. I think we have
10 enough examples, we are beginning to collect very
11 pertinent outcome information that the performance
12 of tests incorrectly, regardless of how simple, can
13 lead to dire patient outcomes. I gave you some
14 examples, but we are collecting that, so that does
15 exist.

16 Okay, let's go to the second half of this
17 talk, and this is to kind of demystify some of the
18 perceptions. I think we talked about perception
19 before, about a perceived barrier. Let's try and
20 clarify some of that, as far as moderate
21 complexity.

22 To enroll in the CLIA program, you need to
23 complete an application, not a hard thing. It's
24 four pages long. You can find it on our web site,
25 which is www.hcfa.gov, click on "laboratories" and

1 you're there. Complete the application, whether or
2 not you want to be waived or whether you want to be
3 moderate complexity.

4 For waived laboratories, you enroll in the
5 program by completing the application. You need to
6 pay a certificate fee every two years of \$150. You
7 need to follow the manufacturer's instructions.
8 Well, we all know nobody does that anyway.

9 One of the points I wanted to make today
10 was that once the test is waived, regardless of
11 whatever professional category or intended use it
12 may have been approved for, it can be done in any
13 place by anyone. For some people that might be a
14 good thing, but for others we can talk about the
15 potential risk.

16 An example that we have seen is that
17 glucose meters that are approved for basically
18 screening are being used in the field now, because
19 they are waived, for glucose tolerance testing.
20 We're not sure--and that is obviously a diagnostic
21 procedure.

22 Okay, let's go on to moderate complexity
23 requirements. As far as the requirements, they are
24 the same as far as certificates as they are for
25 waived. The waived laboratories need to have one

1 certificate per site of testing. CLIA regulates
2 the site where the test is performed, and that's
3 the same, so one certificate per site.

4 However, there are some exceptions that I
5 was asked to tell you about, and I actually thought
6 of some more while I was sitting waiting to get up
7 today. One of the key ones I think that might be
8 applicable for this situation is the limited public
9 health option. This is more an entity that has
10 multiple sites. If it's a state agency that has a
11 state laboratory or a government facility that has
12 multiple sites, they can avail themselves of this
13 single certificate for all those sites rather than
14 a certificate for every site, as others would have
15 to do.

16 All you need to do is meet the three
17 simple criteria that are outlined here. You need
18 to be a federal, state, or local public health
19 laboratory or a not-for-profit laboratory. You
20 need to perform any combination of 15 waived and
21 moderate complexity tests. That's your choice as
22 far as the combination. And you can have as many
23 sites as you want to under that certificate. There
24 is no limit of sites. There is no limit of volume
25 of tests that you can perform. It's just that all

1 sites need to do the same 15 tests, so if you have
2 10 sites, they all can't do 15 different tests.
3 They've all got to be the same.

4 So what happens with that is that the one
5 certificate just costs you that fee every two
6 years, as well as the survey, and we'll talk about
7 later the proficiency testing, which will also be a
8 limited cost because it's by certificate.

9 There are also some other options
10 available under the CLIA regulations the same way
11 for multiple testing sites. One is called a
12 temporary testing site. That can be anything. It
13 can be the drug store, the grocery store. It can
14 be the bath house. It can be wherever. It's
15 called a temporary testing site. Multiple sites
16 can be aggregated under one certificate to meet
17 that.

18 There is also an option for a mobile van,
19 and that's another possibility, where vans would
20 travel through the streets to do testing in the
21 van. That also has that same exception allowed,
22 not even a limited public health. That can be just
23 one certificate. That's under, say, like a
24 hospital that had a mobile van on the street. They
25 only need to have the one certificate.

1 The moderate complexity laboratories--we
2 need to go back--

3 DR. NELSON: I wonder if you could
4 summarize the important points that you haven't
5 covered? We have 11 people that have asked to
6 come, and we are now about an hour or an hour and a
7 half behind, and there are people that need to
8 catch planes and so. So I'm happy to go until
9 midnight, but I think those who have a plane at
10 6:00 or 7:00 might have a problem. I'm sorry to do
11 this, but if you could abbreviate the rest of your-
12 -

13 MS. YOST: I will do my best.

14 Moderate complexity laboratories do need
15 to be surveyed every two years. Again, for low
16 volume laboratories we have bargain fees available.
17 They would pay, for a certificate and a survey, a
18 combination of \$450 for 4,000 tests every two
19 years, and that comes out to be 11 cents per test,
20 so I don't think that's too bad. Our surveys are
21 educational, they are not punitive, and those
22 laboratories that are good performers are allowed
23 to do a self-assessment on alternate sites, so that
24 we actually don't go visit them if they're doing a
25 good job.

1 In addition, the laboratory director
2 qualifications, the minimum for moderate complexity
3 is a Bachelor's degree in a science with some
4 experience in the laboratory, so we're not--I don't
5 think that those minimum requirements are
6 unmeetable. So that means that even doctors could
7 be directors of these laboratories. Testing
8 personnel is high school degree with training in
9 the laboratory as the minimum.

10 There are quality control requirements
11 but, interestingly enough, they are about the same
12 as they are for waived tests, two levels of control
13 per day of testing, and built-in controls are
14 acceptable. For your manual, you can use the
15 package insert, and that's the same thing as the
16 manufacturer's instructions for the waiver.

17 For proficiency tests, you need to enroll
18 with the vendor of your choice, and you only need
19 to enroll for the tests that you do. Proficiency
20 testing has proven to be quite educational to the
21 laboratory. We have data that indicates that as
22 the laboratory does proficiency testing, they learn
23 how to improve their performance.

24 That Strep A example I gave you, about the
25 laboratory that did the test reagents in reverse,

1 that's how they found out about their problem.
2 Before the test was waived, they were in
3 proficiency testing and they failed, and that's how
4 they figured out they had a problem, so there is
5 truly a value there.

6 Again, with proficiency testing, under the
7 limited public health they only need to enroll once
8 in proficiency testing for that certificate. There
9 is a patient test management requirement, which all
10 that is, is a record-keeping system. You can use
11 the patient chart. You have no required forms for
12 your orders or for your results.

13 As far as quality assurance, basically all
14 that is, is wrapping up everything that we already
15 said. It's all the quality requirements. We want
16 to be sure that you communicate with your patients
17 and your clients, that you solve problems, that you
18 look at your lab data to make sure it correlates to
19 patient information if you have it. So it's
20 essentially the things that you're already doing in
21 your facility to ensure quality.

22 And, last but not least, there's only a
23 minimum amount of enforcement taken under CLIA,
24 because we are educational.

25 As far as the summary--no, one more--as

1 far as the summary--I'll keep talking so we don't
2 take up the time--again, we support the development
3 of rapid testing. However, we want to ensure that
4 it is done in a quality environment, because no
5 patient can--we all know that an inaccurate test is
6 of no value to a patient.

7 CLIA standards for moderate complexity are
8 minimal, they are basic, and they are flexible.
9 They are low cost and low burden. You can use
10 existing mechanisms. We have state agencies that
11 provide technical assistance. We have very strong
12 evidence that accredited laboratories and state-
13 regulated laboratories doing waived tests have no
14 difficulty meeting moderate complexity standards.
15 The example, a good one, is the ancillary sites in
16 a hospital facility or their clinics.

17 Nine years of CLIA have demonstrated no
18 loss of access. In fact, the number of physician
19 office laboratories enrolled has increased over the
20 years, and 25 percent of them are still moderate
21 complexity and doing quite well. I learned from a
22 seminar I teach at at Wake Forest that the number
23 of physicians going to moderate complexity is
24 actually increasing over time, because they have
25 found it not to be onerous at all. Lab performance

1 as well over the nine years has also improved.

2 So, again, a waived test can be done in
3 any place by any person, regardless of its intended
4 use, with no medical intervention. So it's
5 important, in the case of a rapid HIV test which
6 has huge pre- and post-testing ethical and social
7 implications, that the test is performed correctly.

8 Thank you.

9 DR. NELSON: Thank you very much.

10 Comments? Yes, Mary?

11 DR. CHAMBERLAND: Can I ask a question?

12 MS. YOST: Yes. I'm not going home yet.

13 DR. CHAMBERLAND: Just a quick question.

14 I'm sorry, I just didn't quite catch what you said
15 about--when you were talking about limited public
16 health option, you mentioned temporary--something
17 that was not on your slide. Temporary sites?

18 MS. YOST: Temporary testing site is
19 another option you can take. The limited public
20 health is an option. Temporary testing site is a
21 second. And the mobile van is actually a third.
22 So all of those, and actually the mobile van and
23 the temporary testing can be rolled into one
24 certificate, if there are a bunch of them, at no
25 extra cost.

1 DR. CHAMBERLAND: Again, envisioning some
2 of the public health outreach settings like bath
3 houses, etcetera--

4 MS. YOST: Right. Yes. Exactly.

5 DR. CHAMBERLAND: --a bath house could
6 apply as a temporary--

7 MS. YOST: Temporary testing site.

8 DR. CHAMBERLAND: --testing site of
9 moderate complexity? That would be moderate
10 complexity?

11 MS. YOST: Yes.

12 DR. NELSON: Thank you.

13 DR. CHARACHE: Just to clarify, one of the
14 questions I asked the previous speaker was that if
15 there is the ability to have a rapid accurate test
16 with real on-site, including bath houses, that was
17 not waived, would this answer the need? And so now
18 I think you're saying that yes, that can be done
19 under the moderate complexity by trained high
20 school graduates.

21 MS. YOST: Yes.

22 DR. CHARACHE: Thank you.

23 DR. MITCHELL: I had another question.

24 DR. NELSON: Go ahead.

25 DR. MITCHELL: So if somebody is licensed

1 under the public health, can they also--does that
2 also include the outreach types of activities that
3 you talked about?

4 MS. YOST: Can be, yes.

5 DR. MITCHELL: Okay, so they would not
6 require a separate temporary?

7 MS. YOST: No. If you meet that
8 definition, you can use that for all your sites,
9 regardless.

10 DR. MITCHELL: Okay. Thank you.

11 MS. YOST: You know, I would hope that you
12 don't include the whole country, but it clearly--

13 DR. CHAMBERLAND: Let me get a
14 clarification. If I understand this correctly, a
15 health department, local or state, would have to be
16 willing to take on the responsibility, in a limited
17 public health certificate, of supervising, if you
18 will, these satellite point-of-use places, be it a
19 bath house--

20 MS. YOST: Yes. The laboratory director
21 will be responsible.

22 DR. CHAMBERLAND: I guess that's another
23 question for discussion, as to how many would be
24 willing to do that, or if that's a routine thing or
25 not.

1 DR. NELSON: Blaine?

2 DR. HOLLINGER: You said that 48 percent
3 of the waived labs had testing, quality testing
4 problems. What percentage of moderately complex
5 laboratories, that have caps and so on, had
6 perceived deficiencies as well? It's very high in
7 those as well.

8 MS. YOST: I can only speak to the
9 laboratories that HCFA surveys. Currently, I think
10 it's about 11 percent. It started out at 35
11 percent were not following the manufacturer's
12 instructions. It's down to about 11 over the last
13 six years.

14 DR. NELSON: Yes?

15 DR. JACOBS: I have a question. You
16 mentioned that in nine years there has been
17 demonstrated no loss of access, and Dr. Onorato
18 spoke about some of their measures of access to
19 test results. Could you tell us how that was
20 evaluated by HCFA?

21 MS. YOST: Basically, it was not a
22 scientific study by any means, but we do have, HCFA
23 has regional offices across the country that are
24 responsible for a number of states in the program.
25 And we always, we just do a periodic check with

1 them to determine whether or not there is testing
2 available.

3 Now, I realize that testing in a site that
4 may be comfortable, versus having to have a
5 specimen collected and transported to another site
6 to be performed, are different, but it still is
7 access. It is available. I can tell you that any
8 reference lab in the country will take their plane
9 and go to any little two-bit town to pick up a
10 specimen, you know, if they need to, so it is not a
11 problem. There is access available.

12 We also see the number of physician office
13 laboratories, which is the ideal situation where
14 you have a physician ordering, performing the test,
15 determining treatment at the same time, and then
16 allowing the patient to go home without having to
17 return, we see that number going up over time. We
18 had about 89,000 enrolled in the program in 1992.
19 There are now 96,000 physician offices enrolled in
20 the program.

21 DR. NELSON: Okay, make it brief.

22 DR. SIMON: Just a quickie on, just
23 because I think this issue of how complex is
24 moderate, when you say director's qualifications,
25 BS in science, I just think the committee should

1 realize that person has to have some special
2 qualifications. I know they can get it through
3 this seminar you mentioned at Wake Forest, or
4 training. It just can't be anyone who has a BS
5 degree. It has to be laboratory medicine.

6 MS. YOST: No, I said experience and
7 training. Yes, I mean to be fair.

8 DR. NELSON: Can't be a B.S. in Latin or
9 something like that.

10 DR. SIMON: Typically they're M.D.'s.

11 DR. NELSON: Yes. Okay, thank you.

12 Now, back to Dr. Cowan, who is going to
13 give us the perspectives of the FDA and the
14 questions for the committee, which I think is
15 important.

16 DR. COWAN: I'm going to make this very
17 brief. I only have about three slides. In fact--

18 DR. NELSON: And I hope you don't have
19 eight questions, maybe just a couple.

20 [Laughter.]

21 DR. COWAN: That, I don't think I have
22 control over. Oh, questions for you?

23 DR. NELSON: For the committee.

24 DR. COWAN: Oh, I'm sorry. I thought
25 questions from you.

1 access to outreach settings through public health
2 agencies or nonprofits, and may also provide the
3 desired oversight for testing.

4 What we are ultimately after here is
5 availability with oversight. I didn't list the
6 other two options that Judy Yost described earlier.
7 I wasn't aware of them myself. But those are also
8 open to consideration, the temporary site and the
9 mobile van, as well.

10 So, just to reiterate the questions for
11 the committee, Number 1: Considering the known
12 benefits and risks of rapid HIV testing, should FDA
13 consider the possibility of removing all CLIA
14 quality assurance oversight for such tests, that
15 is, waive simple and accurate HIV testing from CLIA
16 under its proposed criteria?

17 I put "under its proposed criteria"
18 bolded, just to remind you that we are talking here
19 about the draft FDA guidance.

20 Secondly, if not, what are the criteria
21 that should be applied in making waiver decisions
22 for these tests? In other words, is there
23 something special about a rapid HIV test that we
24 should consider when considering waiver for these
25 things?

1 And, finally, if rapid HIV tests are not
2 waived, is it appropriate to pursue other
3 approaches under CLIA, for example, limited public
4 health use, temporary site, mobile van, to promote
5 wider access to rapid HIV testing?

6 DR. NELSON: Thank you. They're not yes
7 or no questions, but I think it gives us a
8 background for what we have to decide, and that was
9 helpful.

10 I would like to now move to the people
11 that have asked, people and organizations that have
12 asked to make a statement, and if any of you have a
13 plane that leaves at 4:30, well, you're not going
14 to make it. But if you have one that leaves even a
15 little later--and Dr. Susan Rosoff does have a
16 plane, and so I'll let her talk first. And again,
17 if you could keep your comments to five minutes,
18 realizing that there are 11 people that have
19 requested.

20 **OPEN PUBLIC DISCUSSION**

21 DR. ROSOFF: Thank you. It's a train, but
22 thank you.

23 DR. NELSON: Okay.

24 DR. ROSOFF: Dr. Nelson, members of the
25 committee, I'm currently the Director of

1 Transfusion Medicine at the Virginia Commonwealth
2 University Health Systems in Richmond, Virginia,
3 and I'm here today as a representative of the
4 American Society of Clinical Pathologists or ASCP.
5 ASCP is a nonprofit medical specialty society,
6 organized for educational and scientific purposes.
7 Our 75,000 members include board-certified
8 pathologists, other physicians, clinical
9 scientists, and certified technologists and
10 technicians.

11 We agree with many of the individuals here
12 today that testing for HIV should be accessible to
13 the general public. Laboratory tests are an
14 essential component of programs for the accurate
15 diagnosis of HIV that lead to prompt treatment and
16 prevent its future spread. However, as a leading
17 medical organization devoted to the application of
18 quality laboratory testing, ASCP has serious
19 concerns about the potential waived categorization
20 of rapid HIV antibody screening.

21 First, the rapid HIV antibody screening
22 test has a lower specificity and sensitivity than
23 the enzyme linked immunoabsorbent assay tests. As
24 an example, 98 percent might sound like a very good
25 specificity for a rapid HIV test, but

1 unfortunately, if this test is used in members of
2 the population with low prevalence, such as normal
3 healthy blood donors, most of the people who get a
4 positive result are actually getting the wrong
5 result.

6 As an example, and I hope you can bear
7 with me with the math, the prevalence of HIV in
8 U.S. women is 115 per 1 million. Of these 1
9 million women, therefore, the remaining 999,885
10 will not have HIV. Yet, with a specificity of 98
11 percent, 19,998 of these women will get a positive
12 test result without disease. Of the 115 women who
13 have HIV, two of them will get a negative result
14 even though they do have disease. Therefore, of
15 the 1 million women tested, more than 20,000 will
16 get a positive test result, but the positive test
17 result will be wrong in 99.4 percent of the time.

18 According to the Clinical Laboratory
19 Improvement Amendments of 1988, waived tests must
20 employ methodologies that are so simple and
21 accurate as to render the likelihood of erroneous
22 results by the user negligible. If the rapid HIV
23 test is not accurate, patients may be harmed, first
24 of all by getting a false positive and being
25 treated pharmacologically even though they do not

1 have disease, in addition of course to the
2 psychological and emotional harm that is incurred
3 by a patient who gets a false positive result.

4 On the other hand, a person with HIV who
5 gets a false negative result may not seek further
6 testing or treatment, having a tremendous impact on
7 their future health. False reassurance of a
8 negative response is detrimental, as infection may
9 spread and cause further public health concerns.
10 And there is also a concern that there are certain
11 people at higher risk of disease who may enter the
12 blood supply as a result.

13 Second, according to CLIA regulations,
14 laboratories performing waived tests must register
15 as such, and as we have just heard, are required to
16 follow manufacturer's instructions. And also as we
17 just heard, the recent survey done showed that 48
18 percent of waived laboratories had quality test
19 problems. With a test as critical as HIV,
20 screening should not be subject to the same
21 practices.

22 It's also important to note that the CLIA
23 waived category does not provide a mechanism to
24 assure pre- and post-analytic interventions, yet
25 this is a significant part of HIV testing. One

1 concern, of course, are proper personnel available
2 to interpret results? If performed in a clinic
3 setting, will you be able to get a patient to come
4 back for the necessary confirmatory testing?

5 From my experiences, too, having worked in
6 a blood center, there might be an interest in using
7 a test like this on a blood drive to rapidly assess
8 a donor's eligibility. I can only imagine going to
9 a Sunday service and having a whole group of people
10 come up and get tested, and having parishioners
11 walk away crying and screaming, wondering what
12 their neighbors think and wondering what their test
13 means. Again, that would be a very low prevalence
14 group of blood donors and would have a lot of false
15 positive test results.

16 In addition, some people may not be aware
17 that physicians are not always on site at a blood
18 donor center, and therefore there would not be
19 people necessarily available for counseling. Using
20 a waived test in this setting, therefore, could
21 lead to profound consequences.

22 Finally, there are also concerns about
23 public health reporting of a positive HIV test.
24 With the use of a waived test in a setting that
25 does not typically require public health reporting,

1 it will be difficult to assure the reporting of
2 this information.

3 Again, on behalf of the ASCP, we deeply
4 appreciate the importance of HIV testing, but urge
5 the committee not to recommend rapid HIV antibody
6 testing for waived categorization. Thank you for
7 the opportunity to express these views before my
8 train leaves.

9 DR. NELSON: Okay. Yes, Mary?

10 DR. CHAMBERLAND: I think we need to get a
11 very clear clarification. It is not the intent to
12 use the rapid assays in a blood donation setting,
13 correct?

14 DR. EPSTEIN: We have historically
15 approved two HIV rapid assays, of which only one is
16 still on the market. In both of those cases, the
17 company was able to show that with proper
18 oversight, those tests did perform with sensitivity
19 and specificity equivalent to the conventional EIA,
20 and we did approve them for use in settings,
21 including donor screening, where a routine EIA was
22 either not available or not practical.

23 So, you know, there are occasional
24 situations, for example where you need an HLA
25 matched platelet donor, you have to have the

1 platelets in a few hours, you don't have enough
2 time for the turnaround time at the lab, we have
3 indeed permitted licensed rapid tests to be used as
4 donor screens. However, we have never approved
5 them as routine donor screens.

6 DR. CHAMBERLAND: I guess the second thing
7 I wanted a clarification on is the example that you
8 gave about sensitivity and specificity. It's just
9 an inherent characteristic of the test, and using
10 it in a low prevalence population, you know, what
11 we're running into here are predictive value
12 problems, and that would happen irregardless of
13 whether it was performed in a waived setting or in
14 a moderate complexity laboratory setting, if the
15 test is performed the way it should be, according
16 to manufacturer's instructions, whatever. So the
17 setting shouldn't impact, all things equal, what
18 the predictive value of the test is, correct?

19 DR. ROSOFF: But if the specificity is
20 lower than the test that is available, if there's a
21 98 specificity, I think sometimes when you hear 98
22 specificity, that sounds wonderful. But the
23 current test, for instance, that we use in our
24 hospital has a 99.9 percent specificity. So that
25 translates to 20,000 people. It's not--

1 DR. CHAMBERLAND: Right. But what you're
2 talking about that is just your concerns about the
3 sensitivity, specificity of rapid tests per se,
4 which is separate from the setting, you know, the
5 waiver/non-waiver question.

6 DR. ROSOFF: Yes, but I guess, too, when
7 you're using it with less experienced personnel or
8 personnel not around to help counsel people at that
9 site, then that may have different implications
10 from a test that is more accurate, let's say.

11 DR. CHARACHE: Further clarification on
12 the issue of the use of rapid tests versus the
13 waived tests for blood products. Is a waived test-
14 -once a test has been approved by the FDA, it's my
15 understanding that there is no control over what is
16 called "off label" use. So if a waived test is
17 intended for diagnostics in a high prevalence
18 population, it doesn't mean that it won't be used
19 in a low prevalence population, such as women ready
20 to deliver babies, or used for blood or blood
21 products. There's no limitation in terms of the
22 law on waived tests.

23 Is there a second law or rule by the FDA
24 which says that off-label usage of such a product
25 would not be or is not permitted without review by

1 CBER for blood product usage? That would be an
2 added requirement.

3 DR. NELSON: While Jay goes there, I don't
4 think it would be acceptable to the FDA as a
5 screening for blood donors. That is--in other
6 words, you could use it, but you would also have to
7 use the more sensitive test. Isn't that right?

8 DR. CHARACHE: But is that the law?

9 DR. EPSTEIN: Well, yes, we dually
10 regulate the blood centers. We regulate the
11 product but we also regulate the operational
12 procedures. So indeed we do require that blood
13 establishments follow manufacturer's product
14 inserts and use products only as appropriate
15 labeled, unless they have obtained exemptions. So
16 we are in fact closely regulating the use of tests
17 in the blood screening environment. Now, with
18 respect to medical use of diagnostics in general, I
19 could not say the same thing.

20 DR. CHARACHE: Thank you very much.

21 DR. NELSON: Okay, if there are no further
22 questions, let's move on to Chris Aldrich, National
23 Alliance of State and Territorial AIDS Directors.
24 Again, if you could be succinct.

25 MR. ALDRICH: Good afternoon. Again, my

1 name is Chris Aldrich. I am the Prevention and
2 Care Programs Specialist for the National Alliance
3 of State and Territorial AIDS Directors. I want to
4 thank the committee for the time today to present
5 comments. These are the comments from Mark
6 Loveless of Oregon, who is currently the AIDS
7 Director for Oregon and the NASTAD Chair, and Julie
8 Scofield, the Executive Director of NASTAD. These
9 comments are made on behalf of the membership of
10 NASTAD.

11 So, on behalf of the National Alliance of
12 State and Territorial AIDS Directors, we are
13 writing to request that rapid testing be eligible
14 for a waiver under the Clinical Laboratory
15 Improvement Act to allow administration of rapid
16 HIV tests in non-clinical settings by trained staff
17 other than certified laboratory personnel.

18 NASTAD represents the chief health agency
19 staff that has programmatic responsibility for
20 administering HIV/AIDS health care, prevention,
21 education, and supportive services funded by state
22 and federal governments. NASTAD seeks to promote
23 effective national, state and local responses to
24 the HIV/AIDS epidemic, and has considerable
25 expertise in identifying community needs and

1 meeting the challenges of the HIV epidemic
2 nationwide.

3 The Centers for Disease Control and
4 Prevention estimates up to 900,000 people in the
5 United States are HIV infected. However, of those
6 infected, up to 275,000 are unaware of their
7 serostatus. Early identification of HIV infection
8 provides numerous benefits, including improved
9 health outcomes, access to support services, and a
10 decrease in risk-taking behaviors, reducing the
11 likelihood of further transmission.

12 CDC has adopted, as one of the goals of
13 its HIV Prevention Strategic Plan Through 2005,
14 increasing the percentage of HIV-infected people
15 that know their serostatus from approximately 70
16 percent to 95 percent. In addition, the Ryan White
17 Care Act Amendments of 2000 require states to
18 develop strategies for identifying HIV-infected
19 individuals and linking them with comprehensive
20 prevention and care services.

21 Health departments support counseling,
22 testing, and referral--CTR--activities in a broad
23 range of clinical and community-based venues.
24 Providing outreach CTR services in communities with
25 high HIV prevalence through social service

1 agencies, mobile vans, and social venues has become
2 a key strategy in ensuring access to CTR services
3 among individuals at increased risk for HIV
4 infection.

5 Many individuals that elect to be tested
6 in these settings would not otherwise seek testing.
7 Outreach CTR services not only provide more
8 convenient access within a high-risk community, but
9 also allow testing to be offered through trusted
10 providers, a critical issue for communities of
11 color disproportionately impacted by HIV. Health
12 departments have placed emphasis on ensuring
13 provision of high quality services through
14 investment in training, evaluation, and quality
15 management programs.

16 However, a challenge faced by CTR programs
17 regardless of setting is ensuring that clients
18 counseled and tested for HIV learn their results.
19 This challenge is compounded in high-risk
20 communities that also grapple with a myriad of
21 concerns, including unstable housing, substance
22 abuse, and mental illness.

23 For HIV positive clients who do not learn
24 their results, health departments must use disease
25 intervention strategies in an attempt to provide