

1 safety, admittedly a very difficult thing to do
2 because of the low incidence of any infectious
3 disease related negative outcomes now in the
4 recipient population, so studies are very difficult
5 to conduct.

6 With that, I will turn the session over to
7 our informational speakers, and thank you very
8 much.

9 DR. NELSON: The first speaker will be Dr.
10 Joy Fridey from the AABB, but first Dr. Smallwood
11 has an announcement.

12 DR. SMALLWOOD: Excuse me. Before our
13 speakers come, in order to preclude any appearance
14 of conflict of interest, I just need to announce
15 that Dr. Joy Fridey is an employee of the Blood
16 Bank of San Bernadino, California, and that Dr.
17 Sharon Orton is an employee of American Red Cross.
18 Thank you.

19 DR. FRIDEY: Thank you very much. Thank
20 you, Mr. Chairman and BPAC committee members, and
21 all of you who have stayed here and are going to
22 spend the rest of the evening with us. Today we're
23 going to debate what the meaning of "is" is.
24 Actually, we'll avoid that discussion.

25 Just briefly, I'm going to cover the

1 current state of affairs with regard to the donor
2 questionnaire, our change plan, the specific
3 proposed changes, and where we are right now. This
4 is very important because what I'm going to present
5 to you tonight and discuss with you basically are
6 very provisional changes.

7 The final proposal that will go to the FDA
8 will not only include a questionnaire that has been
9 reworded, some questions presumably will have been
10 eliminated, there will be revised educational
11 materials that the donors will be required to read,
12 there will be a user's pamphlet and other written
13 materials. So what you're seeing tonight really
14 will only be one part of the overall package, so
15 context is very important.

16 Okay, just to give you a very brief
17 historical overview in one slide, the first blood
18 donor record was recommended by the AABB, the
19 American Association of Blood Banks, in 1953.
20 There were 21 medical and infectious disease
21 questions that were asked, and as you can
22 appreciate, over the years more and more items were
23 asked of the donor. This does not include
24 demographic information. This just is information
25 about medical, travel, and infectious disease

1 risks, and now we are asking donors for somewhere
2 in the vicinity of about 72 specific items.

3 I'll call your attention to this pink
4 line, which shows you the number of questions,
5 numbered questions, that are actually on the
6 questionnaire, and I'll explain what this is all
7 about in a second. So while the number of items
8 has been increasing, the number of numbered
9 questions has stayed the same, and we'll talk about
10 that in a second.

11 Well, right now there are 24 questions
12 that address one item. There are 14 questions that
13 are compound questions, and there are 8 questions
14 that have multiple informational items requested of
15 the donors. This is the reason why the number of
16 numbered questions has not increased over time.
17 It's because we have been adding compound or
18 developing compound and multi-item questions to
19 accommodate the increased number of things about
20 which we have to ask donors.

21 Twenty of the items come from the AABB, 50
22 from the FDA. These items are basically not up for
23 discussion about elimination, and so we have
24 focused on the AABB items with an eye towards
25 maintaining safety.

1 Well, this is a wish list that has come
2 from the FDA constituents about the donor history
3 questionnaire, and this is for those of you who may
4 not be familiar with all the issues. First of all,
5 a shorter questionnaire for all donors. Don't know
6 if we're going to get there, but we're hoping that
7 simplification will make it a little more
8 palatable. An abbreviated questionnaire for repeat
9 donors. We have donors that are coming in
10 sometimes twice a month if they are
11 plateletpheresis donors. If they're plasmapheresis
12 donors, going to a commercial endeavor, they may be
13 coming in eight times a month.

14 Questions that are easier to understand,
15 less intrusive. We don't know that we'll get
16 there, eliminate some of these questions, but
17 certainly easier to understand. Less repetition.
18 By this I mean that after the donors have written,
19 have responded in writing to the questions, the HIV
20 risk questions have to then be asked orally by the
21 staff, the screening staff.

22 We're hoping, folks are hoping that we can
23 get to a self-administered questionnaire where the
24 oral portion can be eliminated, and what we have
25 also wanted or desired over the years is that the

1 questions could actually be validated by the
2 proposing agency, and don't know if we'll quite get
3 there in the future. The task force will be trying
4 to address at least parts of this issue.

5 Just to tell you where we are, in '92 the
6 American Association of Blood Banks, in cooperation
7 with the Blood Centers of California, put together
8 the first uniform donor history card, which was
9 submitted to the FDA for review and approval. In
10 the next six years, a number of different items
11 were added, and this is why we started having all
12 these multiple item and compound questions.

13 In 1998, the questions were reorganized
14 because things were starting to get a little
15 chaotic, but by the end of '99 people,
16 organizations were asking the FDA for approval of
17 abbreviated versions for repeat donors, and there
18 were enough of these coming in and there were
19 enough problems with the questionnaire, its
20 complexity, its length, and concerns that it might
21 be driving donors away, that the FDA came to the
22 AABB and asked that a multi-agency task force be
23 put together to address some of the problems.

24 And these are the members or the
25 organizations that are represented: The American

1 Association of Blood Banks; ABRA, American Blood
2 Resources Association, which is the plasma group;
3 the Red Cross; America's Blood Centers; CDC; the
4 National Center for Health Statistics, which is a
5 part of CDC and will be very key in carrying out
6 some of the comprehension studies that we need; the
7 DOD; the FDA, of course. We have an ethicist; this
8 gentleman is the public member on the AABB
9 Standards Committee. We have a statistician, and
10 two research survey design specialists. We felt
11 that this was very important in order to be able to
12 give credibility to the kinds of changes that we're
13 proposing in the questions.

14 Now, when the FDA approached the AABB, the
15 following parameters were indicated. First of all,
16 in terms of research, the FDA expressed expectation
17 that perhaps this would be based on focus groups,
18 pilot studies, and existing data, but at that time
19 indicated that major research initiatives of the
20 REDS study dimension were not expected. No
21 research funds were offered, and the proposal is
22 due in 2001. So these are kind of the parameters
23 within which we are working to achieve our goals.

24 Then in October of 2000 there was a
25 workshop co-hosted by the AABB and the FDA to

1 discuss the streamlining of the blood donor
2 questionnaire, and there were many recurring
3 themes: the discussion for safety versus
4 availability; the need for an abbreviated version
5 for repeat donors; the need for emphasis on donor
6 education; simplified wording of the questionnaire;
7 and, obviously, realistic validation approaches.
8 And there was some discussion about computer-
9 assisted interviewing. I'm not going to say a
10 whole lot about that tonight, except the task force
11 will be making some kind of recommendation about
12 that in our final proposal.

13 Our plan overall is to first of all
14 evaluate the questions, reword, combine, separate,
15 when possible eliminate. And then, for those
16 questions that will undergo revision and will be
17 retained, there will be tests for comprehension.
18 We actually are in the middle of this process right
19 now. Then the questionnaire will be reformatted,
20 both the full-length questionnaire for first time
21 or infrequent donors, and then the abbreviated
22 version for repeat donors will be developed.

23 Okay, so this is where we are right now
24 for this part. We are not here yet to the
25 formatting, and then we'll be assessing these new

1 formats. Concurrent with this, we are developing
2 revised donor educational materials. We've taken
3 materials submitted by blood centers around the
4 country, and these will be harmonized, and then
5 we'll also be working on a user's brochure to
6 accompany the new card when it is finally approved.

7 I'm not going to go through all of these,
8 but suffice it to say that we kicked off our
9 charge, we kicked off in June a year ago, just a
10 little over a year ago. We've been doing it in as
11 low budget a way as possible, mostly conference
12 call, e-mail and so forth. We've had a few face-
13 to-face meetings, and there have been some kind of
14 peripheral activities we've been involved in, but
15 the most important activity to date has been to
16 develop simplified questions and look at the AABB-
17 required or recommended questions to see if it
18 would be possible to eliminate some items.

19 So what do we hope to achieve by
20 simplifying things? Dr. Williams has already
21 alluded to some of these anticipated benefits.
22 First of all, better donor comprehension of the
23 questions. If the donors understand the questions
24 better, hopefully the information that we're
25 getting from them will be more accurate and more

1 relevant. We're hoping that, as a result of this,
2 that there will be improved safety of those units
3 that are collected, although this will be somewhat
4 difficult to quantify for reasons that Dr. Williams
5 stated. We're hoping that there will be fewer
6 errors made on the part of the staff, and thus
7 fewer discarded units.

8 Our approach to simplifying is--and this
9 is very important--when we got down to the nitty-
10 gritty of deciding how we would simplify these
11 questions, we took this approach: What is the
12 target information of the question? What is it
13 we're really trying to get at? What's the key
14 issue, the core issue?

15 What is the simplest way that a question
16 can be stated? Forget the fancy words. Can we
17 just put this into plain English that someone of a
18 7th or 8th grade level would understand.

19 We took our cues from the FDA Error and
20 Accident Reports and an AABB survey that went out
21 in the fall, to identify problematic questions. We
22 used published and project-specific focus group
23 information, and Dr. Orton will be covering this
24 information in a few minutes.

25 And we called on the judgment and the

1 experience of the task force members. These are
2 seasoned blood bankers and people who have been
3 involved in donor issues for a long time. And we
4 relied very heavily on the experience and the input
5 of the health survey design experts.

6 So, our approach to simplifying in terms
7 of the practicalities is that we broke the task
8 force up into subcommittees, and they took every
9 single one of the questions on the card and
10 developed alternative wording; or if the wording we
11 felt was simple and great, we retained it. For
12 each of these subcommittees there was a survey
13 design specialist that participated in those
14 conference calls.

15 Then the entire task force reviewed these
16 proposals and made additional revisions, and then
17 Sharon Orton of Holland Laboratories had conducted
18 focus groups on those revisions.

19 So what we are essentially doing is that
20 we're using less complicated phraseology. We've
21 broken up many of the compound questions. I will
22 talk about what we plan to do with medications. On
23 health conditions, we want to focus on those that
24 we think are the most germane for donor safety,
25 eliminate some non-FDA questions, and hopefully--

1 and we will make this recommendation--move to a
2 self-administered questionnaire.

3 Now, again, before I launch into this
4 section, I just would like to remind the attendees
5 and the participants here that these questions are
6 being presented without the other supporting
7 documents, so please keep that in mind, and I thank
8 you in advance for your kind attention and
9 indulgence. I know the day is late, time is short,
10 and I appreciate the attention that you will give
11 to this.

12 Okay. There are a number of questions
13 about specific medical conditions, kind of mixing
14 apples and oranges. What the committee felt was
15 important is to try to lump things together in more
16 logical categories. I'm not going to read all
17 these because you can do this.

18 But we felt that the key health conditions
19 are listed here: cancer, heart, lung disease,
20 bleeding conditions or blood problems. Now, if a
21 specific medical director feels that there are
22 other questions that should be asked, for instance,
23 if there should be a specific question about
24 autoimmune disease, the medical director would have
25 the option to have their own--to add questions or

1 have a separate list of items about which they
2 would like donors to be asked.

3 Hepatitis, right now we're asking a lot of
4 questions, kind of dancing around trying to find
5 out if the person has ever had hepatitis. And
6 rather than take this more kind of symptomatic
7 approach, which can obviously yield a lot of false
8 positives, why not just say, ask the donor, "Have
9 you ever had hepatitis?"

10 Now, question number 10 on the AABB
11 uniform donor history questionnaire--and I just
12 want to point out, when I'm listing these questions
13 by number, this is how they appear on the AABB
14 uniform donor history questionnaire, which is
15 listed on the AABB web site. If you're not a
16 member, you won't be able to access it just now,
17 but you can contact me, you can contact Kay Gregory
18 or any of the other folks from the AABB.

19 Right now, question number 10 lists a
20 number of individual medications, and every time a
21 new medication has to be added, blood centers do go
22 back, have to reprint their cards, add the new
23 question. This does add some operational
24 difficulties. And so what we're proposing is that
25 we ask the donors if they're taking any medications

1 on the medication list, and then they will be given
2 a list that lists the medications and the deferral
3 periods, and I would like to show you how this
4 would look.

5 Very simple, bulleted items. We say
6 please do not donate if you have taken any of these
7 medications, medicines, in the past month, and then
8 we list them; then in the past three years, and
9 there's one for that, that falls into that
10 category; or ever, and this would be Tegison, this
11 would be growth hormone from human cadaveric
12 pituitary glands, or insulin from cows. So they
13 would be given this card, they would look at it,
14 read through it, say yes or no, and then move on.

15 Okay, let's get into the issue of travel.
16 Basically, what happened with this question, this
17 is the United Kingdom travel, variant CJD deferral
18 question, was put together jointly by the FDA and
19 the AABB, the original question. Based on focus
20 group information predominantly, we revised this
21 question to read as follows: "Between 1980 through
22 1996, did you spend time in the U.K. that adds up
23 to six months or more?" Okay, just trying to make
24 it simpler for the donor.

25 Question No. 15, again about CJD. Rather

1 than having cadaveric derived pituitary gland on
2 the card, it will actually be listed on the
3 medication list separately. And what we are
4 proposing is that rather than ask Question number C
5 as it's written, a very long, a very complex
6 question, really what we want to know about is if
7 the donor or their family has been diagnosed with
8 CJD, and so that's what we are proposing be asked.

9 This is just to address the fact that
10 there are more ways in which patients can be
11 subjected to foreign tissues or allergenic tissues,
12 if you will. It could be blood, it could be a
13 transplant, it could be a graft, and while we don't
14 particularly like the fact that we have made three
15 questions from one, it addresses the fact that
16 there are more complex procedures, surgical
17 procedures, taking place that do use human tissue.

18 Okay, the money to sex questions. We were
19 able to, and we felt fairly effectively, to reduce
20 this from three to two questions. And what we did,
21 basically, was to take Questions 21 and 22B, and
22 these are in pink, and reword them in the following
23 manner. So we felt we were able to address both
24 types of risks in one question, and then we just
25 reworded Question 22A to read as you see it listed

1 below.

2 Here's another travel question. Again,
3 the African travel questions were put together
4 jointly by the FDA and the AABB a few years ago,
5 and we really--you know, I guess we all thought
6 that we had the best way to say it. And based on
7 focus group information and discussions that the
8 task force had, we felt that some changes were
9 indicated.

10 So rather than give them this big, long
11 song and dance from part A, we felt that we should
12 simply ask a capture question: "Have you ever been
13 in Africa?" If the donor says yes, you say, "When
14 was it," and then "Have you lived in, traveled to,
15 or were born in Africa?" If they say no, you move
16 on. And the other revisions that we're proposing,
17 again, were based on focus group information.

18 Okay, so those are just examples. It's
19 not a comprehensive list. The committee does have
20 the other proposed revisions that we've developed,
21 and you've been given those, those materials, to
22 review. But let's talk now about some of the
23 specific questions that we think should be
24 eliminated.

25 Again, I emphasize that none of these

1 questions are required or recommended by the FDA.
2 They fall into three categories: They are either
3 not in AABB's Standards or FDA documents. They are
4 in AABB's Standards, but again there is no FDA
5 requirement or regulation. Or the FDA has
6 promulgated a regulation or a recommendation, and
7 the AABB has responded to that by developing a
8 question.

9 So let's start with Category 1, and again,
10 I won't go through all of these, but just to give
11 you a flavor. We currently ask donors if for any
12 reason they've been deferred or refused as a blood
13 donor. We are picking up a lot of very non-
14 specific information. If you've ever donated
15 blood, you know what this is like.

16 "Yes, I've been deferred." "Well, what
17 have you been deferred for?" I'll talk personally
18 about myself. "Well, I took Motrin because I had
19 some cramps," or "Three years ago I was in a
20 malarial area when I was vacationing." And every
21 time I donate, I have to go through this.

22 And we felt that, based on the non-
23 specificity and information, that this was the one
24 question that really seemed to bother donors. We
25 suggested that it be removed.

1 "Are you giving blood because you want to
2 be tested for HIV or the AIDS virus?" Again, not
3 an AABB requirement or an FDA requirement or
4 recommendation. Now, intuitively or on the surface
5 you'd say, "Gee, this is really a good question to
6 ask people," but almost 50 percent of U.S. blood
7 donors already are not being asked this question.

8 There are some other data showing that the
9 number of donors that answer in the affirmative is
10 very small, .01 to .03 percent, and we know from
11 the REDS survey data that--donors who answered a
12 questionnaire after donation--that somewhere in the
13 vicinity of 3 percent of donors said that somewhere
14 along the line in their donation career, that they
15 had donated in order to undergo an HIV test. And
16 this is information that was given after the
17 donation, in response to a questionnaire. So we
18 know that people aren't responding honestly, many
19 people are not responding honestly to this question
20 already.

21 Information about test-seeking is already
22 posted at blood centers, it's already included in
23 donor education materials of most blood centers,
24 and our goal is to reinforce this in the revised
25 educational materials.

1 I'm not going to spend a lot of time on
2 this, except to say that the AABB Standards
3 Committee put this in a few years ago. There were
4 some concerns about the preparation of the
5 vaccination, the shots, that possibly it could be
6 infectious material. While not trying to Monday-
7 morning quarterback, probably we should have gone
8 to the experts on that. When this task force went
9 to the experts, the response that came back is
10 pretty much, "Why are you asking this question?
11 There's not an indication for asking this kind of
12 information."

13 This is an old issue, but because the FDA
14 has to give the final okay, we are including it.
15 The AABB board requested a few years ago that we
16 ask donors about the use of intranasal cocaine
17 because it was a potential risk, possibly a risk
18 for HCV infection. That was based on one CDC
19 study. But then the REDS study did some additional
20 research and showed that intranasal cocaine use was
21 not an independent risk factor for HCV infection,
22 so the AABB has already decided to eliminate it
23 from the 20th edition of Standards, which is the
24 current edition, and that will continue for the
25 next edition as well.

1 Well, this one is a fairly complicated
2 one. I just would ask the committee to review our
3 rationale for striking this question. Suffice it
4 to say, it's fairly nonspecific, and we are already
5 testing donors for syphilis and we feel that,
6 based on the rationale that is listed in your
7 handouts, that that would explain why we feel it
8 should go.

9 Okay, another question that we have asked
10 donors for years, "Have you ever donated or
11 attempted to donate using a different name, or
12 another name, here or anywhere else?" The reality
13 is that the AABB put this question in in response
14 to a CFR statement that a record should be
15 available so that you could basically defer
16 unsuitable donors and not collect their products or
17 not allow their products to be issued for
18 transfusion.

19 What this is really referring to, is
20 having and maintaining a deferral database, so the
21 task force is asking how this question really
22 addresses that requirement, and we really feel that
23 the ability to link or access donor records is a
24 local issue. It depends on the computer technology
25 and donor identification systems of a specific

1 center.

2 Okay, and I think this probably will be
3 the last example that I give you: "Have you read
4 and understood all the donor information presented
5 to you, and have all your questions been answered?"
6 Now, this was a question that the AABB put in in
7 response to an April '92 FDA memorandum saying that
8 information should be written in language that
9 assures the donor should understand.

10 Well, the purpose of the task force is to
11 assure, as much as is possible, that we are
12 complying with this memo, that the questions are
13 written in language that the donors can understand.
14 But this question, if this question really were
15 following the spirit and the letter of this
16 particular memo, it would read: "Has this been
17 written in a language that you understand?" And so
18 we feel that it's not really clear how this current
19 question addresses this particular FDA requirement.

20 The third thing is that most of the
21 question content is already in the blood donor
22 questionnaire consents, and we have obtained these
23 questionnaires from many of the blood centers
24 around the country, and most centers already have
25 this information embedded in that. And what we

1 would ask is that blood centers include this kind
2 of information in the consent section of the
3 questionnaire.

4 So where we are right now is that we are
5 waiting for funding, for the NCHS to take the
6 comprehension assessment up to the next level,
7 using one-on-one cognitive interviews which are
8 very sophisticated, very intense. It's a very
9 sophisticated, intense tool for assessing donor
10 comprehension, ability to recall certain kinds of
11 information, and also response bias.

12 And, as I mentioned, we don't have any
13 funding allocated for this, and we--NCHS is--we are
14 trying to work through an intergovernmental
15 approach for obtaining funding to do this. And
16 we've already submitted our preliminary proposal,
17 and we will be waiting for your feedback.

18 So we are hoping that you will--we are
19 looking forward to receiving the feedback that the
20 committee will provide, performing interviews,
21 refining wording based on any of the above
22 observations. Then reformat the questionnaire,
23 develop an abbreviated questionnaire for repeat
24 donors, revise the educational materials, try to
25 harmonize them, develop a user's brochure, and then

1 submit, hopefully by the end of this year, a final
2 proposal to the FDA.

3 So, just to close, this effort is a very
4 special effort because the FDA has requested that
5 the constituents come together and work on it. The
6 FDA has become an integral and engaged contributor
7 to the effort. There has been a groundswell of
8 support for this effort, from the blood banking
9 community, from donors. And, finally, this
10 approach, which embraces validation as a key
11 element, really is essentially the first of its
12 kind for developing donor screening materials. And
13 with that, I'll close and yield to the chairman.

14 DR. NELSON: Thank you. Are there
15 questions? Yes, Toby?

16 DR. SIMON: Since close to half of the
17 donations in this country are for source plasma, I
18 think it's probably fairly simple. On the main one
19 you can just asterisk certain questions that aren't
20 asked of source plasma donors, and I don't know if
21 there are any that would be added. I assume you're
22 paying some attention to that through you ABRA
23 liaison?

24 DR. FRIDEY: Right. Yes, we are.

25 DR. SIMON: Are you also looking at two

1 different abbreviated questionnaires, one for blood
2 donors and one for plasma donors, or--

3 DR. FRIDEY: Well, I think that it will be
4 very important for the representatives from ABRA to
5 give us feedback to that effect.

6 DR. SIMON: And I take it that it's not
7 within this committee's purview to look at policy
8 issues, for example, on the hepatitis thing where
9 the BPAC has given--

10 DR. FRIDEY: Right.

11 DR. SIMON: So you're taking current
12 policy and then working--

13 DR. FRIDEY: Right. It's the MSM,
14 malaria, syphilis testing, all the policy issues.
15 While we weigh, may weigh in on them and say
16 something in our final proposal, we are not going
17 to basically deal with policy issues.

18 DR. NELSON: On the repeat donor question,
19 I mean, we have some cohort studies, like of drug
20 users and gay men and stuff, and we have a baseline
21 questionnaire when the person is enrolled, and then
22 on follow-up we ask, "Since the last time you were
23 here" or "In the last six months" have you,
24 etcetera. Is that what you're planning to do?

25 DR. FRIDEY: Right.

1 DR. NELSON: Or you're going to, you know,
2 so you'll change the "paid for sex," if the person
3 donated two months ago, you'll say in the last two
4 months; is that right?

5 DR. FRIDEY: That's the general idea.
6 First we have to define what constitutes a repeat
7 donor, and then there are a number of different
8 approaches we can take, but that's the general
9 idea. Those things that could have changed since
10 they last donated are the ones that will be
11 addressed.

12 DR. NELSON: Right, and so you wouldn't
13 have to ask again, the U.K. between 1980 and 1996,
14 because if they once denied it, theoretically it
15 couldn't have happened in the interim.

16 DR. FRIDEY: Right. Yes.

17 DR. NELSON: Okay. Yes?

18 DR. MITCHELL: You talked about perhaps
19 going to a written questionnaire instead of doing
20 any oral, and I was wondering, it seems to me with
21 literacy and other people that you might just offer
22 them the option of saying, you know, "Would you
23 like me to go over this form with you, or do you
24 want to handle it?" Have you talked about those
25 kinds of issues?

1 DR. FRIDEY: That's something that we will
2 address, because there are also issues about people
3 who are, for instance, visually impaired, may not
4 be able to read the questionnaire. This kind of
5 thing would apply for them.

6 DR. NELSON: Yes. We have used not
7 computer-assisted but ACASI, audio-computer
8 assisted. In other words, the question appears on
9 the screen and the person can answer it, but it's--
10 also a voice reads the question and tells the
11 person, you know, what to answer. And if there's,
12 you know, an inconsistent answer downstream in the
13 questionnaire, that's recorded and it's clarified,
14 so that those work reasonably well. But it's
15 expensive to develop that, but you can also deal
16 with language issues that way. It can be in
17 Spanish or Chinese or whatever.

18 DR. FRIDEY: Yes, I think that donor
19 screening really has to move towards computer-
20 assisted. I mean, the pen-and-paper approach,
21 given the technology, technological potential, you
22 know, it's kind of not in the dark ages but
23 hopefully we'll move toward that rapidly, within
24 the next couple of years.

25 DR. NELSON: Okay. Yes?

1 DR. STUVER: So you decided to drop the
2 intranasal cocaine use question?

3 DR. FRIDEY: Right.

4 DR. STUVER: Then what was the rationale
5 to keep the tattooing and ear and skin piercing
6 question?

7 DR. FRIDEY: Because there are still
8 fairly good data out there that both of those
9 activities are risk factors for infectious
10 diseases. The key with the intranasal cocaine is
11 that it is not an independent risk factor for HCV;
12 that there oftentimes was an associated history of
13 IV drug use.

14 DR. STUVER: And not with the--these would
15 be considered independent risk factors?

16 DR. FRIDEY: Right.

17 DR. NELSON: In certain populations, I
18 would say skin piercing is becoming more common, I
19 hope not in the BPAC membership but in some
20 populations, like kids that I happen to know. It's
21 becoming more fashionable.

22 DR. HOLLINGER: A couple of questions.
23 First of all, do you think that somewhere you will
24 probably go more towards the video type of things
25 for questionnaires and so on, like computerization

1 and so on? You mentioned that, but I wonder--

2 DR. FRIDEY: Right. There are already
3 systems that are in use. One is operating under an
4 IND at several blood centers, and then there's
5 another system that is already being implemented by
6 at least one center in the country, and I have to
7 admit we're also looking at that system as well.

8 And these are--either consist of use of a
9 laptop which has the questions, which are either--
10 they are asked both orally and written format.
11 That's great for people who are hearing-impaired or
12 visually impaired. You have both media. Or
13 something that uses a hand-held device, a Palm
14 Pilot, for example, to answer questions.

15 And the idea with that is that it needs to
16 be interfaced with your computer, your system
17 database, so that the answers are already recorded.
18 It's set up in such a way that the donor can't go
19 on and answer the next question if the donor has
20 failed to respond to a previous one, so there are a
21 lot of problems that will be solved by going in
22 that direction.

23 The task force basically will take a look
24 at some of those and make--and put together, I
25 think, a "wish list" of what we would like to see

1 with the computer-assisted self interviewing. But
2 those programs are, while not video, they use a
3 computer screen or personalized digital system
4 where they can actually read the questions.

5 DR. HOLLINGER: For the record, I do have
6 a couple of questions I want to ask. I know Alan
7 doesn't want to maybe get into some of these, but
8 there are some questions I'd like to bring up
9 anyway about the questionnaires and so on. They
10 are sort of specific.

11 But you mentioned about simplifying it,
12 but I noticed in Question 2 you ask something
13 about, "Have you given double red cells," and I
14 wasn't even sure what that, initially, what it
15 meant.

16 DR. FRIDEY: Okay.

17 DR. HOLLINGER: I know what you mean now,
18 but that was a question that I was a little
19 confused over, just being asked a question like
20 that, and that was just one.

21 The other is about jaundice, and I like
22 your attempt to change that to just hepatitis. But
23 I'll tell you, and maybe Ray could comment on this
24 after I finish, but often patients don't equate
25 jaundice with hepatitis. The others are sort of

1 superfluous. Often when they say hepatitis, they
2 don't equate alcoholic liver disease and things
3 like this, but that's not an issue in terms of
4 transmission and so on.

5 But jaundice is an issue, and so I think
6 it's something one might want to think about,
7 leaving either that terminology or something else
8 in, along with hepatitis. I think most of them
9 understand hepatitis, and they do, they mostly feel
10 this is talking about viral hepatitis in general.
11 But jaundice they sometimes don't equate with
12 hepatitis. That's one other difference.

13 The question about medications, I presume
14 they'll be thinking of things like Plavix and stuff
15 like this which essentially binds the platelet
16 receptors, and essentially for at least the life of
17 the platelets while they're in the body. That's
18 one that is used not infrequently, and certainly
19 could lead to bleeding, along with any ensades.
20 But I noticed that those may not be included in the
21 medications.

22 DR. FRIDEY: Aspirin is, by the way. I
23 ran through that, yes.

24 DR. HOLLINGER: The other has to do about
25 the rabies shots, and I know that's probably a

1 question that shouldn't be in there, but you know
2 some of the rabies vaccines contain--and I don't
3 think this is an issue, but I'll just throw it out-
4 -contain processed bovine gelatin. I don't know if
5 that's an issue anymore in this country, and I
6 don't know whether gelatin is an issue, either,
7 but--

8 DR. FRIDEY: Gummi bears are.

9 DR. HOLLINGER: What?

10 DR. FRIDEY: Gummi bears are.

11 DR. HOLLINGER: So it probably has nothing
12 to do with it but, I mean, that was only one thing.
13 You'll just have to answer that, because I don't
14 know.

15 Yes, about the questions about the name
16 change and so on, is that a problem for people who
17 are newly married and change their name, or people
18 who get divorced and change their names back, is
19 that a problem for the blood banks? I know you
20 said it's a local issue, but is it a problem in not
21 asking the question, then?

22 DR. FRIDEY: First of all, they would, a
23 center would have the option to retain that if they
24 did not have an identification system that could
25 link the names. For instance, if a center uses the

1 Social Security number as the identification
2 number, or if the donor has a specific donor number
3 that has been assigned, then those two things could
4 be linked. If a center is not setup to do that or
5 they don't feel comfortable, they would certainly
6 have the option to retain that question.

7 DR. HOLLINGER: And then the final thing
8 is the question about intranasal cocaine use. Many
9 of us believe that this is--and if you just talk to
10 people who use cocaine, and the fact that cocaine
11 causes vascularization of the nasal passages,
12 they're sticking a tube in their nose, either a
13 dollar bill or a tube of any sort, snorting
14 cocaine, passing it down to the next person. I
15 don't see how anybody can look at that and think
16 that that's much different than doing injection
17 drugs or any other type of body piercing.

18 And whether there's a study that suggests
19 that there is not--there's a lot of compound
20 variables. You're right, a lot of them are
21 injection drug users, but some are not, and there
22 are other studies which have suggested that there
23 is a relationship with transmission. I think
24 unless the NIH has withdrawn their thoughts about
25 intranasal cocaine, that's a good study. And so

1 the fact you have one that said maybe there wasn't
2 any association, there's another that you have, and
3 I think one has to really think very carefully
4 about the use of intranasal cocaine. I mean, I
5 certainly feel it's got a very strong possibility
6 of transmission of bloodborne pathogens.

7 DR. NELSON: Yes?

8 DR. KOERPER: Blaine, I appreciate you, as
9 the non-hematologist, bringing up the issue of the
10 Plavix and the ensades, because I was going to
11 bring that up, also. You know, Motrin, for
12 instance, will also interfere with, and ibuprofen
13 in all of its names, will interfere with platelet
14 function.

15 I'm curious why you only said aspirin in
16 the last 36 hours. Aspirin interferes with
17 platelet function irreversibly for the life of the
18 platelets, which is 10 days, plus it may also
19 interfere with the platelets that are being formed
20 in the bone marrow, and so we say 14 days. You can
21 have an aspirin effect for up to 14 days,
22 prolonging the bleeding time and causing bleeding.
23 So I'm curious why you limited it to 36 hours.

24 DR. FRIDEY: We actually didn't. That has
25 been an AABB standard that now has been in place

1 for a couple of years, and that has been--

2 DR. KOERPER: Well, I'm suggesting that
3 the AABB, whoever wrote that standard, needs to
4 reevaluate it.

5 DR. SIMON: That's based on a study which
6 showed that if you use pheresis donors who had
7 aspirin in I think the last 12 hours, that they
8 would be ineffective in correcting the bleeding
9 time, but if you use platelets from donors who had
10 aspirin 36 hours ago, they would be effective. And
11 while they still have aspirin related platelets
12 circulating, they have newer platelets that are
13 not. So it's based on empirical data that was
14 published some time ago. I think the FDA actually
15 put it in their guidance, and that's where it comes
16 from.

17 DR. NELSON: Alan, do you want to talk
18 about--

19 DR. WILLIAMS: Yes, if I could just
20 comment briefly about the intranasal cocaine
21 studies.

22 Yes, the NIH study was a very good study,
23 and there's no need to retract the study at all.
24 The major difference is, that particular study did
25 not include sexual contact with an IV drug user as

1 one of the variables, and the REDS study that was a
2 case-controlled study, did. And when that variable
3 was included, intranasal cocaine use disappeared as
4 a predictive variable.

5 DR. HOLLINGER: If you believe that sexual
6 contact with hepatitis C is a real problem, then I
7 would say you really ought to examine that a little
8 bit closer, because we don't think it's a very big
9 problem.

10 DR. WILLIAMS: That's not the issue. It's
11 not specifically sexual contact with an IV drug
12 user. It's probably a surrogate for some other
13 aspect of that lifestyle that has placed that
14 person at increased risk. Those are the data.

15 DR. HOLLINGER: Why do you think it would
16 be a surrogate, Alan, when you can just perceive of
17 sticking tubes into somebody's nose where there's
18 blood, getting blood on a tube, and you stick it in
19 somebody else's nose and they have blood, too? I
20 mean, I don't see how that can be looked at as a
21 surrogate.

22 DR. WILLIAMS: That's a theoretically
23 possible way that it can be transmitted. However,
24 the data don't support it at this point.

25 DR. KOFF: Some data support it, and some

1 don't, and it's biologically plausible, so I think
2 Blaine's question is appropriate. It may be a
3 little bit premature to remove it.

4 With regard to Blaine's other comments
5 about the yellow jaundice, liver disease, viral
6 hepatitis, yellow jaundice is the best kind of
7 jaundice. Blue jaundice, brown jaundice--

8 [Laughter.]

9 The question was funny from the beginning,
10 when it was first put in, and I guess leaving it
11 generically as hepatitis may miss a few, but most
12 of those would have been so many years ago, most of
13 them would have been in kids. I think it's
14 probably not--I think this will stand up reasonably
15 well.

16 DR. NELSON: I don't know. A population
17 that I used to deal with in my internship and
18 residency at Cook County Hospital in Chicago didn't
19 know what hepatitis was. They knew what yellow
20 jaundice was.

21 DR. STUVER: Can I just make another
22 comment on the question on intranasal cocaine use?
23 I mean, just because it's an independent risk
24 factor in that one data set, or it was an
25 independent risk factor, doesn't mean that it

1 should be just considered to be eliminated, because
2 it may be that some person who would answer no on
3 the IV drug use question, might answer yes here.
4 And I think you'd have to test it to see whether it
5 picked up someone who, for whatever reason, said no
6 on that question but said yes and then turned out
7 to have a positive donation.

8 DR. ORTON: I'm Sharyn Orton from the Red
9 Cross. I have a little bit of information that has
10 to do with the HCV NAT study, interview study, that
11 we're doing right now. And we're looking at risk
12 factors, and as it turns out, we do ask a lot about
13 intranasal cocaine use, and in that particular
14 population where we're looking at fairly recent
15 exposure, everybody who admits to intranasal
16 cocaine use has admitted to IV drug use as a risk
17 factor, too. We have no one who is independently
18 intranasal cocaine use.

19 DR. HOLLINGER: Well, I will say we do
20 have several patients who have had just intranasal
21 cocaine use and not injection drug use.

22 DR. NELSON: Okay. Thanks.

23 DR. FRIDEY: Thank you very much.

24 DR. NELSON: Dr. Sharon Orton from the Red
25 Cross will--

1 DR. ORTON: I'd like to thank the
2 committee for letting me speak today and share this
3 information I have on focus groups, and I'd also
4 like to thank the task force for asking me to
5 participate.

6 The focus groups I've done, I work with a
7 woman by the name of Victoria Virvos. She is a
8 behavioral scientist and has her own business out
9 of Richmond, Virginia.

10 I want to explain very briefly to the
11 committee, you have three pages of questions, I
12 believe, and I want to make it very clear that the
13 one page, we address seven questions in a paper
14 that you also have a copy of. The methodology of
15 how we actually do these focus groups was published
16 in November 2000 in "Transfusion."

17 Seven of the questions that we looked at,
18 like I said, are listed, both-- the Red Cross
19 question was the question that was actually done in
20 the focus groups. It was part of a study I was
21 doing. I have also listed for you the AABB
22 questions, so that you can see how similar they
23 were. Those AABB questions were not the ones that
24 the task force has already looked at and done some
25 work to. They were the older version of the

1 question. I just wanted to make that clear.

2 Also, in 1999 when the U.K. travel
3 question was coming out, we did focus groups on
4 that question and the bovine insulin, and then more
5 recently for the task force we looked at 13
6 additional questions that had not been covered, and
7 all of that information was summarized and sent to
8 the task force.

9 For the individuals in the audience, the
10 few who are here, the task force has--I mean, the
11 committee does have the questions. The 1999 focus
12 groups covered hepatitis history or test and
13 hepatitis contact, the parenteral question of
14 tattoo, piercing, acupuncture, other blood
15 exposure, etcetera. It addressed the question on
16 Chagas and babesiosis, cancer, the CJD/dura mater
17 questions, the U.K. travel, and bovine insulin.

18 The basic comments made by the focus group
19 participants were that the compound questions were
20 too long. If they were read aloud and the person
21 was just listening, they were most definitely too
22 long. They recommended splitting up all of them.

23 A history of hepatitis before the age of
24 11 they felt was too hard to remember. The use of
25 the terms "liver disease" or "yellow jaundice" were

1 vague and unnecessary. Our participants, the
2 demographics are listed in the papers, and whether
3 they were high school students or people who were
4 in their '60s and '70s, if you wanted to know about
5 hepatitis, they all knew what hepatitis was, and
6 didn't feel that these terms were necessary at all.

7 Many of the questions, any questions that
8 had to do with sex, the risk behaviors associated
9 with the term "sex" they felt should be clarified.

10 If a diagnosis of CJD is associated with
11 dementia, they wanted to know why you were asking a
12 donor if they had CJD. They thought they would
13 probably be too sick to be donating, and
14 recommended you remove that. And I had to tell
15 them that scientists wrote the question.

16 Regarding the bovine insulin, we did have
17 a diabetic who happened to be participating in the
18 focus group, and she said that unless she had
19 received it within the last few months, she would
20 never remember whether she had ever had bovine
21 insulin.

22 This is just to show you the more recent
23 groups that we've done. We had a variety. The
24 demographics are pretty thorough.

25 Because we did 13 questions, we broke them

1 up into two groups. The first set of questions had
2 to do with cancer, heart or lung disease, bleeding
3 disorders or disease, leukemia, transplants or
4 grafts, the use of needles for drugs or steroids,
5 sex with an IDU, travel to Africa and then
6 receiving blood transfusion or other treatment with
7 blood products, and the question about jail or
8 prison.

9 The second set of questions were primarily
10 related to "sex with," and they included sex with
11 prostitute, money, drugs or other payment; sex for
12 money, drugs or other payment; MSM; females having
13 sex with an MSM; sex with someone with a bleeding
14 problem or hemophilia; and then sex with someone
15 born or who had lived in Africa.

16 In general, the comments were, for the
17 particular questions, that if they were oral only,
18 some of them are probably too long, but if they
19 could just read them, they probably weren't too bad
20 as far as length went. However, regardless of the
21 length, the compound questions could be made more
22 clear by splitting them up.

23 They went very, very carefully through the
24 questions and removed one or two words as
25 unnecessary, like "ever" or "even once", removed

1 the "even once."

2 They felt that cancer should be separate
3 from other nonmalignant diseases like lung and
4 heart disease.

5 This was a very interesting one. The word
6 "disorder" had a negative connotation, and people
7 recommended that it be changed to "condition" which
8 was used in other questions. And this happened to
9 come up in our predominantly black group, where
10 they talked about blood "disorder" and they wanted
11 to know, you know, whether sickle cell disease is
12 considered a disorder, and that sounded very, very
13 negative to them, and they wanted to know why
14 "condition" was used for everything else and why
15 "disorder" was used for this particular question.
16 So we recommended that they just use "condition"
17 all the time when they were talking about blood.

18 They felt you should separate "transplant"
19 from "graft" and include bone marrow as a
20 transplant.

21 For IDU, their concern was if you were
22 looking at needle use, by just specifying drugs or
23 steroids you were going to miss other injections
24 like vitamins and supplements, particularly
25 supplements in the health industry where people

1 might be using steroids and other supplements.

2 Regarding travel to Africa, "other medical
3 treatment with a product made from blood" was not
4 clear, and at the time I couldn't tell them what we
5 were even talking about.

6 They recommended including juvenile hall
7 and lockup with jail or prison. Juvenile hall is
8 actually what came out of our high school group,
9 and when we talked about exposure to individuals,
10 they said certainly juvie hall, you're going to
11 have exposure to individuals just as much as at
12 jail or prison.

13 They thought the use of "prostitute" with
14 "payment for sex" was unnecessarily redundant.
15 None of them had any doubt in their mind what
16 "payment for sex" meant.

17 Criteria for "lived in Africa" they felt
18 should be specified, and they did have a question
19 whether extended travel to Africa for long periods
20 of time was considered a risk. I couldn't really
21 answer that, and therefore didn't.

22 Again, risk behaviors associated with the
23 term "sex" should be clarified.

24 That's it.

25 DR. NELSON: Okay.

1 DR. ORTON: Any questions?

2 DR. NELSON: Questions? What were the
3 composition of the focus groups? You mentioned
4 that there were both whites and blacks and--

5 DR. ORTON: Yes. The 1999 group--

6 DR. NELSON: To what extent would they be
7 applicable to blood donor population, you know,
8 throughout the country or locally or--

9 DR. ORTON: The 1999 focus group
10 participants, the demographics are in the paper.
11 We had a group from business. We had two groups
12 that were kind of general. They were some from
13 business, some from church, and high school.

14 The 2001, I did show the slide. Again,
15 multiple ages. When we recruited these people,
16 they all had to be eligible to be blood donors, so
17 we specified that up front. And like I said, the
18 ages went from high school up to the sixties, a
19 variety of educational levels. We did not, in all
20 of our focus groups, have anyone who was Hispanic,
21 but we did--

22 DR. NELSON: And you didn't include people
23 who had been blood donors, or--

24 DR. ORTON: In the first set of focus
25 groups we were specifically looking for individuals

1 who had never donated before, so the 1999 questions
2 were by individuals who had never donated before.
3 For the task force questions, the one done in the
4 year 2000, we actually did have individuals who
5 were blood donors, as well, so we had a mixed
6 group.

7 DR. HOLLINGER: Dr. Orton, does it depend
8 on where questions come in a questionnaire? I
9 guess I'm saying, should there be a prioritization
10 of questions, or the most important questions or
11 what you think might be the most important
12 questions, if they come earlier, does it make a
13 difference? And if they come 23, 25, 27, what kind
14 of--

15 DR. ORTON: We did not look at, we didn't
16 look at that at all. The only time, the only thing
17 that I can say, there were two questions that we
18 gave the way they're listed on the questionnaire,
19 and one asked about bleeding disorder including
20 leukemia, and the next question was cancer. And so
21 they said, well, isn't leukemia--first they said,
22 "Isn't leukemia a cancer?" Well, that's the next
23 question. So I would say, yes, that's probably
24 true, but we did not look at the order at all.

25 DR. FITZPATRICK: On the bovine insulin,

1 did you poll more than the one diabetic in the
2 group?

3 DR. ORTON: No. It turns out when those
4 questions came out, we were finishing up some of
5 the other focus groups, and so we were asked to
6 kind of throw those questions in quickly. And we
7 just happened, the group we were doing just
8 happened to have someone who was diabetic. We
9 didn't do it any more extensively than that.

10 DR. FITZPATRICK: Do you believe changing
11 the question based on that one answer is warranted,
12 then?

13 DR. ORTON: Well, I don't think we changed
14 the question. The question was, "Have you ever had
15 bovine insulin?" And the person said, "I wouldn't
16 remember." And I don't think that we changed it to
17 any specific time period. I don't know.

18 DR. FITZPATRICK: Because the issue came
19 about because there's a very specific process for
20 an insulin-dependent diabetic who wanted to stay on
21 bovine insulin, to import it from the U.K. So if
22 they had been on it, they would remember.

23 DR. ORTON: I'm just telling you what they
24 told me.

25 DR. FITZPATRICK: Well, that just worries

1 me a little bit, that you would advocate doing away
2 with the question based on--

3 DR. ORTON: No, they aren't doing away
4 with it. The question is still on the
5 questionnaire.

6 DR. FRIDEY: It will appear on the
7 medication list.

8 DR. FITZPATRICK: Okay. I misunderstood.
9 I'm sorry.

10 DR. NELSON: And I like the one about how,
11 "I'm getting so old and forgetful, I think I may
12 have what's-its-name disease." That's great.

13 Yes, John?

14 DR. BOYLE: Actually, this is more in the
15 way of a comment, because I had the opportunity to
16 sit in on all too many of these conversations.

17 When you are working on a questionnaire,
18 developing a questionnaire, there's usually three
19 phases. The first phase is an expert phase, and
20 that's what we have been doing for the last six or
21 nine months, and I would like to take the
22 opportunity as one of several members of this
23 committee to say I had the opportunity to observe a
24 broad group of experts who were trying to find
25 consensus around these issues, in hewing to the

1 requirements for what's necessary yet at the same
2 time trying to make things as efficient as
3 possible.

4 Having done that, you just got the best
5 sense of that group of people, which may or may not
6 turn out to be, you know, perfectly right. So the
7 next phase is what Sharon is talking about here, is
8 qualitative research with a bigger group of people
9 who are not experts, to see whether or not you can
10 learn anything more, particularly in areas of
11 comprehension.

12 One of the things that's really sought
13 after, should funding ever be available, would be
14 to go to in-depth where you can truly test order
15 effects and other things in terms of comprehension.
16 We know some general things. For instance, we know
17 if you jerk your questions around all over the
18 place, people lose the flow and they make mistakes.
19 So if you can group them and flow them in sort of a
20 temporal, you get better results.

21 In terms of a self-administered form,
22 whether it appears at the first or the last
23 probably doesn't make as much difference as how
24 long the question is. Because if it's a long
25 question and includes a number, a series of things,

1 some people read those as "ands" rather than "ors",
2 and there's a whole series of other things. But
3 the qualitative phase is underway to identify some
4 of that.

5 What is lacking from the project at this
6 point in time, due to lack of funding, is the
7 ability to go out and find out, in a large scale
8 survey, and it doesn't have to be on the basis of a
9 REDS type of thing, but doe these risk factors
10 coexist, and will a person who says this not say
11 that, and do people understand. You know, in a
12 large population, how many people would say "no" to
13 hepatitis and "yes" to yellow jaundice? Don't
14 know.

15 A large-scale survey could demonstrate
16 that, but according to the parameters of the study,
17 both time and resources but more resources, you
18 know, that is not currently in the cards, and I for
19 one wish it was. But anyway, I'd like to
20 congratulate all the people, many of whom are here,
21 many of whom are not here, who gave so much of
22 their time on this project, because I thought it
23 was really good work.

24 DR. NELSON: Alan?

25 DR. WILLIAMS: Okay, we are cognizant of

1 the hour just as much as you are. If you could
2 bring up slide 12, as promised, we will just ask
3 for key comments, reaction to the question
4 components that will be placed in front of you.
5 While that's coming, two points of clarification
6 that I think may not have come out.

7 The NCHS was mentioned. That's the
8 National Center for Health Statistics. It's a
9 component of the Centers for Disease Control. They
10 run a cognitive research lab which does this type
11 of study on a full-time basis, so they are the
12 professionals in the business.

13 Second thing is, the validation
14 comprehension studies that we're doing, this is a
15 first for this donor questionnaire. This
16 questionnaire is used at least 12 to 13 million
17 times each year for the past X number of years, and
18 yet these studies have never been done, so just to
19 create that awareness, as well. Now, as we move
20 into the future, there may be other studies that
21 will collect similar information, but this
22 information is new.

23 So, looking at Question 1, "Is the task
24 force using the best overall approach in revising
25 the donor screening instrument with respect to (a)

1 donor comprehension studies"--would you like to
2 take them as a group or separate them?

3 DR. NELSON: As a group.

4 DR. WILLIAMS: "(b) identification of
5 questions proposed for elimination; and (c)
6 transfer some question content to the written donor
7 information materials?"

8 I think also it wasn't specifically made
9 clear, we are not looking for a vote on these
10 questions. We are really just looking for key
11 comments to help guide the task force activities.

12 DR. NELSON: Comments on this one?

13 DR. MITCHELL: I vote yes.

14 [Laughter.]

15 No, on the first question, I think that
16 the approach for the donor comprehension, I think
17 that that's the right approach, but I'm afraid that
18 there are a lot of regionalisms that are going to
19 need to be taken into account, and I agree that
20 that type of study really does need to be funded in
21 order to look at it, such as the issues on juvie
22 hall. I mean, you know, you say juvenile facility
23 in other places.

24 Anyway, so you know, I like the idea of
25 the focus groups to get more information. I think

1 more of that needs to be done, probably even before
2 doing the large-scale questionnaire. Thank you.

3 DR. STRONCEK: Will there be any efforts
4 to have these written in different languages when
5 they're done?

6 DR. WILLIAMS: To the extent that this
7 emerges as a standardized questionnaire instrument,
8 certainly I think for areas of the country where
9 Spanish is a major language, that it would be
10 appropriate to have the appropriate regional
11 translations into Spanish. I think, as Joy alluded
12 to, once you get a computer-interactive
13 questionnaire, this can be done much more
14 effectively, and hopefully down the line those
15 systems will become available. But, yes, different
16 languages would be appropriate. I'm not sure the
17 task force has agreed to undertake this as part of
18 the current work scope, but yes, it's appropriate.

19 DR. NELSON: Well, as somebody who has got
20 research studies going in Thailand and China and
21 the Republic of Georgia in Russia, to get IRB
22 clearance you've got to get consent forms in all of
23 these languages, and back translations and upside
24 down translations and all kinds of things to get
25 through the IRB. So this isn't as daunting a

1 procedure, I think, as you--now, to test whether it
2 means the same thing to the people that speak that
3 language or are from that country is a different
4 issue, but getting it translated into Spanish is
5 not a big deal, I wouldn't think.

6 MS. KNOWLES: Although you have to be very
7 careful, because some people who are very--they can
8 speak very high Castilian, and there are other
9 people that won't understand that at all.

10 DR. NELSON: Yes. Yes, that's true.

11 MS. KNOWLES: So I think you have to watch
12 that to some degree.

13 DR. NELSON: But it's not as big a deal as
14 you're
15 --I mean, it can be done. And certainly if it's
16 just Spanish, that wouldn't cost much.

17 DR. WILLIAMS: Okay, next question,
18 please.

19 So, looking at some of the elements of the
20 redesign, are the following elements of the
21 redesigned questionnaire instrument-appropriate?
22 First, use of capture questions to identify
23 individuals who are candidates for more in-depth
24 questions regarding travel and similar clusters of
25 questions like that? It's intrinsically appealing.

1 We have had difficulty locating data that say it's
2 a better way to do it, but like intranasal cocaine,
3 it has an intrinsic appeal to it.

4 Any comments on that one?

5 DR. NELSON: I think that for travel, I
6 mean, you wouldn't list all of the 169 countries in
7 the world in one question, but I think you have to
8 use this approach for some questions where you're
9 worried about malaria or other kinds of exposures,
10 I would think. Yes?

11 DR. McCURDY: I think the concept is a
12 good one, but it has occurred to me from a certain
13 amount of experience and side information I've
14 picked up, that one needs to exercise some quality
15 control in the questionnaire process itself. I
16 have the impression that in many instances a lot of
17 the questions are asked in rather perfunctory
18 fashion, and although it's desirable and
19 appropriate to pick up body language in the
20 responses, it's done so quickly that you're
21 unlikely to do that.

22 And some of the amount of time that I have
23 heard listed that's devoted to the questionnaire is
24 amazingly short, and couldn't conceivably really
25 ask all the questions in a fashion that's

1 particularly meaningful rather than perfunctory.
2 In that situation, a computer-assisted approach
3 would be very useful. But in any event, I think it
4 would be advisable to try and build in a certain
5 amount of quality assurance in the questionnaire
6 process.

7 DR. MACIK: I think the use of capture
8 questions is very good, and I think it would also
9 shorten because, you know, we kind of gear this to
10 different populations, but there's a large number
11 of blood donors who have never been outside of the
12 U.S. And you can just say, "Have you ever been
13 outside of the U.S.A.?" and skip over everything
14 else, and then if necessary expand to, oh, you
15 have? Have you been to this country, this country?
16 Malaria, etcetera. And so I think that simplifies
17 matters, also, for those who have not been outside
18 of the States. It keeps them from getting bored
19 with the questions.

20 DR. MITCHELL: Yes, I also think it's a
21 very good approach. However, I think that you need
22 to sort of caution against something that looks
23 discriminatory. Like you say, you know, "Have you
24 ever been to Africa?" I think when I talk to
25 people about that sort of question, they say,

1 "Well, you know, if there's a reason for that, then
2 it's fine." And so maybe something that says, you
3 know, that there are certain risk factors in some
4 countries that aren't in the U.S., have you ever--
5 you know. So that's what I would--

6 DR. NELSON: Yes?

7 DR. STRONCEK: Hopefully you can get this
8 set up with the computers soon, because one of the
9 big issues with travel is, as we have talked about
10 here before, is which areas are at risk for malaria
11 and which aren't, and it's a very fine line between
12 parts of--some cities in some countries are, and
13 other places aren't. So if that can be made
14 uniform for all centers, and updated from time to
15 time, and the same information be available, it
16 would be a help, too.

17 DR. NELSON: Mike?

18 DR. FITZPATRICK: Alan, I'm not qualified
19 to say whether it's appropriate or not on either 1
20 or 2, because I leave that up to the health survey
21 people. What I would like to have seen would have
22 been, one, the process as to how we go through the
23 validation, because I think that's more the
24 concerns that have been raised in the past by the
25 committee, is that there be a firmly established

1 process for doing this. And I applaud the efforts
2 that are being made, because the AABB and the task
3 force are going far beyond anything that has ever
4 been done before.

5 But what we lack is an establishment of a
6 process, and the funding piece is deplorable. I
7 mean, this is something that has been an issue for
8 many years, has been addressed as a problem for
9 many years, and the fact that FDA or NIH or
10 somebody can't come up with the money to put
11 together a process on, as you say, 12 million
12 questionnaires that have been used yearly, over and
13 over and over, is deplorable. Something needs to
14 be done to establish the process so this just isn't
15 a one-time thing, or as long as the AABB puts
16 together the task force at the behest of the FDA,
17 it gets done.

18 And to get all these volunteers to devote
19 all their time and effort to it, is not a reliable
20 process for the establishment of something so
21 important as far as donor questionnaires. I think
22 that that establishment of process is what the
23 Blood Action Committee and the plan, was of the
24 most concern of the group that developed that.

25 DR. NELSON: Yes, John?

1 understanding them?

2 DR. WILLIAMS: Okay. Second components,
3 there is a recommendation currently to ensure
4 understanding of the questionnaire by the donors,
5 and this is handled in different ways by different
6 blood centers. Are there comments on the need to
7 do this or the process by which this is best
8 accomplished?

9 DR. MITCHELL: Yes. Again, I commented
10 previously that I thought that asking people if
11 they want to go over it, but I think that
12 eventually we probably should try to not only get
13 to a computer but actually do get a video type of a
14 thing, where you can have a touch screen and sort
15 of--you have a lot of different options, you know,
16 where you have a person talking, maybe even--
17 anyway, visuals as well as the writing across the
18 bottom, and it can be in different languages and so
19 on, like that, and it can go at different people's
20 paces. But we are becoming such a visual society,
21 I think that it would be helpful to do that.

22 DR. NELSON: Go ahead.

23 DR. KOERPER: I think there is still a
24 place for somebody who can read the questions,
25 because some people don't have computers, you know,

1 older people who didn't grow up with computers in
2 school or at their job, who may need some help;
3 visually impaired people we've already spoken
4 about; and I think there are some people who
5 frankly don't know how to read, and yet they might
6 be perfectly suitable as blood donors.

7 So I do think, while for those people who
8 are comfortable with the computer setting, it could
9 go quite quickly for them and not tie up a lot of
10 interviewers, it still--I would vote that there
11 still be some people who could sort of up front
12 assess whether the person is more comfortable with
13 a computer or with a face-to-face interview.

14 DR. CHAMBERLAND: As a member of the task
15 force, I guess I wanted to be sure I understood the
16 question to be, in that it could mean different
17 things. I mean, it could refer to the effort
18 that's currently underway, that hopefully NCHS will
19 do, to do this overall validation. Or it actually
20 could refer to individual validity checks, if you
21 will, at the time each donor comes up to complete a
22 questionnaire or work through a computer process.
23 It's the latter that you're referring to?

24 DR. WILLIAMS: Yes, it's more specific.
25 It's the latter. It should--the interviewer, by

1 whatever process the donor answered the question,
2 should there be some means to determine whether or
3 not the donor understood that questionnaire
4 process, whether it's a single question at the end,
5 "Did you understand? Do you have any questions?"
6 or it's a test question earlier to ensure that
7 they--the individual can read and can read the
8 English language, some way of in fact providing
9 some degree of validation that they did understand
10 the set of questions.

11 DR. CHAMBERLAND: Now, hopefully I'm right
12 on this, but currently the questionnaire asks a
13 question that's sort of a wrap-up question, "Are
14 you sure you understood everything? Do you have
15 any questions?" etcetera, which the task force has
16 proposed be eliminated as a question because the--

17 DR. FRIDEY: Right, but that's already in
18 the consents somewhere.

19 DR. CHAMBERLAND: Yes, exactly where I was
20 going, because it is included in other materials
21 that the donor has to read, and in this case of the
22 consent form, sign. But currently we don't do
23 anything other than that in terms of an individual
24 validity check, such as asking the same question
25 different ways or these other approaches or

1 techniques that can be embedded in a questionnaire
2 to try and get a handle on individual validity.

3 DR. NELSON: What did the REDS study find
4 when it looked at donors who in fact had markers?
5 I mean, you found that many of them had admitted to
6 risk behaviors, but was that because they didn't
7 understand the question or was it for other
8 reasons, those who in fact had risk behaviors that
9 should have excluded them?

10 DR. WILLIAMS: There are a number of
11 studies that address that, from interview studies
12 of HIV seropositive donors to the survey research
13 of the accepted blood donors, and basically the
14 rationale is all over the board. There are those
15 who indicate that they did not comprehend the
16 question. I would say in most instances this is
17 not the major component. In some cases it's just a
18 denial thing, "I didn't think they meant me," but
19 there are a wide range of responses. I wouldn't
20 say comprehension is an overwhelming reason. It is
21 not. That was my impression. But then again,
22 people who are answering surveys would have to be
23 able to read the survey to determine whether or
24 not, answer whether or not they could read the
25 original questionnaire.

1 DR. MACIK: I guess one thing I don't have
2 a sense for, since I'm not a blood banker, how many
3 people actually get deferred because of the
4 questionnaire? Say you're having a blood drive,
5 and they come in--

6 DR. NELSON: Oh, a lot.

7 DR. FRIDEY: Quite a lot.

8 DR. MACIK: So how much effort is put in
9 before the church comes in for the big blood drive,
10 of putting out pamphlets that say, "If you've taken
11 aspirin yesterday, you're done this, you've done
12 that, don't come"? I mean--

13 DR. NELSON: It's the opposite. "Please
14 come, and we'll find out if you are"--

15 DR. MACIK: It is, but I mean--

16 DR. NELSON: The reason I say, I'm not a
17 blood banker either, but my wife for the last
18 couple of years has been in charge of recruiting
19 donors every two months for this, and you know,
20 sometimes 40 donors come and 28 are acceptable
21 after--you know, some of them because of low
22 hemoglobin but a lot of them because of various
23 donor exclusions.

24 DR. SIMON: There's tremendous variation,
25 and with that many blood centers do try to get some

1 information, but generally most keep it very
2 limited because they don't want the donor
3 interpreting themselves out of the donor pool
4 before they get there.

5 DR. MACIK: But some things are pretty
6 simple.

7 DR. SIMON: Yes, they usually say if
8 you've ever had AIDS, hepatitis, or risk factors--

9 DR. MACIK: If you've traveled outside
10 the--you know.

11 DR. SIMON: That gets very detailed, if
12 you've traveled--

13 DR. MACIK: Well, but I mean there are
14 some simple questions, like some of the medication
15 lists or something that could be, you know, shown
16 ahead of time to keep people from going through the
17 wasted effort of showing up and being turned away.

18 DR. NELSON: Yes?

19 DR. FRIDEY: I think there is a great deal
20 of variability. Speaking for our own blood bank,
21 and we're fairly small, we collect about 100,000
22 units a year, we do work with the donor chairpeople
23 at the organizations where we do the drives, and do
24 give them some basic information. Although, like
25 Dr. Simon said, we don't give them basically a

1 whole list of everything that would constitute
2 reason for a deferral, because we want to reserve
3 the judgment, once the donor is there, to make a
4 decision about whether or not they really and truly
5 are eligible to donate. Major things like history
6 of HIV, hepatitis, those are things we include, age
7 parameters, and other things. But we do give them
8 some information in advance.

9 DR. HOLLINGER: What percentage usually,
10 say, are lost with the donor questionnaire, either
11 from repeat donors or from new donors?

12 DR. FRIDEY: Well, at our blood center it
13 runs around 15 percent, but I would say 3 to 4
14 percent of those deferrals at the time of screening
15 are for low hemoglobin levels, but we do have
16 somewhere in the range of 8 to 10 percent who are
17 deferred on the basis of the questions that we ask.

18 DR. NELSON: You collect them at your
19 blood center, or are these volunteer donors in the
20 community? Because I think that number is higher
21 than--

22 DR. FRIDEY: Well, yes. It depends. But
23 speaking for the Red Cross, because we have a loose
24 affiliation with them, it is somewhere between 12
25 to 15 percent overall at the time of screening,

1 including low hematocrits. Yes, but we do that at
2 our centers and at mobiles.

3 DR. FITZPATRICK: Ours, DOD runs about 15
4 percent for medical history.

5 DR. NELSON: Yes. Well--

6 MR. GILCHER: Ron Gilcher, Oklahoma. I
7 was just presenting this data last week at an NIH
8 symposium, and at our blood center in the calendar
9 year 2000 it was 13.6 percent of our donors were
10 deferred. Of that, approximately one-half are for
11 elevated blood pressure or hemoglobin, which is the
12 majority. So your question of how many are
13 deferred by the questions themselves on the donor
14 registration form, it's about half of the deferrals
15 that occur from the questions themselves on the
16 deferrals, whereas the other half occur from what
17 we detect from the vital signs or a determination
18 of the hemoglobin level.

19 DR. HOLLINGER: Ron, do these patients,
20 just for my own information, do these donors
21 usually come--are they really lost most of the
22 time, or will they come back again a second time or
23 a third time because of these, either because of
24 the vital signs being abnormal or because of the
25 question?

1 MR. GILCHER: Well, that was one of the
2 very important questions that was actually asked
3 last week at the symposium, and we're lucky if we
4 can get back one-third of those donors. Once we
5 defer them, there is a feeling of rejection, self-
6 rejection, by that donor, and we'll lose about two-
7 thirds of them.

8 DR. WILLIAMS: Okay. Let's cover (b) and
9 (c) together. The proposal was made that the
10 actual medications be split out of individual
11 questions and included in a medication list, which
12 would be eligible for expansion at local option if
13 desired, and then--

14 DR. HOLLINGER: Al, will this include both
15 generic as well as common names in the medication
16 list?

17 DR. WILLIAMS: Yes. And then cover at the
18 same time an issue that didn't get a lot of
19 attention, that is, provision of a user manual for
20 the donor screening process. There might still be
21 some questions about that, but we'd be happy to
22 hear any comments about these two issues.

23 MS. KNOWLES: I think that's a good idea.

24 DR. WILLIAMS: Okay. We're almost home,
25 and the last question I think we'll take as a

1 package. The concept here is, once the task force
2 product emerges, and hopefully we'll find the
3 funding and get the higher level of comprehension
4 studies conducted--and FDA is going to be charged
5 with looking at this very detailed revised
6 questionnaire and deciding, you know, what further
7 studies need to be done, how to evaluate it, and
8 ultimately whether it should be approved--can you
9 make any suggestions as to how this review process
10 should be conducted in terms of the contents of the
11 questionnaire, the format, and you might want to
12 consider the secondary structure, the
13 attention/comprehension studies that have been
14 conducted, and the validation in terms of blood
15 safety? Can you think of anything that we ought to
16 do to assess these changes in terms of safety of
17 the blood supply or safety to the donor,
18 recognizing that this is a difficult task?

19 DR. HOLLINGER: No, but I think it would
20 be useful to have a common ground that all of the
21 blood organizations would accept, including the
22 FDA. I mean, you know, when you see all of these
23 things there, and the FDA recommends this, and the
24 AABB recommends this, maybe the American Red Cross
25 recommends this, this is one of the times I think

1 where it would be nice if there could be an
2 agreement with all these competing organizations to
3 have a solid questionnaire that everyone would
4 agree on as much as possible. I think that would
5 be useful.

6 DR. NELSON: I guess the way it works now
7 is, the FDA mandates some questions and people can
8 add to it, and I suspect that probably may
9 continue, if one blood collection organization
10 wants to add something that there isn't general
11 agreement on.

12 DR. SIMON: Well, I think--I mean, I guess
13 what Alan is looking at, particularly in number
14 (d), I mean, I think that you obviously make sure
15 the content reflects all of the guidance and policy
16 issues that have been established and are still in
17 place, the format that is at least something people
18 will pick up on and can follow, and I would allow
19 multiple formats as long as they conform and the
20 people can comprehend it.

21 I think estimating impact on donor and/or
22 blood safety is going to be very, very difficult. Any
23 way you can get such data, I think using tests now
24 because the numbers are so low is going to be very
25 difficult, but I would say that yourself and the

1 others who have been involved in the REDS study
2 probably have the greatest insight of anyone into
3 how one might get some tidbits of data on this or
4 some element of data to submit, either by surveying
5 people who have gone through the questionnaire
6 after the fact or something like that, pretty much--
7 -I mean, I think you all set the standard for how
8 one can do that.

9 DR. WILLIAMS: Two comments in terms of
10 the way the agency looks at the process. The first
11 thing is, for centers that would want to institute
12 a question that is more precautionary and more
13 conservative, generally there is not a basis for
14 saying that can't be done, as long as the standards
15 described by the agency are adhered to.

16 And then another concept, some of the
17 questions either arose from AABB standards or other
18 sources and are not recommended or required by FDA.
19 FDA has remained silent on those questions, but I
20 think reserves the right to comment and review
21 whether or not their elimination is appropriate in
22 context of the fact that they have become an
23 industry standard.

24 DR. NELSON: Mike?

25 DR. FITZPATRICK: I think you've got the

1 recommendation from the health survey people on
2 what you need for the end points, but you need the
3 funding to get there. And if you don't get there,
4 you won't know the answers to (a), (b) and (c), if
5 you don't get that funding.

6 As far as (d), if you get the funding and
7 do the validation, you're now establishing a
8 baseline, so I don't know that you can estimate any
9 impacts of what you're currently doing. But then
10 when we add a question or change a question in the
11 future, you may be able to assess that because
12 you'll have a baseline to work from.

13 DR. NELSON: Marion?

14 DR. KOERPER: Well, in a sense you know
15 what the frequency now is of HIV-positive donations
16 or HCV-positive donations, for instance. And so
17 once this is implemented, one could look to see if
18 there was a change in the rate of people who
19 donated and subsequently had positive tests. It
20 won't help the FDA to approve it and put it into
21 use, but I think that checking that kind of data
22 once it goes into effect would be helpful to
23 validate whether the rewriting of the questions
24 was--

25 DR. WILLIAMS: That's a good observation.

1 The difficulty is, the prevalence and certainly the
2 incidence is quite low. There's quite a bit of
3 variance in it, month-to-month, quarter-to-quarter,
4 seasonality and changes with demographics. To try
5 to get enough power to evaluate the impact of a
6 question change, again, is very difficult.

7 DR. NELSON: Jay, did you have a comment?
8 Or are you just standing?

9 DR. EPSTEIN: No, I just wanted to
10 encourage committee members to submit further
11 comments in writing to the agency in the next
12 couple of weeks, because we recognize that you
13 didn't have the opportunity to really dive into the
14 details. There was a desire to do so. We
15 certainly would like that feedback, recognizing
16 that this is a work in progress.

17 What we were hoping to do in today's
18 session was to, you know, get the global picture on
19 process, and I think we're having that discussion,
20 but I just wanted to be sure you understand that
21 we're also interested in particular comments, that
22 you may want to think about some of the details.
23 You've gotten a lot of material in the mail. We
24 solicit your comments.

25 DR. NELSON: One issue that NIDA, NIMH,

1 etcetera, have looked at when they're trying to
2 assess what a behavioral intervention is, when they
3 don't have enough power to--you know, when let's
4 say the HIV incidence isn't high enough without a
5 huge study, is they use a behavioral surrogate,
6 what people report about condom use, or an STD
7 surrogate, and it's not exactly the end point, but
8 maybe some of that same--in other words, you could
9 re-interview enough donors to see, you know, what
10 the questionnaire might do to those who were
11 excluded and those who weren't, based on a new
12 questionnaire.

13 DR. MITCHELL: Yes, but I think that in
14 order to do that, you're going to have to look at--
15 see, what can happen is that once it's introduced,
16 also the number of people self-deferring can either
17 go up or go down, and you don't know why unless
18 you've looked at the people beforehand who have
19 self-deferred and sort of asked them why they've
20 self-deferred, and have looked at the people
21 afterwards, after the new instrument goes into
22 effect, and asked the people again why they self-
23 deferred, because it might be good that the number
24 of people who self-defer goes up. I mean, that
25 might be a good thing.

1 DR. SIMON: I just thought this was a
2 comment I've been reluctant to make, but since Dr.
3 Fitzpatrick has several times brought up the
4 funding issue, we have Dr. McCurdy here as our link
5 to NIH, and at least from what I read back home,
6 there's no agency being so blessed by the budget
7 people these days as NIH is, and I would think the-
8 -

9 DR. NELSON: Certainly not the FDA.

10 DR. SIMON: Yes, I know. Certainly we
11 might ask that we get due consideration for a
12 little bit of money for Dr. Fridey and her corps of
13 people to do these studies.

14 DR. MITCHELL: Do we vote on that?

15 [Laughter.]

16 DR. MCCURDY: Actually I'd appreciate
17 being educated a little bit more about what the
18 funding issues are. It's my understanding from the
19 discussion today that the NCHS has a section that
20 does the type of interviewing on a fairly broad
21 scale to do this. They have a budget, and I'm sure
22 that they could use a little bit more, like
23 everybody else does. But before one makes an
24 attempt to sell this at the upper echelons of NHLBI
25 and NIH, one needs to know a bit more about what

1 the issues are, what might be currently available,
2 what supplements are necessary, what the priorities
3 are, and so forth.

4 DR. FRIDEY: There actually has been a
5 proposal, I think, which was put together by NCHS
6 with a request for an interagency funds transfer
7 agreement, and that went to the higher-ups, if you
8 will, several months ago, back in March. I think
9 it was wise that this discussion take place today
10 because obviously any governmental agency that's
11 going to be funding something wants to know that it
12 has a reasonable chance to get off the ground and
13 fly.

14 And while certainly we need to go back and
15 make some adjustments based on the comments from
16 today and the comments we'll be receiving, it would
17 appear that, at least conceptually and from a
18 policy perspective, that this committee seems to be
19 weighing in on a positive side for the process
20 that's taking place. But the budget, basically
21 we're asking for somewhat under \$100,000 in order
22 to be able to carry out the one-on-one cognitive
23 interviews. So that is where things stand in terms
24 of attempting to get funding.

25 DR. McCURDY: I'm sure that NCHS has both

1 a budget and its own priorities, and I guess the
2 question that I'm likely to be asked--and my
3 relationship with NHLBI is getting more tenuous by
4 the hour--but in order to make a pitch, one needs
5 to know about other priorities, and this committee
6 obviously and the blood banking community thinks
7 this is an extremely high priority item.

8 The NHLBI has, I think, offers probably,
9 at least from past experience, offers to spend
10 twice their budget on the part of the community
11 outside, so they need to assign priorities also and
12 see how much self-help there might be around. Is
13 there room for sharing the expenses, matched
14 dollars, or a whole raft of different questions
15 along that line.

16 DR. CHAMBERLAND: Maybe I'll just make a
17 brief comment, which is, NCHS has already been
18 actively engaged in the process in terms of
19 providing a representative who has been very
20 helpful to the group. NCHS has also indicated that
21 they have a very keen interest in the project.
22 They really view this as something that would have
23 wide-ranging implications for a very large
24 population. Today the questionnaire is used, you
25 know, 12, 13 million times a year in whole blood

1 and an equal number in plasma donations.

2 NCHS has evaluated, just to speak to it
3 very generally, since I don't work at NCHS, they
4 have evaluated the resources on hand, and would be
5 unable to do the work without additional
6 supplementation to their budget. Or to do it in a
7 way, I think, that would provide the data that I
8 think people we have generally heard here would
9 want to see, the level of detail and thoroughness.

10 DR. NELSON: Ray?

11 DR. KOFF: Are the current questionnaires
12 used outside of the United States and Canada? Are
13 they used by the European Commission countries?
14 Would there be interest on the part of--the term
15 "global" was used a few moments ago.

16 DR. SIMON: Well, they have their own. I
17 think the experience of the plasma industry is, it
18 tends to still be different in Europe to some
19 extent, but they do look to FDA for some guidance
20 as to what they put in. I think harmonization has
21 been slower in coming than most of us would like.

22 DR. STRONCEK: I have a real concern that
23 you could get buy-in from all the blood collection
24 organizations in this country, and I think this is
25 going to be one of the problems with getting the

1 Federal Government to fund this. You've had a
2 situation where there has been a number of public
3 committees deciding on policy decisions around CJD,
4 and one of the largest blood collection
5 organizations I guess is deciding to decide
6 something different. And if they're going to
7 behave in that manner, and down the line not be
8 cooperative and decide that they don't want to
9 participate in such a standardized questionnaire,
10 then there's going to be problems and nobody is
11 going to want to fund it.

12 DR. FRIDEY: Well, we have made it very
13 clear through the representatives to the committee
14 that buy-in from the organizations that sit on the
15 committee is absolutely critical to this effort,
16 and while I can't stand here and guarantee that
17 everybody will adopt the proposal once it's
18 approved by the FDA, I do believe that there will
19 be an effort on the majority of the blood
20 organizations in this country to go with this
21 questionnaire.

22 DR. WILLIAMS: And I guess I might add, if
23 they don't go with a questionnaire that has been
24 subjected to a validation process, then I think
25 they would be expected to submit data that's

1 comparable in submitting a separate questionnaire.

2 DR. NELSON: Well, I know what the term is
3 for a meal that occurs between breakfast and lunch.
4 It's called brunch. I don't know what the word is
5 for one that occurs between dinner and breakfast,
6 but I guess that's what we're going to have right
7 now. So thank you very much.

8 DR. WILLIAMS: Thank you all for sticking
9 with us on this. We really appreciate the comments
10 that you have made.

11 [Whereupon, at 8:25 p.m., the committee
12 adjourned, to reconvene at 8:30 a.m. on Friday,
13 June 15, 2001, the following day.]

14

- - -

C E R T I F I C A T E

I, **ELIZABETH L. WASSERMAN**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

Elizabeth L. Wasserman

ELIZABETH L. WASSERMAN