

ajh

1

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE
69TH MEETING

Friday, June 15, 2001

8:30 a.m.

Gaithersburg Hilton
620 Perry Parkway
Gaithersburg, MD 20877

PARTICIPANTS

Kenrad E. Nelson, M.D., Chairperson
Linda A. Smallwood, Ph.D., Executive Secretary

MEMBERS

John M. Boyle, Ph.D.
Mary E. Chamberland, M.D.
Marion A. Koerper, M.D.
Raymond S. Koff, M.D.
Jeanne V. Linden, M.D.
Gail B. Macik, M.D.
Daniel L. McGee, Ph.D.
Mark A. Mitchell, M.D.
Terry V. Rice
Paul J. Schmidt, M.D.
David F. Stroncek, M.D.
Sherry O. Stuver, Sc.D.

NON-VOTING CONSUMER REPRESENTATIVE

Katherine E. Knowles

NON-VOTING INDUSTRY REPRESENTATIVE

Toby L. Simon, M.D.

TEMPORARY VOTING MEMBER

Paul R. McCurdy, M.D.

CONSULTANT

F. Blaine Hollinger, M.D.

C O N T E N T S

	<u>PAGE</u>
Committee Updates	
DHHS TSE/BSE Action Plan Steven Nightingale, M.D.	6
OBRR TSE/BSE Action Plan Mary Elizabeth Jacobs, Ph.D.	6
Agenda Items for Transmissible Spongiform Encephalopathies Advisory Committee Meeting to be held June 28-29, 2001 David Asher, M.D.	11
Final Rules on Requirements for Testing Human Blood Donors for Evidence of Infection Due to Communicable Disease Agents and General Requirements for Blood, Blood Components, and Blood Derivatives; Donor Notification Martin Ruta, Ph.D.	26
IV. Transfusion-Related Acute Lung Injury	
Introduction and Background: Leslie Holness, M.D.	40
Presentation: Mark Popovsky, M.D.	43
Presentation: Patricia Kopko, M.D.	62
Presentation: Lynn K. Boshkov, M.D.	83
Presentation: John Finlayson, Ph.D.	103
Open Public Hearing	
Kay Gregory, AABB	108
Celso Bianco, M.D., America's Blood Centers	113
Committee Discussion	116
V. Studies on Leukoreduction Filtration Failures	
Introduction and Background: Betsy Poindexter	139
Presentation: Constance Noguchi, Ph.D.	144
Presentation Thomas Walker, M.D.	161
Presentation: Rebecca Haley, M.D.	175
Presentation: Cheri Jennings	191

C O N T E N T S(Continued)

Presentation: Kenra Ford	205
Open Public Hearing	
Barry Wenz, Pall Corporation	214
Steve Binion, Baxter Health Care Corp.	220
Celso Bianco, M.D., ABC	222
Loren Acker, SEBRA	230
Jeanne Smith, M.D., NHLBI Sickle Cell Advisory Committee	233
Jim O'Connor, Whatman/HemaSure	236
Open Committee Discussion	
VI. Report of the Intramural Site Visit of the Laboratory of Plasma Derivatives Division of Hematology, OBRR	
Introduction and Overview:	
Neil Goldman, Ph.D.	225
John Finlayson, Ph.D.	233
Jaro Vostal, M.D., Ph.D.	236

P R O C E E D I N G S

1
2 DR. SMALLWOOD: Welcome to the second day
3 of the 69th meeting of the Blood Products Advisory
4 Committee. I am Linda Smallwood, the Executive
5 Secretary.

6 Yesterday, I read a conflict of interest
7 statement that applies to today's session. If
8 anyone is interested, that statement is available
9 for your review.

10 At this time, if there are any additional
11 declarations that need to be made by any of the
12 participants, please do so at this time.

13 I would just like to remind you that
14 according to the agenda, we have a packed agenda
15 today, but in a much smaller time to take care of
16 the business. We ask your participation and
17 cooperation in trying to move this agenda along.

18 I have no additional announcements at this
19 time, so I will turn the meeting over to the
20 chairman, Dr. Kenrad Nelson.

21 DR. NELSON: Thank you, Dr. Smallwood.
22 Hopefully, we can compress the discussion, so we
23 can all have a weekend at home.

24 The first report is from Dr. Nightingale,
25 a report on the TSE/BSE Action Plan.

1 You probably are familiar in the BPAC
2 audience with our use within the Office of Blood of
3 action plans when we have a comprehensive effort.
4 Our group has a core team which is meeting once a
5 month, and I want to go through the major topic
6 areas we are working on. We plan to finalize this
7 after the Department effort is final.

8 It will be on our web site. If you go to
9 CBER, you look under Manufacturer's Assistance.
10 There is a heading for Action Plans, and you will
11 be able to find it there. But I want to emphasize
12 that we are already working on this.

13 [Slide.]

14 The first initiative we are working on is
15 under FDA's overall action plan. I know we all
16 think of blood as being Topic A, but actually,
17 Topic A is covering efforts involving food that
18 goes to animals in preventing BSE. So, the first
19 one is on preventing potential exposure through
20 transfusion and through tissue transplantation.

21 The first task is publishing revised final
22 guidance on donor deferral to address
23 travel/residence in BSE countries. You know that
24 we have a TSE Advisory Committee meeting, which Dr.
25 Asher is going to discuss at the end of the month.

1 We will be issuing a draft guidance after that and
2 then final guidance.

3 I just want to remind you that when the
4 first deferral was adopted, Dr. Satcher asked us to
5 review those policies every six months. We do that
6 internally, but most of them have also taken place
7 at the TSE Advisory Committee which has met
8 frequently.

9 The second thing in monitor developments
10 in detection of TSEs.

11 The next one is monitor and reexamine
12 scientific data on chronic wasting disease exposure
13 of blood donors.

14 [Slide.]

15 The next one is monitoring data on
16 potential exposure--this is of blood
17 donors--through dietary supplements. That, we will
18 be doing in conjunction with our Center for Food
19 Safety.

20 The next one is monitor and protection of
21 adequacy of the blood supply as part of our
22 assessment of the effectiveness of deferrals, and
23 looking at developments of detection of TSE
24 infectivity in blood. We had a workshop on that
25 about a year ago.

1 [Slide.]

2 The next one, Initiative C. This is
3 potential exposure through other products.

4 We have listed here injectable blood
5 products. Those are regulated under drug
6 authorities, and that is why they are listed here
7 rather than on the prior one.

8 I want to draw your attention as an aside
9 to two things that are already on our web site that
10 are important, looking at the question of animal
11 source materials. Just go to the CBER web site and
12 search under BSE and you will find these two
13 things.

14 The first one is April 16th, 2001. It is
15 a presentation by Mr. Mark Elengold, who is our
16 Deputy Director for Operations of CBER. This
17 summarizes all of our policies on BSEs. A number
18 of them are on vaccines, but it is of interest
19 because it lists all of our communications on
20 sources.

21 The other one is a letter that is dated
22 April 19th, 2000. It is signed by Dr. Zoon, who is
23 our center director. This is a letter to all
24 manufacturers of biological products regarding BSE
25 sourcing. So, that is an important one.

1 I want to mention here to anticipate
2 questions that you will see that we are
3 distinguishing between injectable blood products
4 and the IVDs which can be either HIV diagnostics or
5 blood screening tests which don't involve direct
6 patient contact.

7 The second item is that we are working
8 within FDA addressing animal source materials. We
9 are already within the office asking questions of
10 manufacturers when INDs or other submissions come
11 in about what the sources are of their materials.

12 We are monitoring developments in TSE
13 safety of fractionated plasma products. A lot of
14 you are aware that we also had a workshop on
15 manufacturing and clearance issues.

16 [Slide.]

17 We have a separate initiative on education
18 and outreach. That involves information on the web
19 site. We already have quite a lot on the FDA web
20 site on BSE efforts, and also separate ones on
21 providing public information and examining our
22 product labeling.

23 [Slide.]

24 We also include in the action our internal
25 regulatory research agenda. We have internal

1 projects on TSE infectivity in blood and methods to
2 reduce it; on PrPc physiology and TSE pathogenesis;
3 on detection of TSEs, and also on blood donation
4 and supply.

5 We expect to go final maybe in a month or
6 so, so if you have any comments or suggestions, we
7 would be happy to hear them.

8 DR. NELSON: Questions or comments?

9 Another issues is that, as everybody
10 knows, there is a TSE Advisory Committee which is
11 meeting, I think it's June 28th and 29th in case
12 anybody wants to be there.

13 Next is Dr. David Asher. He is going to
14 talk about this. Thanks.

15 **Agenda Items for TSE Advisory Committee Meeting,**

16 **June 28-29, 2001**

17 **David Asher, M.D.**

18 DR. ASHER: Thanks for the segue. Thank
19 you, Mr. Chairman, good morning.

20 I will review the agenda for the next TSE
21 Advisory Committee taking place in two weeks, on
22 Thursday and Friday, the 28th and 29th of June.

23 [Slide.]

24 The TSE Advisory Committee will consider
25 three topics: The suitability of blood donors who

1 lived or traveled in BSE countries. This issue is
2 an extension of discussions initiated at the last
3 TSE Advisory Committee meeting of 18th and 19th of
4 January, and is occasioned by FDA's concern about
5 new information regarding BSE.

6 The second. The safety of plasma
7 derivatives prepared in manufacturing lines
8 previously used to fractionate plasma from donors
9 not meeting FDA suitability criteria regarding BSE
10 exposure. To my knowledge, this will be the first
11 time that FDA has entertained a public discussion
12 of cleanup and decontamination procedures for
13 facilities and equipment potentially contaminated
14 with TSE agents.

15 Finally, on the last morning of the
16 meeting, the committee will consider new
17 information regarding the safety of bovine gelatin
18 from BSE countries, an issue that mainly concerns
19 FDA Centers for Drugs and Food Safety.

20 [Slide.]

21 Once again, fulfilling our obligation to
22 revisit the issue as frequently as needed, at least
23 every six months, we will address the issue of
24 blood donors who have been in BSE countries,
25 whether to defer them and on what bases.

1 As you may recall unlike other forms of
2 CJD, in variant CJD, the abnormal protease
3 resistant prion protein accumulates to substantial
4 levels in lymphoid tissues, a finding that early on
5 raised concern that the relatively reassuring
6 epidemiological evidence suggesting that blood was
7 unlikely to be an important vector for classic form
8 of CJD might not be predictive for variant CJD, a
9 disease with which we have only limited experience.

10 [Slide.]

11 The uncertainty prompted CBER's current
12 policy announced in November of 1999 recommending
13 deferral of donors resident in the UK for any
14 cumulative period of six months from the presumed
15 start of the epidemic, the BSE epidemic, to full
16 implementation there of a series of measures to
17 prevent human exposures.

18 Those measures intended to protect the
19 human food chain are a so-called age-based
20 slaughter scheme requiring that all cattle used for
21 meat products be slaughtered within 30 months of
22 age, careful removal of specified risk materials
23 from carcasses, and prohibition of mechanically
24 recovered meat.

25 Taken together, those measures should have

1 substantially reduced potential contamination of
2 products, at least with large amounts of BSE agent
3 and reduced opportunities for human infection.

4 [Slide.]

5 The donors of main concern are those that
6 were infected in the UK before 1996, as well as in
7 other BSE countries where measures to protect the
8 human food chain were introduced only recently, if
9 at all.

10 Rates of new cases and deaths from variant
11 CJD have continued to increase in the UK,
12 fortunately not in France. Evidence suggests that
13 in other European countries a substantial part of
14 the supply of beef and beef products may have come
15 from the UK, presumably causing the three cases of
16 VCJD seen in France in people who had no history of
17 travel to the UK.

18 Cases of BSE recently increased in France
19 and several other European countries and new
20 countries have recognized BSE in native animals,
21 raising a concern that in addition to exposures to
22 UK beef products, indigenous BSE may have become an
23 important source of human infection.

24 [Slide.]

25 Last year's reported transmission of BSE

1 through blood of a sheep experimentally infected
2 with BSE following an early report of infectivity
3 in the blood of BSE-infected mice served to
4 increase concern that infectivity in blood might be
5 a general property of BSE, implying that the blood
6 of persons incubating variant CJD might also serve
7 as a source of infection.

8 Fortunately, the few studies addressing
9 the infectivity of human blood in VCJD, both
10 experimental inoculations of blood into animals and
11 a lookback study of UK donors, 8 donors, 22
12 recipients traced, are all negative so far, but
13 several are in early days, so that they provide
14 only limited reassurance.

15 [Slide.]

16 This will be the third time that the TSE
17 Committee will consider suitability of donors
18 potentially exposed to the BSE agent. In their
19 January meeting, the committee advised FDA to adopt
20 no changes in the recommended UK deferral policies,
21 that is, deferring donors resident in the UK for
22 any cumulative six-month period from the start of
23 1980 to the end of '96.

24 The reduction in risk expected from
25 deferring donors based on a three-month aggregate

1 exposure in the UK was not considered great enough
2 to justify the additional donor losses that might
3 result.

4 [Slide.]

5 A majority of members also advised
6 deferring donors resident in France for 10 years or
7 more from 1980 to the present by accepting the idea
8 first put forward at the June 1999 meeting, that
9 exposure of the French population to UK beef during
10 the years of high BSE prevalence in the UK might
11 have been about 5 percent of the exposure in the UK
12 itself. The number of VCJD cases in France as of
13 that time was about 5 percent of those in the UK,
14 as we. Fortunately, the number of French cases has
15 not increased since then.

16 [Slide.]

17 The TSE Advisory Committee overwhelmingly
18 declined to endorse an FDA proposal to defer donors
19 who had traveled or lived in any of the other BSE
20 countries for an aggregate period of 10 years or
21 more after 1980 until the present. Exposures to UK
22 beef for smaller in the other BSE countries than in
23 France, and except for Ireland, none of those
24 countries has diagnosed a VCJD case, however, some
25 members expressed a concern that in addition to

1 risk from imported UK beef, risks from indigenous
2 BSE at some European cattle may now be significant.

3 [Slide.]

4 Apparently, because of that concern about
5 possible human exposures to the BSE agent in other
6 non-UK beef, a very closely divided committee went
7 on to advise that the proposed deferral policy be
8 recommended, but only for those two countries with
9 the largest numbers of cattle diagnosed with BSE
10 outside the UK, namely, Portugal and the Republic
11 of Ireland.

12 [Slide.]

13 They also declined to advise deferring
14 donors for a combined period of residence in more
15 than one country because they worried that tallying
16 combined exposure would be logistically difficult
17 and fraught with errors.

18 [Slide.]

19 A majority of the committee also advised
20 against treating donors potentially exposed as
21 active duty U.S. military or dependents in Europe
22 to substantial amounts of beef products from the
23 UK, estimated on some bases at certain times to
24 have been from 20 to more than 30 percent of total
25 supply, that those donors be deferred as if they

1 had been in the UK for the same period of time.
2 Members expressed concern that the impact of such a
3 deferral would have both on the DOD blood program
4 and the general U.S. blood supply might be
5 excessive relative to the potential reduction in
6 risk.

7 [Slide.]

8 They did advise the FDA to develop some
9 less stringent policy for deferring U.S. military
10 donors, presumably those exposed for longer periods
11 of time than six months. The committee suggested
12 first attempting to estimate effects that various
13 deferral policies might have both on the military
14 blood program and on civilian programs where
15 military retirees and former dependents lived.

16 [Slide.]

17 The FDA acknowledges the committee's
18 concerns expressed in January, agreeing that the
19 risk of transmission of variant CJD is theoretical
20 and that the potential loss of blood donors from
21 increased deferrals is substantial. The FDA also
22 understands the reluctance of the committee to lump
23 all 30 countries that, in addition to the UK, are
24 now on the USDA-BSE list together in one deferral
25 policy. However, the FDA is not sure that singling

1 out donors resident in France, Ireland, and
2 Portugal for deferral can be justified
3 scientifically.

4 First note that the vast majority BSE
5 cases, more than 180,000 have been diagnosed in the
6 UK. As late as last year, Great Britain still
7 reported 1,352 cases and 177 more were reported
8 through the end of April of this year.

9 Although Ireland and Portugal indeed have
10 the next highest numbers of cases, 613, 568, BSE
11 cases in Switzerland were also substantial, 382,
12 exceeding the number reported from France. Numbers
13 of BSE cases in cattle born in Germany and Spain
14 seem more modest, 75 and 46, but it is troubling
15 that all those cases were reported just within the
16 last year.

17 Furthermore, the recent finding of BSE in
18 the Czech Republic last week, a country to which
19 the European Commission's Scientific Steering
20 Committee had previously assigned a low probability
21 of BSE, casts some doubt on the ability of current
22 risk assessments to provide reliable estimates of
23 potential human exposure to BSE agent in various
24 countries. By the way, the USDA, on which the FDA
25 has relied to determine countries with a

1 significant risk of BSE place the Czech Republic
2 with the rest of Europe west of the former Soviet
3 Union on the its BSE list at the end of 1997. So,
4 it is difficult to be confident that some bright
5 BSE line can be drawn distinguishing exposure risk
6 in France, Ireland, and Portugal from the risk in
7 other European countries.

8 [Slide.]

9 For that reason, the FDA has again
10 solicited advice from the TSE Advisory Committee
11 asking them to review several options for revised
12 FDA donor deferral recommendations in view of
13 recent information concerning BSE in cattle and
14 BCJD in humans.

15 We plan to present several possible
16 options including the following: One, the policies
17 previously advised by the TSE Advisory Committee;
18 two, a more aggressive policy recently announced by
19 the American Red Cross; and, three, other options.

20 The TSE review will be considered in a
21 planned revision of the current FDA guidance, a
22 revision that we anticipate will be issued for
23 public comment within a reasonable time after the
24 meeting.

25 [Slide.]

1 In considering the options, the committee
2 will be presented with a summary of the European
3 geographic BSE risk, a series of qualitative risk
4 assessments attempting to estimate probable
5 prevalence of BSE in cattle of various countries by
6 Dr. Joachim Kreysa, who chaired the Scientific
7 Steering Committee's Working Group that developed
8 the assessments.

9 Then, the committee will hear a review of
10 potential human BSE exposures in BSE countries,
11 epidemiological models based on BSE risk, food
12 chain protections, and other elements by Dr.
13 Crystal Donnelly from the University of London.

14 Then, Tony Giulivi of the Canadian Blood
15 Services will report on potential BSE exposure of
16 Canadian travelers, blood and plasma donor deferral
17 policies, and estimated effects on the Canadian
18 blood supply and public health.

19 [Slide.]

20 Jean-Hugh Trouvin of the French blood
21 authorities will present a risk assessment from a
22 European perspective and describe European Union
23 policies, and then CBER's own Dr. Alan Williams
24 will estimate the effects of possible changes in
25 FDA blood donor deferral policies, both the

1 potential reduction in risk of total donor
2 exposures to BSE agent resulting from various
3 options and their potential impact on regional and
4 national blood supply in the USA.

5 Finally, we anticipate having a frank and
6 spirited open public hearing.

7 [Slide.]

8 The afternoon session will begin with a
9 review of the scientific bases for decontamination
10 of the TSE agent and their potential application to
11 the manufacturer of plasma derivatives by Bob
12 Rohwer of the University of Maryland and VA Medical
13 Center in Baltimore.

14 That will be followed by a summary of
15 current and proposed cleaning procedures for
16 facilities manufacturing plasma derivatives to be
17 presented by an industry representative who will,
18 we hope, give their views concerning risk
19 assessments, practical approaches for cleaning and
20 decontamination, and other approaches to the
21 problem and consider their feasibility and possible
22 effects on supply. That open public hearing should
23 also be informative.

24 I look forward to seeing some of you at
25 the meeting and thank you.

1 DR. NELSON: Thank you, Dr. Asher.
2 Comments or questions? Dr. Nightingale.
3 DR. NIGHTINGALE: I think this is the
4 opportunity I needed to take to present the one
5 piece of information that I would have liked to
6 have presented yesterday, which is that the
7 Department shares the public concern for the impact
8 of the proposed donor deferral strategies, and
9 shares the public concern that projections can be
10 made that project what we really need are some hard
11 facts.

12 I believe that most members of the
13 audience are aware that the Department has for the
14 last year and a half starting in October of 1999,
15 had a contract with the National Blood Data
16 Resource Center to collect data from a
17 representative sample of 26 blood collection
18 centers throughout the United States on the supply
19 of blood to the United States.

20 We have recently--and this is with the
21 full support and endorsement of the
22 Secretary--initiated the process of getting what I
23 will call for the record here a "quick and dirty"
24 estimate of the demand for blood throughout the
25 United States.

1 Our plan right now is to use largely
2 existing resources perhaps with a supplemental
3 agency tap to sample hospital transfusion services
4 geographically distributed throughout the United
5 States. We hope to have this program initiated on
6 or about July 1, 2001.

7 We are in active discussions with
8 transfusion services throughout the country and
9 with a vendor to provide the logistical and
10 collection support. We are aware, I would say
11 simply for the record, of the necessity to
12 absolutely protect the confidentiality of the data
13 that we will be collecting.

14 One of the comments that was made at an
15 advisory committee meeting by Dr. Weinstein and by
16 others is the premature release of incomplete data
17 can exacerbate a shortage, as well as lead to its
18 correction. We are acutely aware of that as we
19 proceed with our plans.

20 Our plans are to move as quickly as we can
21 without falling into that, at least the other traps
22 that we have anticipated, and to try to solicit the
23 assistance of the public in avoiding those traps
24 and moving as expeditiously as possible.

25 There will be a meeting of what I will

1 call right now the Advisory Committee on Blood
2 Safety and Availability because I can call this
3 meeting. It will hopefully serve as a workshop to
4 obtain public input into the best way to monitor
5 the blood supply in the United States.

6 We are looking for input onto the limits
7 of how much data should be collected, how should it
8 be stored, how should it be reported access to it,
9 and so this will be August 23rd is a Thursday,
10 August 24th is a Friday.

11 When I get yet another signature, there
12 will be a notice of this in the Federal Register,
13 and I recognize most of the people in the audience,
14 if there is anyone in the audience who thinks that
15 I might not recognize them and would like to be on
16 the mailing list for this committee, please let me
17 know.

18 My telephone number is 202-690-5558, and
19 that is direct.

20 Thank you.

21 DR. NELSON: Thank you, Dr. Nightingale.

22 The next topic is by Dr. Martin Ruta,
23 Final Rules on Requirements for Testing Human Blood
24 Donors for Evidence of Infection Due to
25 Communicable Disease Agents and General

1 Requirements for Blood, Blood Components, and Blood
2 Derivatives; Donor Notification.

3 **Final Rules**

4 **Martin Ruta, Ph.D.**

5 DR. RUTA: Good morning, everyone. Thank
6 you.

7 On Monday, the FDA published two final
8 rules on donor testing and donor notification, so I
9 am going to try and brief summarize what is in the
10 rules. I can't do that in a few minutes, so I am
11 going to hit the highlights. I would recommend to
12 all of you to go and read the rules.

13 For those of you who sent us letters and
14 comments on the proposed rules, in the preamble to
15 the final rules, we summarize the comments and we
16 address each comment, so if you want to see where
17 your comment is and response to it, it is in the
18 preamble and I apologize for turning my back on
19 some of the committee members here.

20 What I am going to do is try and talk only
21 for about 10 minutes. It really would take me much
22 longer to get through the whole rules, so I am just
23 going to try and hit the highlights here and again
24 suggest that since this is something we are going
25 to live with for a couple decades now, it is

1 probably time to go ahead and take a look at them.

2 The rules came about in part because of
3 FDA internal efforts to review the regulations in
4 part because of GAO recommendations and in part
5 because of oversight hearings by the Government
6 Reform Committee. In this rules, we address
7 comments and recommendations by the GAO and the
8 oversight committees, as well as our own thoughts
9 and comments from the public.

10 [Slide.]

11 So, starting off with the beginning. If
12 you collect human blood and you want to make it
13 into a product, you have to test it. Now, this
14 includes blood intended for transfusion, blood
15 intended for use in preparing plasma derivatives,
16 and blood intended for use as a component or to
17 prepare a medical device.

18 [Slide.]

19 Now, what do you have to test it for? You
20 have to test it for HIV-1, HIV-2, hepatitis B,
21 hepatitis C, HLV-1, HTLV-2. There was some
22 discussion about dropping syphilis. We did not
23 drop the requirement for testing for syphilis, so
24 you are so required to do syphilis testing. If the
25 committee remembers, we brought this topic in

1 September to the committee for discussion. For
2 technical reasons, it is not in this section of the
3 reg, but it appears further down in the reg.

4 If you notice, what is a little different
5 here compared to the current 601-40 and 45, is we
6 did not specify the specific tests that you use, so
7 it doesn't say antibody to HIV, it is
8 technology-neutral.

9 One of the reasons we did this is because
10 of evolving technologies in anticipation that new
11 technologies would come along, and as they come
12 along, the FDA will issue a guidance document to
13 interpret the reg to say that we think at this time
14 particularly new technology would be useful.

15 So, for example, when that gets approved,
16 if the committee were to say, you know, we think
17 NAT testing should be used in donor screening,
18 then, we would issue a guidance document to say
19 that NAT testing for HIV, hepatitis, et cetera,
20 would then be required.

21 The preamble to the rule actually contains
22 a chart, summarizes current guidance documents and
23 gives our interpretation of what we think would be
24 required right now. So, for example, for HIV-1, it
25 would be the p24 test and the antibody to HIV-1

1 test, et cetera.

2 [Slide.]

3 Now, what this says is that you have to
4 use one or more tests, and there are screening
5 tests to test for evidence of infection. These are
6 screening tests that have been approved by the FDA,
7 and you must follow manufacturer's instructions.
8 Again, the one or more is where we will issue a
9 guidance document, for example, for HIV. As I
10 said, we would currently think that p24 and
11 antibody to HIV would be required.

12 [Slide.]

13 Now, there are some exceptions. One
14 exception here is for what we call dedicated
15 donations. So, this is a one-to-one donation where
16 you know the donor, you have an identified donor,
17 and that his blood or phoresis product is going to
18 an identified recipient.

19 In that case, you only to test once at the
20 beginning of a 30-day period. So, if you collect
21 blood on day one from a known donor to a known
22 recipient, you have to test the blood for all the
23 agents. If you collect a second unit within the
24 30-day period, you don't have to test those units.
25 There are specific labeling requirements added

1 here, but if you collect again on day 31, you have
2 to go back and test.

3 [Slide.]

4 Again, there are labeling requirements
5 here which would be intended recipient information
6 label. This reg contains a number of labeling
7 requirements, and I am not going to go through all
8 of them, so again I am going to send you to the
9 regs and ask you to look at those carefully.

10 [Slide.]

11 Another exception, source plasma. For
12 source plasma, you are not required to test for
13 HLV-1 and 2. In addition for medical devices, you
14 don't have to test for HLV-1 and 2 unless the final
15 product contains viable leukocytes.

16 [Slide.]

17 Autologous donations. We have a number of
18 comments, probably most of the comments about
19 autologous donations. This is written in the
20 negative, but I am going to try and say it in the
21 positive sense.

22 It turns out technically to be easier to
23 write it in the negative sense, but what comes out
24 is that if you have a crossover program, you have
25 to test all of your autologous units. If you ship

1 autologous units, you have to test all of the
2 units. So, for the small hospitals that we are
3 collecting autologous units that didn't have
4 crossover programs, and don't ship, they would not
5 have to test.

6 [Slide.]

7 This basically spells it out. It says if
8 you allow autologous donations, any autologous
9 donations to be used for allogeneic transfusion,
10 you have to test everything, or if you ship to
11 another establishment, then, they also have to be
12 tested.

13 [Slide.]

14 I am going to skip this one. There is a
15 slight exception here, if you ship to an
16 establishment that doesn't allow autologous
17 transfusions to be used for allogeneic use. Again,
18 there are labeling requirements here.

19 [Slide.]

20 I am just going to point things out.
21 There are additional labeling requirements within
22 the reg, so you are going to have to look at it in
23 detail. So, for autologous donors, it would either
24 be labeled as donor untested, that would be if
25 there is no crossover, no shipping, it would be

1 tested negative, it tested reactive on the current
2 collection or reactive in the last 30 days or
3 tested negative in the last 30 days.

4 [Slide.]

5 Supplemental testing. There is now a
6 requirement that supplemental tests be performed
7 when there is an FDA-approved supplemental test,
8 and these are for the HIV, the hepatitis B, the
9 hepatitis C, and HTLV, which unfortunately we don't
10 have a supplement to test yet. There are again
11 some exceptions within here.

12 Then, there are additional sections of the
13 reg that I am not going to go through right now,
14 and they deal with release of units prior to
15 testing, similar to the provisions that are in the
16 current reg. They have to do with release if it is
17 a medical emergency or if you have approval from
18 FDA.

19 There are also sections of the reg that
20 deal with restrictions on use, what you can do with
21 a reactive unit in terms of shipping, and I am not
22 going to go through those because they get rather
23 long and I am trying to be brief this morning.

24 So, I want to turn to the next section
25 which has to do with a requirement for donor

1 deferral.

2 [Slide.]

3 Now, there is a specific requirement that
4 if one of the donors tested reactive by a screening
5 test, HIV, hepatitis B, hepatitis C, HTLV, or
6 syphilis, you have to defer the donor. There are
7 some exceptions here. Again, one of the exceptions
8 is, for example, for HTLV and for anticore, you
9 defer the donor when they test reactive on the
10 second donation.

11 I should maybe point out that we have gone
12 to the term "reactive" here instead of "repeatedly
13 reactive." We did that because it is technology
14 evolution that some of the future tests that may be
15 coming along, like NAT, the term "repeatedly
16 reactive" doesn't quite apply, but you see the
17 preamble explains that we actually mean for the
18 serologic tests, "repeatedly reactive" is explain
19 in the manufacturer's instructions.

20 So, now you have a donor and he tests
21 reactive, you have to defer the donor. There are a
22 couple of exceptions in there. HTLV is one of
23 them.

24 [Slide.]

25 There is one exception here, but I

1 actually wanted to go to the B section, but one of
2 the exceptions here is No. 5, is if you defer a
3 donor and he is an autologous donor, that you can
4 use the blood for autologous use. So, if someone
5 comes in and donates for autologous use and tests
6 reactive, their blood can still be used for
7 autologous use.

8 The exception that I want to go to is B,
9 which says that if you defer a donor, it is
10 actually a provision that allows for reentry even
11 though it is not called that. It says that if FDA
12 has come up with some scheme that allows for a
13 donor to be reentered, that this is a provision
14 that would allow it to occur.

15 Okay. That summarizes seven years of
16 work. I am going to turn to the next reg. My
17 apologies to everyone. You have got to read the
18 reg in detail because there are many things that I
19 left out, and your comments are addressed in the
20 preamble to the rule, so in order to keep things
21 brief, I am going to move on to the notification
22 rule.

23 [Slide.]

24 The donor notification rule. So, now that
25 you have deferred your donor under the testing

1 rule, there is a requirement that you notify him.
2 You have to make reasonable attempts to notify a
3 donor including autologous donors who were deferred
4 because they tested reactive for one of the tests
5 listed in or were deferred under the previous
6 section, or they were determined not to be suitable
7 under the current reg 640.3, 640.63.

8 There is another provision here that says
9 that you should try and get the supplemental test
10 results before you notify the donor. If for some
11 reason you don't get them before you can notify the
12 donor, you notify them again with the results of
13 the supplemental test.

14 [Slide.]

15 We will go through the specifics of what
16 you tell the donor. First of all, you tell the
17 donor that they are deferred or not found suitable.
18 "Not found suitable" refers to 640.3 and .63, the
19 suitable regulation.

20 So, you tell the donor they have been
21 deferred and you tell them why they have been
22 deferred or found not suitable, where appropriate,
23 the types of donations that the donor should not
24 donate in the future.

25 [Slide.]

1 Where applicable, you see there are a lot
2 of modifying phrases in this section. So, if the
3 donor was deferred because they tested positive for
4 infectious disease tests, you can tell him the
5 results of the tests including the supplemental
6 test, and if it is appropriate, you tell him about
7 information concerning the need for medical
8 followup and the need for counseling.

9 [Slide.]

10 The time period for notification. Here,
11 we said that you must make reasonable attempts to
12 notify the donor within 8 weeks after determining
13 the donor is deferred or determined not to be
14 suitable.

15 For many of the suitability criteria, we
16 expect that people will be notified right when they
17 are sitting there. You must document that you have
18 successfully notified the donor or if you are
19 unsuccessful, that you made reasonable attempts to
20 notify the donor.

21 [Slide.]

22 The previous requirements also included
23 autologous donors, so you have to tell an
24 autologous donor if they were deferred because they
25 tested positive. We had comments on this and some

1 of the comments said notify the donor, or others
2 said notify the physician. We put in requirements
3 for both. So, in this case, because autologous
4 donations are done under prescription, we say to
5 also make reasonable attempts to notify the donor,
6 to notify both the donor and physician. You would
7 tell the physician why the donor has been deferred.

8 [Slide.]

9 Again, it parallels the previous section.
10 Where appropriate, the type of donations that the
11 autologous donor should not make in the future, the
12 results of any tests including supplemental tests,
13 and we give again, making reasonable attempts
14 within an 8-week period.

15 I am going to stop there only because I am
16 trying to keep things brief this morning and my
17 apologies for turning back on the members.

18 DR. NELSON: Thank you. Questions?

19 Blaine.

20 DR. HOLLINGER: Just one question. If I
21 am an autologous donor and you have tested my blood
22 in the past, and I am deferred for some other
23 reason. I have donated before, and I have got some
24 disease and I am deferred, and I want to be an
25 autologous donor. I wasn't sure in there if it

1 said that my blood could be acceptable to myself or
2 not.

3 I know you talked about syphilis, you
4 talked about labs that don't ship, and so on, don't
5 have to test it, but say it has been tested and I
6 am found to be positive for anti-HBC or HBsAg, and
7 so on, anti-HCV.

8 You probably say it somewhere in here, but
9 does that mean that I can receive my own blood?

10 DR. RUTA: Right. We are not prohibiting
11 autologous donations from donors who test reactive
12 or found unsuitable as long as the medical director
13 thinks it is okay to collect the person's blood for
14 the suitability reasons, but there is no
15 prohibition that someone who tested reactive for
16 one of the tests for giving them back their own
17 blood.

18 DR. HOLLINGER: And it says that somewhere
19 in the regulations.

20 DR. SCHMIDT: Although you have changed
21 "repeatedly reactive" to "reactive," I think in the
22 table that you showed I saw the word "negative."
23 So, are things consistent, are there some negatives
24 and some non-reactives? Reactive is a difficult
25 word for a donor, but is it all in that

1 terminology?

2 DR. RUTA: Going to the term "reactive,"
3 was not meant to change what is in the current
4 manufacturer's instructions, so the current
5 manufacturer's instructions will say "repeatedly
6 reactive" for most of the screening tests, but not
7 all.

8 Those would be the terminology that you
9 could use now that would be suitable.

10 DR. SCHMIDT: I did see the word
11 "negative" on your table there, it was the second
12 line on an early table.

13 DR. RUTA: Where a donor tested negative?

14 DR. SCHMIDT: Yes. I am not sure, I am
15 sorry, I can't identify it, but it was a table with
16 four or five items on it, and I think the second
17 line, I saw the word "negative."

18 Anyway, is it generally in there or is
19 "negative" and "positive" gone?

20 DR. RUTA: We didn't change negative or
21 positive in terms of the manufacturer's
22 instructions, so the instructions from the tests
23 say you tell the donor they are positive or you
24 tell them they are negative, then, those are
25 unchanged.

1 We went with the term "reactive" only
2 because we didn't know how to deal with evolving
3 technology like NAT, but you still do "repeatedly
4 reactive," and if the insert says that you would
5 consider the donor positive if they are repeatedly
6 reactive, then, you can tell them they are
7 positive, and if they are nonreactive, you could
8 tell them they were negative, those would still apply.

9 DR. NELSON: Thanks very much.

10 The next topic this morning is
11 Transfusion-Related Acute Lung Injury. The
12 introduction and background will be given by Dr.
13 Holness from FDA.

14 **IV. Transfusion-Related Acute Lung Injury**
15 **Introduction and Background**

16 **Leslie Holness, M.D.**

17 DR. HOLNESS: Good morning.

18 [Slide.]

19 The topic is Respiratory Distress Syndrome
20 Associated with Transfusion, known as
21 Transfusion-Related Acute Lung Injury or TRALI.

22 [Slide.]

23 The reason the topic is brought to the
24 committee is because of the FDA fatality reports.
25 TRALI has been implicated in 10 to 14 percent of

1 the fatalities in each of the last three years,
2 actually, each of the last four years, also a rise
3 in the reported reactions, three reactions in
4 Fiscal Year '97, 12 reactions in Fiscal Year '98,
5 17 reactions in Fiscal Year '99.

6 Of the fatalities, 75 percent of the
7 implicated donor products tested HLA/granulocyte
8 antibody positive.

9 [Slide.]

10 Strategic options for reducing morbidity
11 and mortality due to TRALI include deferral of
12 donors implicated in a single unit or in more than
13 one multiple unit TRALI case; the identification of
14 donors with risk factors followed by donor
15 deferral; screening of units for HLA granulocyte
16 antibodies; diversion of plasma to non-injectables;
17 and the establishment of improved physician
18 educations about TRALI and improved surveillance
19 mechanisms for donors implicated in non-fatal as
20 well as fatal TRALI cases.

21 [Slide.]

22 Our presenters for this morning will be
23 Dr. Mark Popovsky of the Haemonetics Corporation,
24 Dr. Patricia Kopko, Sacramento Medical Foundation
25 Blood Centers, and Dr. Lynn K. Boshkov from the

1 Oregon Health Sciences University.

2 They will be followed by Dr. John
3 Finlayson, the Associate Director for Science,
4 OBRR, FDA.

5 [Slide.]

6 The questions that the committee will be
7 asked to consider would be:

8 1. Should FDA consider interventions at
9 this time to identify donors and/or donations with
10 an increased risk for producing TRALI in a
11 recipient? If not, what data are needed to define
12 appropriate measures?

13 [Slide.]

14 Question 2. If Yes, would it be
15 appropriate to identify blood donors with a history
16 of multiparity, defined as three or more
17 pregnancies, one of more multiple allogeneic
18 transfusions, implication in a single unit case or
19 in more than one multiple unit TRALI case?

20 [Slide.]

21 2B. For donors with risk factors defined
22 in Question 2A, would it be appropriate to limit
23 collections for transfusion to plasma reduced
24 products? For example, washed-resuspended red
25 blood cells or apheresis platelets.

1 Divert the plasma collections to the
2 manufacture of non-injectable products?

3 Screen for anti-HLA or granulocyte
4 antibodies and permit negative donors to continue
5 donating routinely?

6 Defer such donors?

7 DR. SIMON: Apheresis platelets are not
8 plasma reduced.

9 DR. HOLNESS: At the moment, I don't
10 believe so, no.

11 DR. SIMON: So, you are saying to change
12 them to plasma reduced?

13 DR. HOLNESS: Yes, to plasma reduced
14 apheresis platelets, yes.

15 DR. NELSON: Are there any other
16 questions?

17 Our next speaker is Dr. Popovsky.

18 **Mark Popovsky, M.D.**

19 DR. POPOVSKY: Good morning. I want to
20 thank the Food and Drug Administration for
21 providing me this opportunity to present this
22 morning, and thank you, Mr. Chairman, and
23 Committee.

24 [Slide.]

25 Even today, in 2001, relatively few

1 transfusing clinicians are familiar with
2 transfusion-related acute lung injury. There are
3 several reasons for this, but one of which is a
4 lack of awareness because there are actually a
5 number of synonyms for TRALI, particularly in the
6 early literature - by "early literature," before
7 1985 when the syndrome's name was first presented
8 in the peer-review literature.

9 The publications prior to 1985 appeared in
10 a number of disciplines with relatively few case
11 reports. Some of those synonyms include
12 leukoagglutinin reaction, pulmonary
13 hypersensitivity reaction, noncardiogenic pulmonary
14 edema, adult respiratory distress syndrome related
15 to transfusion, and allergic pulmonary edema.

16 [Slide.]

17 First, a definition at least when it was
18 first described by Dr. Brendon Moore and myself in
19 1985. In its fulminant and classic presentation, it
20 includes the following: acute respiratory
21 distress, severe hypoxemia, hypotension, generally
22 moderate in degree, acute bilateral pulmonary
23 edema, fever with temperature increase of 1 to 2
24 degree C. above baseline, and these manifestations
25 occur within one to two hours of a transfusion of

1 plasma-containing blood products although there are
2 a handful of cases in which a six-hour time frame
3 has been reported.

4 The pulmonary edema may begin in the
5 dependent portions of the lung, but it will involve
6 the entire lung fields within a matter of hours.

7 Now, what are the predominant first
8 presenting symptoms? In a retrospective study that
9 I will quote several times, performed by Dr. Becky
10 Haley and myself, using the American Red Cross
11 database from its then 38 regional bleed centers,
12 involving 46 cases, which to my knowledge, is the
13 largest such report, three symptoms that caught the
14 attention of the clinician that led to suspicion
15 and ultimately diagnosis were: respiratory
16 distress, hypotension and, interestingly enough, in
17 15 percent of cases, hypertension, that than
18 proceeded to hypotension.

19 [Slide.]

20 This x-ray, which admittedly is not
21 projecting particularly well, is very typical, that
22 within a few hours one will see an interstitial
23 infiltrate that involves the entire lung fields,
24 that will progress over time in most cases, which
25 we will talk about in a moment, will in fact clear

1 completely, typically within 96 hours.

2 [Slide.]

3 So, what is the differential diagnosis of
4 respiratory distress in the setting of transfusion?
5 It includes anaphylactic transfusion reaction,
6 circulatory overload, bacterial contamination, and
7 cardiac failure. Because of limitations of time,
8 and the purpose today is to focus on TRALI, I won't
9 discuss what distinguishes and needs to be
10 distinguished for the clinician between these
11 entities and the diagnosis of transfusion-related
12 acute lung injury.

13 [Slide.]

14 But who is at risk? If you take 15 years
15 of publications and summarize them, I would say
16 today we don't know who is at risk. There is no
17 common thread. There is an approximately 50-50
18 ratio between males and females for those who have
19 the TRALI, and patients have ranged from 2 and 3
20 years of age to octo- and nonagenarians, and there
21 is no common underlying diagnostic theme.

22 [Slide.]

23 The blood products that have been
24 implicated include, from the very old literature,
25 whole blood, fresh frozen plasma, red cells of all

1 types collected in all types of anticoagulant
2 preservatives including additive solutions,
3 granulocytes collected by apheresis,
4 cryoprecipitate, platelet concentrates, apheresis
5 platelets, and more recently, several rare reports
6 of intravenous immune globulin.

7 The cryoprecipitate cases and platelet
8 concentrate cases illustrate the fact that this is
9 not dependent on a large volume of plasma.

10 [Slide.]

11 What do we know about the frequency? I
12 think it is fair to say that we don't know. In the
13 Mayo Clinic study from 1985, 1 in 5,000
14 plasma-containing transfusions were associated with
15 transfusion-related acute lung injury. For those
16 of you who aren't familiar with the setup at Mayo
17 Clinic, the transfusion and I.V. team transfuses
18 the great majority of transfusions at Mayo, in
19 fact, all of the outside of the operating room, and
20 these are trained staff who are very much aware of
21 transfusion reactions and stay with the patient at
22 certain time points both before and during and
23 after looking for signs and symptoms.

24 So, this was the ideal environment in a
25 medical center that was sensitized to the

1 condition, and therefore might be in the optimum
2 situation to, in fact, make the diagnosis. So,
3 this was 1 in 5,000.

4 [Slide.]

5 There is evidence to suggest that this is
6 under-diagnosed. There are more than 240 cases
7 that are in the medical literature, peer-reviewed
8 literature, or who have been reported and
9 described, but not published.

10 In a paper by Clarke, et al., from Canada,
11 in 1994, 0.32 percent of severe respiratory
12 reactions to random donor platelets were diagnosed.
13 These were found to be more common, as one would
14 suspect, in patients with hematologic disease and
15 cardiac disease as recipients of these platelets,
16 and the average age of these platelets was 4 1/2
17 days.

18 [Slide.]

19 In a paper dating back more than 30 years
20 now, from the University of Pennsylvania, Cooperman
21 and Price, looking at patients with pulmonary edema
22 in the operative setting, looking for causes, found
23 that 50 percent were associated with circulatory
24 overload, but 50 percent also were for unknown
25 causes, at least raising the specter that this may,

1 in fact, have been transfusion-related acute lung
2 injury.

3 [Slide.]

4 Now, what is the clinical outcome? In the
5 Mayo Clinic series of 36 patients, all of the
6 patients with TRALI required oxygen support, nearly
7 three-quarters required mechanical ventilation.
8 The pulmonary infiltrates cleared in four-fifths of
9 these individuals rapidly, in other words, within
10 96 hours. In 17 percent, there was slow resolution
11 meaning it required more than 7 days, but
12 eventually, these infiltrates cleared. However,
13 two patients succumbed to TRALI and died, and there
14 were no long-term sequelae.

15 [Slide.]

16 Now, Dr. Holness hinted at this fact in
17 his introduction, that from the FDA's data on
18 deaths from transfusion, if you look at the
19 published cases from the period of 1990 to 1998,
20 TRALI had moved to number 3 in terms of causes of
21 fatal transfusion-related deaths.

22 [Slide.]

23 Then, from the UK's SHOT program, Serious
24 Hazards of Transfusion, their most recent report,
25 they described 18 cases of TRALI in which they said

1 12 of those were associated with major morbidity, 6
2 individuals died, and it was the second most common
3 cause of death from transfusion in their hands.

4 [Slide.]

5 So, what is the pathogenesis? Again the
6 precise mechanism is unknown, but we do know the
7 following: That in 60 to 85 percent of cases, HLA
8 antibodies in the donor or granulocyte-specific
9 antibodies in the donor of various specificities
10 have been associated with transfusion-related acute
11 lung injury in a recipient of that donor's product
12 or products.

13 In 50 percent of cases, the HLA
14 specificity of the donor's antibody corresponds
15 with an HLA epitope in the recipient. We know that
16 these antibodies activate complement.

17 [Slide.]

18 And we know that receptors on neutrophils,
19 C5a, promote neutrophil aggregation and
20 sequestration in the microvasculature in the lung,
21 and this is well described in an experimental
22 literature of ARDS.

23 We know these neutrophils marginate in the
24 pulmonary microvasculature, and we know that these
25 activated neutrophils release, among other things,

1 proteases, super oxide radicals, which again in
2 experimental models results in endothelial cell
3 damage and pulmonary edema.

4 [Slide.]

5 Perhaps the most elegant paper on the
6 subject from Seeger, et al., they used the next
7 vivo rabbit model of lung injury in which they
8 perfused rabbit lungs with various cocktails that
9 included 5b-negative polymorphonuclear cells,
10 anti-5b antibody, and a source of complement in
11 various combinations, perfused those cocktails
12 through the lungs, and then looked at changes in
13 lung weight to reflect pulmonary edema in the
14 animals.

15 [Slide.]

16 What this shows is that if, in fact, you
17 have only antibody and the polymorphonuclear cell
18 is negative for the antigen, and you look over
19 time, you don't see any significant change in
20 weight, or if you have only two of the three
21 combination, you don't have any change in weight.

22 However, if you have both the source of
23 complement, you have the antibody and you have the
24 presence of the antigen on the PMN, that one sees
25 in the time frame that is comparable to that seen

1 in humans, a significant increase in weight,
2 suggesting that there is, in fact, a relationship
3 between the presence of the complement source, the
4 antibody, and the corresponding antigen.

5 [Slide.]

6 Now, if you look at implicated antibodies
7 in various reports, you see that both donor and
8 recipient antibodies have been implicated, but by
9 far and away it is the donor that is the more
10 common source of these antibodies, so in a paper
11 that Dr. Haley and I published in 2000, we find
12 that in 50 percent of cases, either HLA or
13 granulocyte antibodies were associated with an
14 implicated product with that of the recipient, in
15 11 percent total, but recipient HLA antibody or
16 granulocyte antibody, pre-transfusion, of course,
17 were associated with these cases.

18 [Slide.]

19 Now, what is the role of parity in these
20 cases? We perhaps were helped by the recent report
21 by Palfi, et al., in which they conducted the first
22 prospective randomized study in the field in which
23 they looked at 102 ICU patients receiving two or
24 more units of FFP, and the two groups were controls
25 versus multiparous donors, defined as three or more

1 pregnancies, and these patients received plasma.

2 What they found were five patients who had
3 clinical reactions, one of which was clearly TRALI.
4 That donor was a multip, but maybe more
5 interesting, was in looking at a marker of injury,
6 they looked at the ratio of PaO₂ to FiO₂ and found
7 that there was significant depression of that ratio
8 in the recipients of multip plasma versus that of
9 control plasma.

10 [Slide.]

11 Now, I don't want to steal the next
12 speaker's presentation, Dr. Kopko, but for the sake
13 of completeness, what I have been describing up to
14 now is the presence of HLA Class I antibodies. Dr.
15 Kopko and colleagues have, in fact, shown that in
16 some cases, HLA Class II antibodies may be
17 involved, and they have now published a growing
18 series of cases that were negative for granulocyte
19 or Class I antibodies, for which they found Class
20 II antibodies.

21 [Slide.]

22 Now, there is another hypothesis meaning
23 another hypothesis that looks at another pathway to
24 the lung injury, and this has been most strongly
25 advocated by Dr. Silliman and colleagues in

1 Colorado. It may, in fact, combine the antibody
2 model that I have described with a cytokine model
3 of injury, in which the patient has an underlying
4 disease, in which that disease for whatever reason
5 results in the release of endogenous cytokines and
6 now product, the blood product is infused that
7 either contains HLA or granulocyte-specific
8 antibodies or, in their hands, biologically active
9 lipids that results on granulocyte activation and
10 then the endothelial damage that I have described.

11 [Slide.]

12 So, what research is necessary today to
13 move this field along? First, in the field of
14 prevention, we need to better define who is at
15 risk, and to do that, I believe we need prospective
16 and multicenter studies to find out who, in fact,
17 develops TRALI.

18 Today, in the United States, probably
19 anywhere from 3 to 7 percent of donors have
20 HLA-specific antibodies who are donating every day,
21 and yet, we have only a handful of cases of TRALI
22 that come to us as clinicians.

23 So, clearly, there are a lot of infusions
24 of plasma containing these antibodies that does not
25 result in TRALI. We don't know why.

1 The second issue is, is there a role for
2 leukodepletion in preventing TRALI? Based on our
3 knowledge to date, we would suspect not because
4 most of this is donor antibody driven, and not
5 leukocyte driven from the donor into a recipient, a
6 donor's product into a recipient that has antibody
7 against leukocytes.

8 [Slide.]

9 What recommendations might FDA consider in
10 this setting? First, to quarantine untransfused
11 components traced to implicated donors in cases
12 that are under investigation.

13 Secondly, to defer donors who have been
14 previously implicated in cases from future
15 donations of products, such as plateletpheresis
16 because of the volume of the plasma involved in
17 most plateletpheresis collections.

18 Thirdly, to divert plasma-containing
19 components from future donations of whole blood
20 from implicated donors, so that their products
21 would be made into either a red cell or washed red
22 cell product.

23 [Slide.]

24 So, in conclusion, TRALI is an
25 under-diagnosed serious problem in the transfusion

1 world, which represents a spectrum of lung injury
2 that ranges from noncardiogenic pulmonary injury to
3 pulmonary edema, to full-blown adult respiratory
4 distress syndrome.

5 Thank you.

6 DR. NELSON: Thank you, Dr. Popovsky.

7 Questions? Toby.

8 DR. SIMON: Even though it has been
9 described with all the different components you
10 showed including cryoprecipitate and red cells and
11 additives, would you say that the vast majority of
12 cases are from units that contained a substantial
13 amount of plasma?

14 DR. POPOVSKY: Yes, by "substantial"
15 meaning more than 60 to 100 ml, yes.

16 DR. SIMON: So with red cells made with--

17 DR. POPOVSKY: Additive solution. But
18 there are still cases that are coming in, Toby, so,
19 you know, we described the syndrome in '85, and
20 most cases you see are still associated with FFP or
21 plateletpheresis, but the majority of cases would
22 encompass those products that have more than 60 ml,
23 but not entirely.

24 DR. MCGEE: Can I ask you a couple
25 questions about the numbers? What period was your

1 46 cases? I mean how long a period?

2 DR. POPOVSKY: That was I believe 1991 to
3 1998. Becky, is that right? Yes.

4 DR. MCGEE: On the Mayo, there were 36
5 cases?

6 DR. POPOVSKY: That was 36 over two years.

7 DR. MCGEE: That was two years. Then,
8 your slide where you had the implicated antibodies,
9 that is the same 46 cases?

10 DR. POPOVSKY: Yes.

11 DR. STRONCEK: In my past life, I directed
12 a laboratory, one of the few laboratories that
13 tested for neutrophil antibodies around the
14 country, so I would get the results of these tests
15 in a lot of these cases. We have to remember about
16 HLA antibody tests, the neutrophil antibody tests,
17 most of the time it is not one unit that is
18 implicated. Usually, you get tests on up to 2 to 3
19 to 4 to 5 to 6 units, and then you start testing
20 these units for antibodies. If you start thinking
21 about the numbers, if you took any six blood
22 donors, you know, half of them are women, so you
23 probably have a couple of them that are
24 multiparous, so in any of these cases, by
25 definition, you probably just by chance are going

1 to have a multiparous woman involved, and then a
2 lot of those are alloimmunized.

3 So, what really Mark is presenting, which
4 is the best data, is 46 anecdotes. It is not
5 controlled. Nobody has ever said, well, let's look
6 at TRALI cases, then, let's look at case controls,
7 other people that has similar medical conditions
8 with similar numbers of transfusions, and how many
9 of those people got transfused with units that
10 contained HLA antibodies.

11 So, I think there is a lot to be said
12 about the pathophysiology. The other thing about
13 the disease is that I think it is very difficult to
14 make statements like most of these are involved in
15 plasma-containing products, because there is plenty
16 that don't have a lot of plasma.

17 You know, if we are speaking about
18 anecdotes, there are case reports where people
19 don't have granulocytes and get transfused, and
20 they do have TRALI reaction, so you have
21 neutropenic patients transfused that have TRALI.

22 There is also anecdotes, which I published
23 one, where you will find a donor that is involved
24 in TRALI, and you go back and look, and yes they
25 have that neutrophil antibody, but, you know, they

1 have given 20 other transfusions, and none of those
2 other units were associated with TRALI. So, what
3 is going on? Why do you have TRALI in one case
4 with this unit, and not in the other 20 donations?
5 So, I think that even though Mark gave a very
6 eloquent presentation, as you will hear from other
7 presenters, what is going on with this syndrome is
8 not really known at this time.

9 DR. NELSON: Are there data on the repeat
10 occurrence of TRALI from the same donor?

11 DR. POPOVSKY: It is very limited. There
12 is one published report of a patient who, in fact,
13 had two consecutive cases of TRALI, so the data are
14 sparse.

15 DR. MACIK: I have a lot of questions
16 about the information because I was not familiar
17 necessarily with this syndrome. In particular,
18 based on what I have seen, is this really a new
19 syndrome or a newly recognize syndrome, do we
20 really know that it is due to blood or to something
21 that is used in transfusing the blood or even a
22 medication given simultaneously with blood in some
23 of there patients, and then also getting back to
24 the point, what information do we have that this
25 same donor's blood is causing this in multiple

1 people, in other words, by deferring these donors
2 because their blood has been linked to somebody
3 with this disorder, do we really know it was their
4 blood, or is there something in that patient that
5 they would be reactive to multiple bloods?

6 I think what you have presented is nice,
7 initial information, but I still have a lot of
8 questions about what the link is, and some of the
9 things that you recommend, such as deferring of
10 donors, I don't know that there is enough
11 information there to really defer people at this
12 point.

13 DR. POPOVSKY: Those are all good
14 questions. First, to speak to your first point, I
15 concur with what Dr. Stroncek said, is that except
16 for the prospective study from Palfi, all the other
17 data are retrospective and they are not controlled,
18 so we agree.

19 However, is it a new syndrome? No, it was
20 probably first recognized in the late 1940s, but it
21 was called by these other terms. The term was
22 first coined in the early 1980s because of the
23 clinical impression that this was strongly related
24 to, in fact, transfusion. Why these patients
25 develop it, I think is unknown.

1 Let's go to your next point, which is why
2 a recommendation that you would defer a donor who
3 is implicated. Because at Mayo, we had a single
4 donor who was implicated in six cases of
5 transfusion-related acute lung injury. She had a
6 history of 14 pregnancies, and she, through a
7 period of time before we realized what we were
8 dealing with, her blood, and because of the unique
9 system there, they collect blood and they could
10 follow it through in a controlled way, as it were,
11 her blood was implicated in multiple cases of
12 transfusion-related acute lung injury, and these
13 recipients had nothing in common in terms of the
14 types of conditions for which the blood was being
15 transfused.

16 DR. HALEY: A short comment. In the
17 American Red Cross, we do defer the donors from
18 giving other plasma products as soon as we find a
19 confirmed case, so we can't answer the question
20 would they repeatedly.

21 We do know that most of these donors have
22 given many, many times before, and not been
23 implicated in the case, but we cut it off at that
24 point.

25 DR. HOLLINGER: Do you find it unusual

1 that IVIG and so on has only been associated with
2 rare cases of TRALI?

3 DR. POPOVSKY: Well, there are some
4 thoughts about that, one of which is that these
5 antibodies are in the process of fractionation
6 procedure being diluted. I suspect, in fact, there
7 are more cases than have been reported, but at
8 least it is a clinical impression that it is an
9 infrequent association. Why? We are not clear.

10 DR. HOLLINGER: If you examine them for
11 HLA and granulocyte antibodies, almost all of them
12 are positive for IVIG?

13 DR. POPOVSKY: Yes.

14 DR. HOLNESS: Mark, I would just like to
15 mention that we have had one case at the FDA where
16 the same donor had 11 TRALI reactions and 2
17 fatalities from the same donor FFP.

18 DR. POPOVSKY: Thank you.

19 DR. NELSON: The next presentation is by
20 Dr. Patricia Kopko from Sacramento Blood center.

21 **Patricia Kopko, M.D.**

22 DR. KOPKO: Good morning. I would like to
23 thank the committee and Dr. Holness for inviting me
24 to speak today. I will admit four years ago I was
25 what I call a TRALI skeptic, I didn't really

1 believe that this reaction really existed or we
2 really knew anything about it, because when you get
3 one of these cases, they can be very difficult to
4 work up, you are often dealing with multiple
5 donors, you have to get the donor back to work them
6 up, and even when you get the donors back, you
7 often do not find an antibody.

8 Well, I would like to say that my opinion
9 has changed for two reasons. The first reason is
10 that I now work at a blood center that keeps a
11 sample from each donor for at least nine months, so
12 every time they come in and donate, we have a tube
13 available to go back and test up to nine months
14 later.

15 The other reason is the following case.

16 [Slide.]

17 This case was of a 60-year-old female who
18 had been hospitalized with a two-week history of
19 vaginal bleeding and a recent near syncope. She
20 presented to the emergency room and when she
21 presented her hemoglobin, as you can see, was
22 actually pretty good for somebody who had been
23 bleeding for two weeks. It is 13.1. That is high
24 enough to donate blood.

25 Her platelet count was normal at 156,000,

1 her I&R was 4, but it had been 5.8 two days prior,
2 so they were reversing a coumadin effect. She was
3 on coumadin because she had had a prior
4 hospitalization for syncope secondary to an
5 arrhythmia, which was probably why she had the
6 syncope this time.

7 But the resident on that night decided she
8 had had a syncope from blood loss, decided she
9 needed to have her coumadin effect reversed
10 emergently, and he wrote an order for 200
11 milliliters of FFP to reverse the coumadin effect.

12 [Slide.]

13 Shortly into, about 45 minutes into that
14 transfusion, the nurse walks into the patient's
15 room, the patient is in respiratory distress, the
16 nurse calls the code, the intubate the patient,
17 send her to the ICU.

18 These are her first few hours of vitals in
19 the ICU. You can see when she gets here this red
20 line is her heart rate. She is already
21 tachycardic. Her blood pressure, this is her
22 systolic blood pressure. Her systolic blood
23 pressure is below 100 when she gets there. Her
24 respiratory rate is at 40, and the resident,
25 deciding that this has to be a fluid overload from

1 that 200 ml transfusion, decides to give Lasix.

2 When he gives Lasix, she gets more
3 tachycardic, up to over 140, over 150, her blood
4 pressure goes down, her systolic less than 60. He
5 then decides he has to start dopamine because she
6 is hypotensive. He starts the dopamine and you can
7 see, after the starts the dopamine down here, he
8 gets to the point where he gets her blood pressure
9 back up, so he gives her more Lasix. Again, she
10 gets up hypotensive, and yes, I know, I am a
11 pathologist, but it would just seem to me that they
12 would have figured out that if you are giving both
13 Lasix and dopamine, maybe you shouldn't be giving
14 both.

15 Anyway, the resident didn't realize what
16 this was. He thought it was a fluid overload, it
17 wasn't until the pulmonary ICU attending came in
18 the next morning that he realized that the
19 attending said you him you do realize this is a
20 TRALI, don't you. The resident did not know what
21 TRALI was.

22 This woman spends two weeks in the
23 hospital, 12 days of that two weeks she is in the
24 ICU.

25 [Slide.]

1 I need to remind you that the problem with
2 treating this like a fluid overload, which is what
3 it is often attributed to, is that this person has
4 just taken a large amount of fluid from the
5 intervascular space and dumped it into their lungs,
6 so they are not fluid overloaded, their
7 intervascular space is actually fluid depleted.
8 You give them Lasix, you can cause severe problems
9 to them.

10 So, we did the standard workup, which at
11 the time for us was an AHG-CDC for HLA Class I
12 antibodies. That was negative. A granulocyte
13 antibody test, that was negative. We have a rare
14 opportunity here. Not only did we have access to
15 this donor's plasma, but it was the only donor's
16 plasma. There was no other transfusion involved,
17 and it was clear-cut TRALI, so it had to be from
18 this unit.

19 The PMN priming agent that Dr. Popovsky
20 talked about that is Chris Silliman's theory, has
21 never been shown to be an FFP. So, we knew it
22 couldn't be that. We knew it wasn't HLA Class I,
23 we knew it wasn't granulocytes, what else could it
24 be.

25 Somebody got the bright idea has anyone

1 ever looked at HLA Class II antibodies, and that is
2 what we decided to do in this donor.

3 [Slide.]

4 Using flow cytometry, we were able to show
5 that this donor's serum contained HLA Class II
6 antibodies to DR53 and DR51. The recipient's HLA
7 DR phenotype was DR53. So, we had a direct match
8 there.

9 [Slide.]

10 Based on that, we decided to change the
11 way we did our TRALI workups, so we decided to add
12 HLA Class II antibodies to, if at all possible,
13 both the donor and the recipient. We have, as Dr.
14 Popovsky mentioned, a growing number of cases with
15 HLA Class II. We have submitted a paper to
16 Transfusion that has been accepted for publication,
17 and what I am going to show you is not that data
18 entirely. I have done something a little different
19 for today, because as I mentioned earlier, you
20 often have a number of donors, and it is very
21 difficult when you have a number of donors, to know
22 which donor did it.

23 As Dr. Stroncek mentioned, you get six
24 donors, there is a good chance one is just going to
25 have an antibody by chance.

1 [Slide.]

2 So, what I decided to show you is the
3 cases that we have where we either have just a
4 single unit transfused or the timing of the units
5 that were transfused was such that it had to be one
6 unit.

7 What we have here is 17 cases in the last
8 two years.

9 [Slide.]

10 We have found HLA Class I and II
11 antibodies in five cases, in the donor, of course.
12 We found HLA Class I and II antibodies in one
13 recipient, not in the donor, in that
14 donor/recipient pair.

15 We found HLA II antibodies in one donor
16 and HLA Class I and II antibodies in the recipient
17 in one case. We found no antibodies whatsoever in
18 two cases, although I have other reasons to be
19 believe there is an unidentified antibody in at
20 least one of these cases.

21 [Slide.]

22 We found granulocyte antibodies in two
23 cases in the donor, granulocyte antibodies in one
24 case in the recipient. HLA I antibodies alone in
25 one donor, which I might add we could not pick up

1 on AHG-CDC, we only were able to pick it up on flow
2 cytometry. And HLA Class II antibodies alone in
3 four cases in the donor.

4 [Slide.]

5 So if we go on to how would we prevent
6 this, I would first like to say something about how
7 we screen for this, how we test this, why this
8 wouldn't work, screening would not be practical on
9 a large scale donor basis.

10 The AHG-CDC test is a transplantation
11 test, and whereas it works well for transplantation
12 labs, to think we would have to screen 6 million
13 donations a year, the capacity isn't there.

14 Additionally, as Dr. Stroncek mentioned,
15 there are so few granulocytes lab in the country
16 that you could not get routine granulocyte testing
17 on every donor.

18 Then, the flow PRA that we are using to
19 detect HLA Class I and II antibodies using flow
20 cytometry, I would like to emphasize it is a
21 research test with a Capital R. It is in very
22 initial phases of being used. It would not be
23 applicable to large-scale donor screening.

24 So, even if you just said we did
25 granulocyte and HLA antibody testing on donors or

1 even on female donors or multiparous donors, I
2 would like to show you why that probably wouldn't
3 work.

4 [Slide.]

5 We would not have been able to detect 10
6 of these 17 cases with that strategy. In two
7 cases, we detected no antibody. In four cases, we
8 only found HLA Class II antibody. In one case,
9 there was granulocyte antibody in the recipient;
10 one case, the HLA antibody in the recipient; one
11 case, we were only able to detect the HLA Class I
12 antibody by flow cytometry, and this was antibody
13 on the donor.

14 One more case. The donor had HLA Class II
15 antibodies, which would not have been detected
16 using that testing scheme, but the recipient had
17 HLA Class I and II antibodies.

18 [Slide.]

19 Also, I broke down what components were
20 implicated in those 17 cases. You can see it is
21 almost a third, a third, a third; a third FFP, a
22 third red cells, and a third platelets, and I would
23 just like to say for the record that the vast
24 majority of our red cells are AS-1 red cells. We
25 do produce some AS-3 red cells, but to my

1 knowledge, none of these were from AS-3 units.

2 We usually only provide CPDA units for
3 neonates and for sickle cell transfusions, and
4 things of that nature. I would doubt that any of
5 these were CPDA units.

6 [Slide.]

7 So, the next question is so they have
8 antibodies, does that mean it causes the reaction?
9 Just because the antibodies are there, does that
10 mean we know those antibodies cause it? I think
11 the answer would be no, we don't.

12 What we do know is that the presence of
13 antibodies is way too common to be coincidence, but
14 that doesn't mean that is what is causing the
15 reaction. For all we know, all these people could
16 have an endothelial cell antibody and that is what
17 is causing the reaction. I don't think we have
18 proven that the antibodies are causing it.

19 So, the next question you would have to
20 ask is can you find that the antibodies in the
21 donor correspond to the recipient's antigen? So,
22 we have been working on that.

23 [Slide.]

24 Eleven cases now we have antigen typing on
25 the recipient's white cells. Four of those cases

1 we have been able to prove that the antigen and the
2 antibody are identical. Eleven of these cases were
3 somewhat inhibited by the fact that some of these
4 donors have--actually, most of these donors have
5 multiple antibodies. You get the PRA reports back,
6 and they react to 80 to 100 percent of the panel.
7 So, there are so many antibodies, it is hard to
8 distinguish which antibodies are there. We are
9 working on that part.

10 [Slide.]

11 I would like to show you the four that we
12 have found. We have an HLA 24 pair, a granulocyte
13 5b pair, that original DR51 pair, and then we have
14 one case where we are pretty certain there is a B62
15 there. It's a multiple antibody case, but we are
16 pretty certain there is a B62 there, and we think
17 that there is a DQ3 there.

18 [Slide.]

19 What I would like to tell you about next
20 might partially answer some of the questions the
21 committee was asking of Dr. Popovsky. How do we
22 know how many reactions these people are causing or
23 are they causing reactions?

24 Well, we had a case recently, I believe it
25 is the case that Dr. Holness was referring to, that

1 illustrates a number of points. The case was from
2 our local hospital where they had a fatality, and I
3 never would have even known of it, that the
4 fatality had occurred except the resident called me
5 and asked me if the donor had ever taken
6 penicillin.

7 My question was why do you want to know.
8 Well, it turns out that about a half-hour 45 minute
9 into a transfusion, the patient went into
10 respiratory distress, coded, and expired within
11 four hours, and they were thinking that because the
12 patient was allergic was penicillin, maybe if the
13 donor had taken penicillin, that this was the cause
14 of the fatality.

15 I did my best to try to convince these
16 people that this was, in fact, TRALI. I met with a
17 considerable amount of resistance on the part of
18 the physicians. I was told, and this is my
19 favorite one, "Everyone knows FFP does not cause
20 TRALI."

21 So, after some education, and I might add
22 a little bit of arm twisting, I did finally get
23 them to report the reaction to the FDA. This
24 patient was a man in his 50s. He was going in for
25 knee surgery, they were reversing his coumadin,

1 which I think might be a bad thing these days,
2 anyway, they were reversing his coumadin, they gave
3 him the one unit of plasma, he had the reaction, he
4 expired.

5 Our FDA inspector showed up for the usual
6 post-fatality workup, and he asked us to do a
7 lookback. Now, I am sure that lookback is familiar
8 to everyone on this committee with the recent
9 hepatitis C lookback.

10 We also do lookback for HIV. If a donor
11 comes back and is newly seroconverted for HIV from
12 the last donation, we go and we look back at the
13 recipients of those units to see if they have
14 contracted HIV. So, we did what we call a TRALI
15 lookback.

16 [Slide.]

17 The donor was a 55-year-old and by the
18 definition used here today multiparous female. She
19 had been pregnant three times, had two sons in
20 their 30s, and had spontaneous abortion over 30
21 years ago. She had never been transfused. We
22 found a strong 5b antibody in the donor.

23 This was the very first time this donor
24 had ever been implicated in TRALI, despite a
25 15-plus year history of frequent donation. When I

1 am talking about frequent donation, I am sure most
2 of you are thinking red cells six times a year. We
3 run a frequent plasma program where under the
4 proper medical supervision we allow our frequent
5 plasma donors to donate jumbo plasma up to 60 times
6 a year, and we use that all for transfusion.

7 So, this woman had been donating not just
8 frequently, but very frequently.

9 [Slide.]

10 So, our FDA inspector asked us if we could
11 back a year and look at all these donations because
12 there were so many donations, and we were happy to
13 oblige.

14 [Slide.]

15 And I decided that instead of just asking
16 them to see if a reaction had been reported to the
17 transfusion service, I wanted to know if the
18 patient really had a reaction. So, I asked the
19 transfusion service medical directors to go back
20 and actually open the chart and look at the chart,
21 see if there was a reaction at the time of
22 transfusion.

23 When you preface odd requests like this
24 with, "The FDA wants us to," it is amazing how
25 cooperative these people can become.

1 [Slide.]

2 The donor had made 28 plasma donations
3 that had been transfused in the previous 12 months.
4 Additionally, we still have about 3 liters of
5 plasma quarantined in the freezer, and a couple
6 broke in the water bath, so the donor had been
7 really donating quite frequently.

8 The recipient's clinical condition
9 precluded evaluation of the transfusion in four
10 cases. What that means is if you are already
11 giving this unit to a patient that has ARDS or
12 pulmonary edema, how do you know if they have
13 TRALI.

14 [Slide.]

15 Nine of the 24 transfusions or a full 37.5
16 were associated with a transfusion reaction, and I
17 need to remind you that 5b is probably present on
18 greater than 90 percent of people. We classified
19 the reactions as mild to moderate or severe.

20 Mild to moderate we decided was fever,
21 chills, dyspnea or oxygen desaturation. There were
22 four of these reactions of 16.7 percent of
23 transfused units. Severe reactions meaning new
24 onset of pulmonary edema or the need for mechanical
25 ventilation were found in five cases, which is 20.8

1 percent of transfusions.

2 I would like to add at this point that the
3 FDA has asked us to go back two more years, and we
4 are still working on that, but in those two years I
5 can tell you we found two more mild to moderate
6 reactions and two more severe reactions.

7 [Slide.]

8 This is the interesting part. Only four
9 of these nine reactions were ever even reported to
10 the transfusion service, and the very most
11 interesting thing about this is it wasn't the
12 severe reactions that we reported, and the one
13 severe reaction that was reported, the fatality,
14 they refused to believe it was a TRALI.

15 I have a theory for this. My theory is
16 everyone knows, every clinician knows you can get a
17 febrile reaction from transfusion. I think this is
18 further proof that very few clinicians know or
19 appreciate or even believe that you can get this
20 type of a pulmonary edema reaction from
21 transfusion, which is why I think most of these
22 reactions were not reported to the transfusion
23 service.

24 Of those, only two of the nine were
25 reported to the blood supplier, which was us. Of

1 those two, one was the fatality and one was a mild
2 reaction that happened at a very close time to the
3 fatality and was only reported because of the
4 fatality.

5 [Slide.]

6 So, where are we? I think that HLA Class
7 II antibodies are associated with TRALI, as well as
8 Class I and granulocyte antibodies. I don't think
9 that screening blood donors will work. It is too
10 complex, it is too complicated. The technology
11 isn't there for wide-scale screening of blood
12 donors.

13 As important as the clinical implications,
14 as important as the screening implications of blood
15 donation, I think also what we really need to get
16 at is how frequent is this, how many of these
17 reactions are never being reported, not only to the
18 blood supplier, who then cannot work up the
19 reaction, but to the transfusion services.

20 That concludes my remarks.

21 DR. NELSON: Thank you. That is very
22 interesting data.

23 Any questions? Mary.

24 DR. CHAMBERLAND: Have you or do you have
25 plans to do these antibody evaluations in a

1 controlled series of patients and recipients?

2 DR. KOPKO: At this point, we are working
3 on where we want to go next with this. A
4 controlled series would be nice.

5 DR. STUVER: I think it would be very
6 important because I mean that is really the
7 problem. You have looked up this one case. I mean
8 if you were to go to another donor who donated as
9 frequently as this woman, and looked back, would
10 you be able to find the same frequency of these
11 unreported reactions? I mean I think that is a
12 huge question.

13 DR. KOPKO: I think it is a very good
14 point, and we certainly have the data now at least
15 to do a retrospective study like that, because I
16 have got a number of donors now that have been
17 implicated and probably well over 100 transfusions,
18 and to take that and do a parallel series seeing if
19 just transfusion reactions are under-reported would
20 be a wonderful thing to do.

21 DR. NELSON: Is there any dose response
22 suggestion like the level of antibodies in the
23 donor or when the recipient has antibodies and
24 receives one unit or a small, is the clinical
25 reaction milder?

1 DR. KOPKO: I don't seem to see one at
2 least with this series, because this woman was
3 consistently giving those 600 milliliter jumbo
4 plasma and everyone was getting them, and you would
5 have to assume at least 90 percent of people were
6 positive for that antigen, only 37 1/2 percent
7 reacted, and there was a spectrum of reactions from
8 mild to death.

9 DR. SIMON: I also think this data is very
10 interesting and hope that you will pursue the
11 controlled studies. I do have an alternate theory.
12 Back in the days when I used to interact more
13 frequently with transfusing physicians, surgeons
14 would tell me all the time that they see many more
15 pulmonary problems in their patients who are
16 transfused than their patients who are
17 non-transfused, and I think many physicians accept
18 the potential for pulmonary problems as a
19 complication of transfusion. They may confuse it
20 or it may be pulmonary edema in some cases due to
21 volume overload, but it may be that there is a
22 certain tolerance of this that also interferes with
23 reporting.

24 DR. KOERPER: I think that this is a very
25 interesting report. I am just reflecting on my own

1 practice. I take care of kids, oncology and BMT
2 patients who are so sick anyway that frequently,
3 these kids have fever, hypotension, and respiratory
4 distress, and it is ascribed to sepsis, and they
5 are transferred to the ICU and taken care of for
6 several days and they get better. Of course, they
7 are put on antibiotics, so the improvement is
8 ascribed to the antibiotics.

9 I take it there is no test that we can
10 order as we are transferring the patient to the ICU
11 that says this is TRALI, because I suspect that we
12 have cases like this that we have never recognized
13 because of the clinical setting in which they
14 occur.

15 DR. KOPKO: One of the things we are
16 working on, and I did not bring the data because it
17 is too preliminary, we have a study going where we
18 are taking the white cells from the recipient,
19 reacting them with that specific plasma, and seeing
20 what happens to the white cells.

21 Additionally, we are pulling just a random
22 plasma off the shelf and reacting the recipient
23 white cells to a random type-specific plasma and
24 seeing if we can find a difference, and it is too
25 early to represent the data.

1 DR. KOERPER: The other problem, of
2 course, is that a lot of these kids don't have any
3 neutrophils because of chemo or because they are
4 transplants, so there is a piece missing in this
5 theory of how this occurs.

6 DR. KOPKO: You are right, they don't
7 have neutrophils, and what we are actually looking
8 at more than the neutrophils are the monocytes, and
9 looking for monocyte activation, because HLA Class
10 II antibodies are not on granulocytes, they are not
11 your run-of-the-mill lymphocyte, they are on
12 monocytes.

13 Then, the other question is how do we know
14 it is not through endothelial cells, and I think
15 that might be a possibility, too, that it is
16 actually reacting through the endothelial cells,
17 and not the white cells, because there is certainly
18 HLA antigens on endothelial cells.

19 DR. NELSON: Some time ago, Victor
20 McKusick made the comment that he thought that the
21 entire population should know their HLA type, and
22 that we should type the whole population. Maybe
23 that would be some interesting background data if
24 his recommendation was ever carried out.

25 DR. STRONCEK: The clinical situations

1 that speak to, it is more than just granulocyte,
2 activated granulocytes. Hemodialysis membranes
3 used to activate complement, you know, there have
4 been several studies that showed C5A was activated,
5 granulocytes were activated, people became
6 neutropenic for a couple hours while on dialysis,
7 and those granulocytes actually ended up in the
8 lungs, and they could show desaturation, but those
9 people never went on to develop TRALI. That is
10 when dialysis was stopped, their neutrophil counts
11 came up and they felt fine.

12 The other situation is that with
13 granulocyte transfusions, sometimes people get
14 alloimmunized and while they are alloimmunized we
15 may transfuse them with a granulocyte concentrate,
16 not knowing they are alloimmunized, and they will
17 get pulmonary reactions, but those reactions are
18 more transient and seem to be more consistent with
19 just the trapping of neutrophils in the lungs, and
20 as their neutrophils clear over a matter of a few
21 hours, the patients get better.

22 DR. NELSON: Thank you very much.

23 The next presentation, Dr. Lynn Boshkov
24 from Oregon Health Sciences University.

25 **Lynn Boshkov, M.D.**

1 DR. BOSHKOV: Good morning. I would like
2 to thank the committee and Dr. Holness for inviting
3 me to speak here.

4 I have been working for the past few years
5 on TRALI with Chris Silliman in Denver, so I am
6 going to present a slightly different view of
7 TRALI, and our view is basically that this is a
8 two-hit mechanism. This is like ARDS. You have a
9 patient who is susceptible and often you infuse a
10 product that contains granulocyte activating
11 factors.

12 [Slide.]

13 I am going to give you an overview of the
14 model because it is a bit different from the model
15 that we have been dealing with earlier this
16 morning. I will tell you how I have been defining
17 TRALI. The background to my interest, which was
18 basically an epidemic of TRALI that came to me as
19 medical director of the transfusion service at
20 University Hospital in Edmonton.

21 I will show you a number of lines of
22 evidence supporting the two-hit model and a major
23 role for neutrophil priming activity generated
24 during the storage of platelets, specifically whole
25 blood platelets, and red cells.

1 I will talk about some candidate
2 biological response modifiers and interventions
3 that do decrease this neutrophil priming activity.

4 [Slide.]

5 Basically, the two-hit model says that
6 TRALI is a syndrome of abnormal neutrophil
7 activation whereby the bactericidal arsenal of
8 neutrophils becomes focused on the pulmonary
9 vascular endothelium rather than on the bacterial
10 target.

11 The first hit is neutrophil priming by
12 physiological substances, such as endotoxin LPS,
13 complement, platelet activating factor, which is
14 released in ischemia reperfusion, et cetera, et
15 cetera, and that as a result of this first hit,
16 neutrophils adhere to the pulmonary vasculature.

17 The second hit then that precipitates
18 clinical TRALI is the sequential administration of
19 a priming agent, which augments the respiratory
20 burst of the neutrophils and causes a release of
21 their granule contents with the resultant damage to
22 the endothelium, capillary leak, and a picture of
23 non-cardiogenic pulmonary edema.

24 [Slide.]

25 This is normal neutrophil function where

1 you have an infection releasing mediators that
2 cause the neutrophils to adhere to the endothelium
3 and then diapedese through it and attack the
4 invading organisms.

5 [Slide.]

6 In TRALI, on the other hand, the
7 neutrophils adhere as a result of that first hit,
8 but then with the second hit, they release their
9 granule contents on the endothelium, causing damage
10 and capillary leak.

11 [Slide.]

12 As I mentioned, I got interested in this.
13 I am a clinical hematologist by training. I was
14 work actually as a clinical hematologist with a
15 primary interest in coagulation in Edmonton with an
16 appointment at the Red Cross, and then they invited
17 me to head the transfusion service at University
18 Hospital there.

19 That was in 1993, but we had had an
20 epidemic of TRALI starting around the summer of
21 1991, and I am going to define TRALI here as
22 respiratory compromise being the predominant
23 syndrome. These patients were critically ill with
24 severe hypoxemia. They had at least peripheral
25 cyanosis, often central cyanosis. Their PO₂s went

1 down, their O₂ saturations went down into the 80s,
2 sometimes even lower. They all required
3 supplemental oxygen.

4 We have several intubations. We had one
5 death. So, the reactions were serious to
6 life-threatening, intervention was necessary, and
7 respiratory compromise was the predominant symptom.
8 Almost invariably there were fever and chills
9 associated with it, and the reaction was temporally
10 associated with transfusion of a blood product.

11 Interestingly enough, the onset here was
12 virtually immediate, within minutes of receiving
13 the offending product, and cleared rather quickly.
14 I think we have a variety of mechanisms that
15 culminate in a clinical picture of TRALI. I think
16 this is a multifactorial disease.

17 I think this is the neutrophil adhesion,
18 the granulation type of situation. In the cases
19 where chest x-rays were done, there was no evidence
20 of volume overload, the radiological picture was
21 classic for TRALI, non-cardiogenic pulmonary edema,
22 and we excluded cases where other cause was
23 evident. The patient had a pre-existing pneumonia
24 or ARDS or whatever.

25 We tracked between October '91 and January

1 of '98, 121 TRALIs.

2 [Slide.]

3 The reason we began to think something
4 besides antibody was going on was a number of
5 reasons. The first was that we started to see a
6 upswing in these reactions that accompanied the use
7 of a new blood bag by our blood supplier, so they
8 had gone from one manufacturer to another, they had
9 had to modify collection procedures and
10 centrifugation speeds, and so on, and so forth, to
11 keep up platelet yields.

12 We saw almost no reaction to the plasma.
13 Six patients, interestingly, had recurrent
14 reactions to products from different donors, and
15 when we first looked, we found little convincing
16 evidence for major involvement of anti-granulocyte
17 or anti-lymphocyte antibodies, so in our initial
18 reactions, we looked at 21 donor plasmas, could
19 implicate an anti-HLA Class I in one of those.
20 None of the first eight recipients we looked at had
21 evidence of anti-granulocyte or anti-lymphocyte
22 antibodies.

23 [Slide.]

24 I started to freeze down plasmas from
25 implicated products. I started to freeze down

1 plasmas from non-implicated products, and patient
2 pre- and post-transfusion plasmas.

3 We have subsequently been able to test 87
4 implicated donor plasmas, and have found evidence
5 for anti-granulocyte antibodies in five of those.
6 None of them have evidence of anti-lymphocyte
7 antibodies, and most recently we have tested for
8 anti-DR antibodies, not by flow cytometry, but by a
9 fluorescent bead.

10 So, although there is some evidence that
11 anti-granulocyte and anti-lymphocyte antibodies
12 were involved in these reactions, I don't think
13 that they were the major radiology in this series
14 of TRALIs.

15 I didn't know what we were dealing with.
16 We looked at complement generation, implicated
17 blood bags. We looked at anti-plasticizer
18 antibodies in recipients, and none of these avenues
19 indicated there was anything going on there.

20 [Slide.]

21 The implicated products--and this is the
22 sort of the timeline here--as I mentioned, over
23 this period of 1991 to January of 1998, we tracked
24 121 TRALIs. The overwhelming majority of them were
25 to whole blood platelets, and you can see the

1 incidence here, up to 0.5 percent of whole blood
2 platelets were associated with TRALI type
3 reactions.

4 Apheresis platelets, which were about 20
5 percent of the platelets we used at that point in
6 our patients, were associated with very few TRALIs,
7 and one of these was in a patient that had had a
8 previous TRALI to the random donor platelets.

9 Red cells, much lower incidence, still
10 significant numbers, and plasma, University
11 Hospital in Edmonton, probably we transfused about
12 10,000 red cells a year and about 4,000 plasmas.
13 Only one TRALI to plasma during that time.

14 Very interestingly, after we had a
15 fatality due to TRALI, multiple intubations, and we
16 kept on reporting these reactions to the Red Cross.
17 The Canadian Red Cross was our blood supplier.
18 They requested a special inquiry. That report came
19 out in 1994, and they said basically we don't know
20 what is causing these reactions, but, boy, your
21 leukocyte contamination and your platelet products
22 is real high in Edmonton, so why don't you reduce
23 that leukocyte contamination and issue fresher
24 products.

25 They did that right here. The incidence

1 of TRALI declined after that, but it had already
2 declined a bit before because actually, we had
3 recognized the population at risk and had started
4 to prioritize our single donor platelets to that
5 population at risk.

6 [Slide.]

7 The other thing we did was a nested case
8 controlled study. This has only been published in
9 abstract form by Gwen Clarke, who was my resident
10 at the time. We took 46 confirmed cases of TRALI
11 due to random donor platelets prepared from whole
12 blood and we looked at 225 randomly selected
13 controls who had received random donor platelets
14 during that time and who we verified by chart
15 review and blood bank records had not had
16 reactions.

17 We looked for patient and product factors
18 that were associated with TRALI by logistic
19 regression analysis.

20 [Slide.]

21 We found no difference between cases and
22 controls with regard to age, sex, recipient and
23 donor blood groups, previous transfusions, history
24 of previous transfusion reactions, et cetera.

25 We looked also at platelet increments at

1 the next platelet count. We didn't consistently do
2 one-hour platelet counts, but most platelet
3 patients had a reasonable rise in their platelet
4 counts following these very severe reactions.

5 Interestingly enough, the diagnosis was
6 very important in that the cases were much more
7 likely than the controls to have hematological
8 malignancy or cardiac disease. So, this just
9 wasn't the fact that, you know, if you have
10 hematological malignancy, you are going to get
11 platelets. It is that there is something in having
12 that that predisposed you to having a TRALI.

13 We have subsequently undertaken a chart
14 review, a detailed chart review. We have over 60
15 cases now, and they are growing. This is a work in
16 progress. We are pulling them off microfilm and
17 doing detailed chart reviews, but I can tell you
18 that at first go-around, it looks like these
19 reactions are particularly frequent during
20 chemotherapy, particularly induction chemotherapy,
21 and one of the things that has struck me is that a
22 lot of these patients have not received a lot of
23 blood products before they had these reactions, and
24 a lot of the patients have had cardiopulmonary
25 bypass recently.

1 I have seen a couple of these reactions
2 myself. You know, when we started to see them, if
3 you care for patients with malignancies, you know,
4 they are always having reactions, they rigor, they
5 have febrile reactions frequently to random donor
6 platelet products. They are febrile because they
7 are septic, because they are getting their
8 amphotericin, but these reactions were other.

9 The nurses were terrified of them. The
10 physicians were terrified of them. Family members
11 used to tell me, you know, she looked like she was
12 going to die. I saw a lady rigoring off the bed.
13 She was just blue, and she said to me I thought I
14 was going to die. So, these are very, very serious
15 reactions.

16 Post-op status, GI bleeds. I think
17 although I am just reviewing, this is not a nested
18 case control. I think these are probably risk
19 factors. These things do release
20 platelet-activating factor. Ischemia reperfusion
21 does, and I think TRALI is a multi-hit phenomenon.

22 So, the end result of this nested case
23 control, I think we can say that certain patients
24 seemed to be predisposed to these reactions
25 although the nature of that predisposition is

1 unclear.

2 [Slide.]

3 Interestingly enough, there was a weaker
4 effect of platelet age, which was evident by
5 continuous analysis in that the cases tended to
6 have older units than the controls, and this was
7 consistent with some sort of metaboloid or mediator
8 that is generated during product storage.

9 What this metaboloid or mediator was, I
10 had no idea. I had been freezing stuff down, and
11 the penny dropped actually when I went to a talk by
12 Dan Ambruso at the ASH in 1992, and he produced
13 evidence that packed cells, whole blood, and
14 platelets contained the priming agent, which
15 enhanced the response of neutrophils and response
16 to a test stimulus fMLP.

17 Chris Silliman and Dan Ambruso have
18 subsequently gone on to show that exposure to this
19 priming agent does cause shape change in
20 neutrophils, increased expression of CD11 and CD18,
21 which are the adhesion molecules for them to
22 adhere.

23 This PMN priming activity is seen by two
24 days of storage for platelets, by 14 days for whole
25 blood and red cells. There is no priming activity

1 in FFP, and that whole blood platelets have a lot
2 more priming activity than apheresis platelets.

3 The priming agent has also been shown to
4 damage pulmonary endothelial cells in a cell
5 culture model and activate pulmonary endothelium.
6 I don't have time to show you that. It is
7 published in abstract form, and there is an animal
8 model, which I will show you some data from, which
9 Chris published in Journal of Clinical
10 Investigation a couple of years ago, of isolated
11 perfused rat lungs, which has basically confirmed
12 the fact that this sort of two-hit injury with the
13 second hit being PMN priming can cause pulmonary
14 damage.

15 [Slide.]

16 This is the priming assay. Neutrophils
17 are isolated. You give plasma with the second hit.
18 You activate with the fMLP, and you measure the
19 super oxide anion production.

20 [Slide.]

21 This is stored red cells. You can see
22 that the priming activity in response to fMLP at
23 day zero is a little over 2, and that by 42 days,
24 it has risen several fold.

25 This priming activity seems to be in the

1 lysophosphatidylcholine moiety that can be isolated
2 by HPLC from the day 42 plasma from the red cell
3 units.

4 [Slide.]

5 When we sent Chris and Dan our products
6 from Edmonton, the results were interesting. These
7 are our platelets from Edmonton, and clearly, the
8 priming activity increases with the age of the
9 platelet. Interestingly enough, these dark bars
10 here are priming activity in the presence of WEB
11 2170, and one of the points that you will see come
12 out in this is that some of this priming activity
13 is chloroform extractable. It does to be a lyso
14 PC, but some of it is not.

15 So, WEB 2170 is a selective blocker of the
16 PAF receptor that the lyso PC priming activity
17 works through.

18 We also were able to show that the units
19 associated with TRALI had higher priming activity
20 than five-day-old controlled platelets.

21 [Slide.]

22 Again, that the priming activity was, in
23 significant part, in the lyso PC section of the
24 platelet supernatants.

25 [Slide.]

1 When we looked at patient post-transfusion
2 plasmas, and there were 28 paired samples that I
3 gave to them, you can see that the priming activity
4 rose in patient post-transfusion samples as opposed
5 to pre-transfusion samples, but it was higher than
6 normal, and buffer and FFP run together pretty well
7 here in standard plasma, but it was high to begin
8 with in the patients.

9 So, these are patients that have high
10 neutrophil priming activity, and you bump it even
11 higher. Again, this is the patient plasma, and
12 there is a significant rise in the lyso PC portion
13 of it.

14 [Slide.]

15 We were looking for that other part of
16 that priming activity that wasn't lyso PC, however,
17 the part that wasn't WEB inhibitable, that wasn't
18 chloroform extractable, and so we tried to round up
19 the usual suspects, IL-6, IL-8 have been shown to
20 achieve high levels in platelets, and certainly in
21 our platelet concentrates that were implicated, we
22 saw high levels of IL-6 and IL-8 both.

23 When we looked at the IL-6 and IL-8 levels
24 from the patients, this is the IL-8 and this is the
25 IL-6, and there were rises in both, but the rise

1 was only significant for the IL-6 although this may
2 be due to small numbers.

3 [Slide.]

4 We don't think this increase in priming
5 activity is an epiphenomenon of any transfusion
6 reaction. This is other work published by Chris
7 where he basically looked at patients with simple
8 allergic and febrile reactions, and showed that in
9 these patients, the priming activity did not go up
10 in the pre- and post. These were an additional 8
11 TRALI patients. Again, he could see this increase
12 in priming activity.

13

14 [Slide.]

15 There is an animal model, and that model
16 is a two-hit model. This is a rat model, so you
17 inject intraperitoneal LPS as your first hit,
18 isolate and perfuse rat lungs, and then enter the
19 perfusate, you add the second hit, which is plasma
20 from the implicated products, and you measure lung
21 weight and pulmonary artery pressure.

22 [Slide.]

23 You can see that this is the pulmonary
24 artery pressure here, and you can see that with a
25 double prime of LPS and day 42 red cells, the

1 pulmonary artery pressures rise markedly. This is
2 not seen with fresh product, day zero, and again
3 there is marked change in the lung weight.

4 Some of this seems to be WEB inhibitable
5 particularly with regard to the rise in pulmonary
6 artery pressure. Some of it, however, is not
7 particularly with regard to the pulmonary edema.

8 [Slide.]

9 We were also struck, and we are doing a
10 detailed review of the Edmonton cases, by the fact
11 that in some cases, the recipients don't seem to
12 have granulocytes, so how can you say that there is
13 neutrophil priming activity that is causing these
14 reactions.

15 I had a very interesting pair of cases
16 which we published in abstract form, and was
17 presented as a poster at ASH last year. Basically,
18 this was 5-day-old apheresis product, which was a
19 double donation, so it was divided and right before
20 out date, the first half went to a CML patient on
21 STLI-571, which we use a lot of in Portland, and
22 that patient had a classic TRALI, whited out his
23 lungs, just about required intubation, and had a
24 radiological picture of non-cardiogenic pulmonary
25 edema.

1 The second recipient, however, and I did
2 not know this was a double donation, went to a
3 10-year-old girl with Burkitt's lymphoma, and an
4 absolute neutrophil count of zero. She also had a
5 TRALI, a milder one. She did desaturate. They
6 said, boy, you know, we thought it was epinephrine
7 ICU time for her, but her picture was not quite as
8 marked as the first patient's.

9 Interestingly enough, we weren't able to
10 see any evidence of anti-granulocyte or
11 anti-leukocyte antibodies in either the donor or
12 either of the recipients, however, the priming
13 activity in the product was quite high, and
14 interestingly enough when we measured a vascular
15 endothelial growth factor, or VEGF, which is
16 released by platelets during aggregation and
17 accumulates in platelet concentrates during
18 storage, the levels were ski high.

19 We have been looking at VEGF levels, and
20 it is a bit too preliminary to give you any data on
21 this, but some products do have extremely high
22 levels, and this was one of them, and indeed in the
23 neutrophil priming activity in the post-plasma on
24 the first recipient, who had the neutrophils, went
25 up, in the child it did not.