

FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

TRANSMISSIBLE SPONGIFORM ENCEPHALOPATHIES

ADVISORY COMMITTEE

+ + + + +

NINTH MEETING

+ + + + +

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

FRIDAY,

JUNE 29TH, 2001

+ + + + +

The Advisory Committee met in the Versailles Ballrooms I and II, Holiday Inn-Bethesda, 8120 Wisconsin Avenue, Bethesda, Maryland, at 8:00 a.m., Dr. David C. Bolton, Chairman, presiding.

PRESENT:

DAVID C. BOLTON, Ph.D., Chairman

JOHN C. BAILAR, III, M.D., Ph.D., Member

ERMIAS D. BELAY, M.D., Member

DONALD S. BURKE, M.D., Member

DEAN O. CLIVER, Ph.D., Member

LESTER M. CRAWFORD, JR., D.V.M., Ph.D.,
Consultant

STEPHEN J. DeARMOND, M.D., Ph.D., Member

BRUCE M. EWENSTEIN, M.D., Ph.D., Member

PRESENT (Continued):

LISA A. FERGUSON, D.V.M., Member

PETER G. LURIE, M.D., Member

J. JEFFREY McCULLOUGH, M.D., Member

PAUL R. McCURDY, M.D., Consultant

STEPHEN PETTEWAY, JR., Ph.D., Invited Guest

PEDRO PICCARDO, M.D., Member

SUZETTE PRIOLA, M.D., Member

STANLEY B. PRUSINER, M.D., Consultant

SHIRLEY JEAN WALKER, Member

ELIZABETH S. WILLIAMS, D.V.M., Ph.D., Member

WILLIAM FREAS, Ph.D., Executive Secretary

C-O-N-T-E-N-T-S

PAGE

Update on DHHS Action Plan on BSE and TSE,
Dr. Stephen Nightingale 9

Interim Results of a New Study on Inactivation
of TSE Agent by the Manufacturing Process of
Gelatin:

FDA Introduction, Yuan-yuan Chiu,
Ph.D., CDER, FDA 33

European Gelatin Industry, Policy and
Measures Insuring TSE Safety, Michel
Schoentjes, Ph.D. 38

Inactivation Study: Overview and Results,
Robert Rohwer, Ph.D. 76

Public Comment:

Patrick Goossens 123

FDA Summary, John Bailey, Ph.D. 130

Committee Discussion

P-R-O-C-E-E-D-I-N-G-S

(8:00 a.m.)

DR. FREAS: I would like to ask our committee members to please take their seats and we'll get started.

Good morning. I would like to welcome everybody to the second day of the ninth meeting of the TSE Advisory Committee.

I am Bill Freas. I'm the Executive Secretary for this committee, and today's entire meeting will be open to the public.

At this time I would like to go around and introduce to you the survivors from yesterday's marathon session.

(Laughter.)

DR. FREAS: They are, starting on the audience's right-hand side of the table, Dr. Donald Burke, Director of the Center for Immunization Research at Johns Hopkins University.

Now at the right-hand side of the table is Dr. Elizabeth Williams, professor, Department of Veterinary Service, University of Wyoming.

Next is Dr. Jeffrey McCullough, professor, Department of Laboratory Medicine and Pathology, University of Minnesota.

1 In the empty chair which will soon be
2 filled will be a temporary voting member for today,
3 Dr. Stan Prusiner, Professor of Neurology, University
4 of California, Institute of Neurodegenerative
5 Diseases.

6 Next is a standing committee member, Dr.
7 Peter Lurie, medical researcher for the Public
8 Citizens Health Resource Group, Washington, D.C.

9 Next is our consumer representative,
10 Shirley Walker, Vice President of the Health and Human
11 Services Dallas Urban League.

12 Next is a standing committee member, Dr.
13 Dean Cliver, professor, School of Veterinary Medicine,
14 University of California-Davis.

15 Next, Dr. Steven DeArmond, professor,
16 Department of Pathology, University of California-San
17 Francisco.

18 Next, Dr. Suzette Priola, investigator,
19 Laboratory of Persistent and Viral Diseases, Rocky
20 Mountain Laboratories.

21 Next is a temporary voting member for
22 today, Dr. Lester Crawford, Director, Center for Food
23 and Nutrition Policy, Georgetown University.

24 Next is the Chairman for today, Dr. --
25 Chairman of the committee. Excuse me -- Dr. David

1 Bolton, head of the Laboratory of Molecular Structure
2 and Function, New York State Institute of Basic
3 Research.

4 (Laughter.)

5 DR. FREAS: Next is Dr. John Bailar,
6 Professor Emeritus, Department of Health Studies,
7 University of Chicago.

8 Next is Dr. Ermias Belay, medical
9 epidemiologist, Center for Disease Control and
10 Prevention.

11 Next is Dr. Lisa Ferguson, Senior Staff
12 Veterinarian, U.S. Department of Agriculture.

13 Next is Dr. Peter Piccardo, Associate
14 Professor, Indiana University Hospital.

15 Next is Dr. Paul McCurdy, consultant to
16 the National Heart, Lung, and Blood Institute,
17 Bethesda.

18 Next is Dr. Bruce Ewenstein, Clinical
19 Director, Hematology Division, Brigham and Women's
20 Hospital.

21 Next is Dr. Stephen Petteway, who is a
22 guest from industry. He is Director of Pathogen
23 Safety and Research, Bayer Corporation.

24 I'd like to welcome all of you this
25 morning.

1 I read the entire conflict of interest
2 statement into the public record yesterday. Today I
3 am going to read a few excerpts that do pertain to
4 today's topic.

5 Pursuant to the authority granted under
6 the committee charter, the Director, Center for
7 Biologics Evaluation and Research, has appointed Drs.
8 Paul McCurdy, Stan Prusiner, and Lester Crawford as
9 temporary voting members for today's session.

10 Based on the agenda made available, it has
11 been determined that the agenda addresses general
12 matters only. General matters waivers have been
13 approved by the agency for all members of the TSE
14 Advisory Committee, as well as consultants to this
15 meeting. The general nature of the matters to be
16 discussed by the committee will not have a unique and
17 distinct effect on any of the member's personal or
18 imputed financial interests.

19 In regards to FDA's invited guests, the
20 agency has determined that the service of these guests
21 are essential. The following reported interests are
22 being made in regards to today's guest to allow
23 meeting participants to objectively evaluate any
24 presentation or comments made by the participants.

25 Dr. Robert Rohwer consults widely on TSE

1 issues with both the blood industry and the gelatin
2 industry for which he receives compensation. His
3 laboratory and research program receive support from
4 the Gelatin Manufacturers of Europe.

5 Dr. Michel Schoentjes is employed by SKW
6 Gelatin and Specialties in France. He's also Vice
7 President of the Gelatin Manufacturers of Europe.

8 In the event the discussions involve
9 specific products or firms for which FDA's
10 participants have a financial interest, the
11 participants are aware of the need to exclude
12 themselves from such involvement, and their exclusion
13 will be noted in the public record.

14 A copy of the waivers are available by
15 ordinary request under the Freedom of Information Act.

16 Dr. Bolton, I turn the meeting over to
17 you.

18 CHAIRMAN BOLTON: Thank you, Dr. Freas.

19 This morning's topic is an update. It is
20 presentation by industry of interim results of a new
21 study on the inactivation of TSE agents by the
22 manufacturing process of gelatin and -- I've just been
23 reminded that we actually missed a presentation in
24 yesterday's marathon. Let's see. Where was it
25 inserted?

1 Do you have the modified agenda from
2 yesterday? I think we're going to try to squeeze that
3 in this morning before we start. There we go. Excuse
4 me.

5 And that was a committee update on a
6 summary of DHHS action plan on BSE and TSE by Dr.
7 Stephen Nightingale, and we will have that now before
8 we begin Topic 3.

9 Dr. Nightingale.

10 DR. NIGHTINGALE: I almost got away
11 without giving this, but not quite, and I'll go as
12 quickly as I can.

13 Good morning. Thank you for the
14 opportunity to present the Department of Health and
15 Human Services bovine spongi -- BSE/TSE -- I think we
16 all know the words -- action plan.

17 May I have the next slide, please?

18 This was approved by the Secretary on June
19 the 18th. It was developed under the direction of Dr.
20 Lawrence who's the Acting Principal Deputy; Assistant
21 Secretary for Health, seven words and a title that's
22 quite a title. Those who contributed to the formation
23 of this report are included on the slide, but like the
24 notes, the margin is not wide enough to contain all of
25 the people, and I apologize for those who are not on

1 the list.

2 The basics of the plan can be put in a
3 very straightforward fashion. The task of
4 surveillance is primarily that of the CDC. The task
5 for protection is primarily that of Food and Drug.
6 The task of research is that of NIH. And the task of
7 oversight is the Office of the Secretary. That is, of
8 course, the paradigm that could apply to many, but it
9 applies to this one in particular.

10 May I have the next slide, please?

11 A very, very brief review of the
12 surveillance activities, and Dr. Belay, one of the
13 participants, is in the audience who could expand on
14 this, is the basic component, the basic CDC's
15 activities are based on ongoing relationships, and I'm
16 quoting from the plan itself. The committees have
17 copies of it, and the public can obtain copies of it
18 out at the front desk.

19 Ongoing relationships with state and local
20 health departments and with institutional individual
21 providers and institutions. Dr. Belay could explain
22 this better than I, but this is truly the backbone of
23 what the CDC does, and I wish more people understood
24 it because there is more than Dr. Schoenburger and Dr.
25 Belay who are involved in this activity, and those

1 thousands of people are included among those who would
2 not fit on the acknowledgements of this report or
3 plan.

4 The CDC surveillance activities are
5 supported by the National Prion Disease Pathology
6 Surveillance Center, Case Western University; began in
7 1996's investigations of CJD deaths at age less than
8 55.

9 Next slide.

10 The plan for expanded surveillance is
11 cooperative agreements with state and local health
12 departments to increase pre-mortem surveillance in
13 order to increase post mortem examination of those at
14 increased risk of variant CJD, for example, ataxia,
15 dementia, foreign residence, or food exposure.

16 What I've tried to do was get the key
17 phrase that Dr. Belay and Dr. Schoenburger has
18 contributed to it. This is not the entire plan, but
19 as best I can in ten minutes, this is the core, is get
20 at the cases before they die so that adequate data
21 collection can be obtained at the moment when it
22 becomes available.

23 I hope I've done justice to the very
24 substantial efforts that you guys do, and at the same
25 time to increase laboratory support both at Case

1 Western Reserve University and on the main campus in
2 Atlanta in anticipation of increased demand for back-
3 up pathology services.

4 And finally, to review and update current
5 infection control guidelines for patients and health
6 care workers.

7 That is the last of two slides. I realize
8 there is more, but this has been a long meeting. May
9 I have the next slide, please?

10 Protection is primarily assigned to Food
11 and Drug, but as Food and Drug pointed out repeatedly
12 throughout the preparation of the plan, they do
13 surveillance as well. The lines are not as neat as we
14 would like, but they are as neat as we need them to
15 be.

16 The core that I extracted from the FDA
17 section is to continue and is necessary to expand
18 import and animal feed surveillance, inspection, and
19 enforcement actions -- I'm sorry -- import and the
20 feed surveillance, inspection and enforcement actions
21 to control the use of mammalian protein in ruminant
22 feed, keep potential infectious products out of the
23 United States, and address the issue of chronic
24 wasting disease in domestic and elk.

25 If I could have the second slide.

1 That sentence can be applied to a lot of
2 activities, and Dr. Asher, who was a major
3 contributor, I hope will understand that I have tried
4 to summarize rather than to recite the plan.

5 The areas where FDA has protection include
6 -- and I think maybe I've got them all here -- blood
7 products, plasma derivative, transplanted tissues,
8 vaccines, other biological drugs, devices, cosmetics,
9 human food, human food additives, dietary supplements,
10 and the one that I extracted on the previous slide,
11 animal food. This is not a trivial undertaking. If
12 I've summarized the activity by continue and, as
13 necessary, expand, I hope I've got the essence of what
14 we're trying to do here.

15 If I may have the next slide.

16 And in addition, a very important
17 component of what FDA wishes to continue and, as
18 necessary, expand is consumer communication and
19 education, and maintain as necessary or possible, I
20 suppose you would say, expand the regulatory research
21 agenda, which includes tests for the infectious
22 transmissible particle -- I guess that's the right
23 word -- and inactivation of that agent.

24 If I may have the next slide, please.

25 In research at the NIH, to summarize the

1 portfolio very briefly, the pathophysiology of human
2 and animal prion associated diseases, methods of
3 transmission within groups and across species,
4 diagnostic test development, and preliminary work on
5 therapeutic strategies. I suppose that would be
6 everybody's general agenda for this.

7 But specific actions by the NIH,
8 establishing a repository for research reagents in the
9 next fiscal year; to double laboratory facilities
10 available over the next two years; to triple the
11 number of investigators in TSE research over the next
12 five years; and double or, if possible, triple current
13 spending for TSE research over the next two years.

14 One of the questions that came up in the
15 preparation of the report was where are your
16 deliverables. You are looking at them.

17 Next slide, please.

18 And in addition, for the component of the
19 research agenda at the NIH, the Acting Director, Dr.
20 Kirshstein, plans to convene special meetings -- well,
21 we plan to convene special meetings to identify the
22 major needs and opportunities for research in BSE and
23 TSE both in academia and in industry, a point to which
24 I will return in a minute, and the scientific quality
25 of the applications will determine the total funding

1 for this initiative, and there is in the report the
2 consideration of establishment of prizes for
3 achievement of major milestones.

4 One always hopes that that will not be
5 necessary, however, not just for budgetary reasons.

6 The oversight by the Office of the
7 Secretary. There has been established under the
8 leadership of the Commissioner of Food and Drug an
9 interdepartmental steering committee for BSE/TSE
10 affairs. It's chaired by Dr. Schwetz (phonetic), and
11 has representatives -- this is a test for those of you
12 who don't live in Washington, and I've made the test
13 easier for you because I did not give you the acronyms
14 -- for the State Association of Feed Control Officials
15 and National Association of State Departments of
16 Agriculture, nor for that matter for Customs or State.
17 The rest of the acronyms hopefully will be known not
18 only to the Beltway insiders.

19 The point here is that on a regular basis,
20 roughly monthly right now, all of these agencies,
21 Commerce, State, Defense -- yeah, Defense is on there
22 -- as well as Health and Human Services and government
23 consumer representatives do meet on a regular basis to
24 maintain oversight of this issue.

25 May I have the next slide, please?

1 And the goals of the oversight or
2 coordination of policies and activities among
3 agencies, including risk communication -- if I knew
4 how to do bold on Power Point, I would have made a
5 bold issue there. I would have "bolded" it because
6 that is now an ongoing concern of the department, to
7 make sure that the public is aware on terms that it
8 can not only understand but deal effectively with what
9 the government is trying to do and to integrate our
10 contingency planning.

11 You've heard at this podium before I came
12 to it thoughts about what would we do if there is a
13 case of BSE or a case of variant CJD in the United
14 States. That's up there. It is a responsibility that
15 we are aware of and are responsible for.

16 And identification of potential
17 vulnerabilities of the United States to prion
18 pathogens and development of responses to such
19 situations. That is obviously an ongoing task.

20 May I have the next slide, please?

21 There has been another proposal submitted
22 to the Secretary. Dr. Prusiner was an author of it,
23 and this is up here to acknowledge and to thank Dr.
24 Prusiner and his colleagues because it was, quite
25 bluntly, a stimulus to us, and it was appreciated on

1 that basis, and Dr. Prusiner will have the opportunity
2 to comment. I hope his comments will be supportive,
3 but part of my job is to receive them.

4 May I have the next slide.

5 To summarize our take on the proposals, we
6 believe that both proposals share a common vision and
7 enunciate common goals. If there's a major
8 difference, it is that Health and Human Services
9 basically proposes doubling of NIH research funding by
10 2003 and the Red Cross proposal is for about ten times
11 that amount, 250 to 350 million.

12 Now, Health and Human Services seeks input
13 from both academia and industry to identify additional
14 research projects and additional resources, both human
15 and physical, that could be recruited to this effort.

16 When the Secretary signed this proposal on
17 the 18th of June, he signed -- when he signed the
18 action memo endorsing the plan, which is how these
19 things are done in our circuitous way -- he also
20 signed an endorsement to convene a meeting of industry
21 representatives in his office. I mean, it's a term
22 we're going use loosely. It's an office. It's a nice
23 office, but it's not that big -- to seek input from
24 industry on two things.

25 The first is what research is there that

1 we're not doing, either that we're not doing or you're
2 not doing, you, industry, and ought to be done, which
3 is probably the easier of the two questions.

4 The second one is where are the additional
5 human and physical resources that are necessary to do
6 it. You saw part of the plan would, I think, double
7 the investigators in five years. I only know it takes
8 four years to go through medical school. Dr. Rohwer
9 has told me repeatedly how long it takes to get to
10 where you need to be in this field, and there is an
11 appreciation that it takes longer to get to where you
12 need to be in this field than in other fields because
13 the mice only get sick so fast.

14 And at the same time, it's hard to get
15 where you want to go in this field because you cannot
16 do this on the corner of a lab bench. We recognize
17 the unique, well, the specific, I guess I would say,
18 barriers to making progress in this field and will
19 seek input.

20 I would have liked to have had this
21 meeting before the first of September. Realities are
22 people take a break. People need to take a break, and
23 I just don't see it happening before the first of
24 September, and that's not just because the Secretary's
25 schedule is crowded before then, but there will be

1 telephone calls, and part of the telephone calls will
2 be to the list.

3 I think Dr. Freas, as part of his
4 responsibilities, does identify individuals and
5 industries who may be potentially conflicting for this
6 meeting. That's a quick and dirty source of contacts,
7 and I'll do secondary contacts, and the reason I'm up
8 here at eight o'clock and taking a little more than my
9 allotted time is to try to communicate to the
10 community through this audience that we really do want
11 to hear from you.

12 We anticipate that probably the big
13 companies would much rather pay for their own research
14 than go through the hassle of writing an RO-1, but we
15 don't know, and we'd like to find that one out.

16 Finally, if I could move on, very quickly
17 I'd like to in the last 30 seconds allotted to me here
18 expand slightly, if I could, on the subject of the
19 monitoring of the blood supply because that was a
20 substantial component of your discussions yesterday.

21 What are we going to do? And, very
22 quickly, where did we come from on this? In December
23 '97, we became aware of acute shortages of plasma
24 derivatives. In April of 1998, we had an Advisory
25 Committee on Blood Safety and Availability. That's

1 what that acronym stands for. This meeting made a
2 number of recommendations and received the support of
3 the plasma industry for regular monthly monitoring of
4 the supply, which began full time about October of
5 '98.

6 They started out in August '98, but we
7 have had and the public has had, a very important
8 point, monthly records of the inventory and
9 distribution. Inventory and distribution is a point
10 you're going to hear over again, of plasma derivatives
11 for albumin, intravenous immunoglobulin preparations,
12 in aggregate the various plasma Factor 8s and
13 recombinant Factor 8.

14 In February of 1999, the National Blood
15 Data Resource Center published a report that estimated
16 that in the year 2000 demand would exceed supply for
17 blood. That occasioned a second Advisory Committee
18 meeting, which in turn occasioned the Surgeon
19 General's Task Force, which led to an amendment to the
20 FDA's -- next slide -- blood action plan, which in
21 turn led to a contract to NBDRC for monthly monitoring
22 of the supply of blood in the United States. That is
23 a contract -- I'm going to jump over here for a minute
24 -- that for a variety of reasons has been transferred
25 to the Office of the Secretary, and that is for the

1 time being where responsibility for these monitoring
2 activities resides.

3 We do not know whether that will be
4 permanent. That's not in Transportation, and it's
5 probably a good thing that it's not.

6 Finally, the news of the BSE spread
7 throughout Europe, occasioned yet another meeting to
8 determine whether or not our monitoring of the blood
9 supply was going to be adequate for policy and public
10 information purposes.

11 Basically we determined that it was not.
12 Supply is half of the equation. Demand is the other
13 half of the equation, and that is assuming that there
14 is not a third or a fourth or a fifth half to the
15 equation. So we're moving to the second with the next
16 slide, please.

17 What we are initiating with a combination
18 of money left over and an agency tap is a pilot
19 project that will go from July 1 through September
20 31st basically to measure supply. The idea is that
21 there will be sentinel sites, a total of 29 of them,
22 in the Northeast, South, the Midwest, and the West, in
23 the four regions.

24 We have sent out 17 contracts. Dr.
25 Ewenstein and I had a conversation, so I can identify

1 that Brigham and Women's is willing to sign their half
2 of the contract, trying to draw a find line between
3 information and review and making eye contact with
4 some other people.

5 Dr. McCullough will be another contractor,
6 just to move on. I think the sites Boston,
7 Pittsburgh, Washington, and obviously New York. We're
8 going to double sample New York, two hospitals in each
9 community. We're going to put aggregate data out on
10 the Web for regions rather than individual hospitals,
11 and we do have a Web site that is secure from the
12 moment your finger hits the keyboard, to which we will
13 put it.

14 We're going to ask the sites to put this
15 up as a standard operating procedure. I mean, we love
16 each other and we trust each other, but if the
17 reporting is a standard operating procedure, then
18 you've got validation of your data, and you have
19 public confidence in the data, which to me is an
20 extremely important point.

21 We are going to ask on a daily basis -- it
22 doesn't matter if it's 12:01 a.m. or 10:00 a.m. -- but
23 how many units of A, B, AB, and O positive and
24 negative and platelets, apheresis, and random are in
25 the frig. This is total inventory, including

1 consigned inventory because consignments fluctuate
2 back and forth.

3 We'll get daily inventory, plus the next
4 is how many units were transfused, how many went out
5 the door, how many were exported to another site, say,
6 in your region, and how many were outdated. So we'll
7 have inventory and out-flow from which we could
8 calculate days of supply for each.

9 But there are some complications to that
10 days of supply. Monday is different from day of
11 supply on Friday. So that is why this is a private --
12 there will be very active collaboration not only by
13 the investigators, the participants, but we hope by
14 the public at large, but the key is going to be a
15 daily event log.

16 What we are asking for every day is the
17 answer to a simple question. Describe any actions
18 taken in the previous 24 hours in response to
19 discovering that supply of blood or platelets was
20 insufficient to meet demand.

21 If that field is blank, we're okay. If
22 that field isn't blank, we've got a problem, and the
23 idea is to identify where those problems are. This is
24 the initial data set we'll try to use to answer it.
25 Compare the data set, and we will go from there.

1 May I have the next slide, please?

2 Product development. I would emphasize
3 ongoing consultation with industry professionals. My
4 boards are in nephrology. I do AIDS work, and I'm a
5 bureaucrat. My job is to coordinate the needs both of
6 the government and the expert opinion of the public.

7 We will have an Advisory Committee
8 meeting, Blood Safety, on August 24th in which I will
9 get up and solicit criticism. I hope I can take it.
10 I've seen some of you take criticism yesterday. I
11 hope I'm as tough as you are, but we need public
12 scrutiny of this enterprise both to make it valid and
13 to make it useful.

14 I'll also be at the AABB. There will be
15 another chance for public comment at that time and
16 hopefully that process will continue. We may take a
17 six month extension until we get it right, but the
18 idea is to get it right and get it out for public
19 long-term contract under full Federal Acquisition
20 Regulations.

21 And finally, extend this to plasma
22 derivatives as quickly as we can. I think that's the
23 last slide.

24 But parallel activities, we will continue
25 the PPTA and NBDRC blood supply monitoring, consider

1 improvements based on experience, evaluate the use of
2 publicly available databases to monitor and project
3 demand.

4 I do have a full-time detailer, Dr.
5 Barbara Silverman from HCFA. Briefly, she is very
6 good. This is tougher than it seems.

7 The April paper by Paul Ness (phonetic)
8 and colleagues in Transfusion talks about some of the
9 limitations of the databases, and we're going to do a
10 validation study to try to replicate some of Paul's
11 work.

12 But the answer is that's not as simple as
13 it seems.

14 Ongoing consultation with stakeholders.

15 And the last slide, please.

16 To what end? The Red Cross has asked me
17 that repeatedly and bluntly. That answer is a simple
18 one. We need to communicate to you all what the real
19 supply of it is. We need to use communication to
20 achieve consensus (a) that there is a problem and (b)
21 on what to do, and the third one is action.

22 And I'm out of here.

23 CHAIRMAN BOLTON: Thank you, Dr.
24 Nightingale.

25 Any questions? No? Don.

1 DR. BURKE: The 29 sites that are going to
2 be studied, are any of those Red Cross sites or are
3 they --

4 DR. NIGHTINGALE: I'm sorry. These are
5 all hospital transfusion services. We already studied
6 26 supply sites. NBDRC's contract is for a
7 representative sample of producers, and that's how we
8 measure supply. This is for 29 sites how we measure
9 demand.

10 DR. BURKE: Okay. Thank you.

11 CHAIRMAN BOLTON: Dr. Crawford and then
12 Dr. McCullough.

13 DR. CRAWFORD: Yes. This is obviously a
14 national program for Department of Health and Human
15 Services. Two points. One, will there be an
16 initiative to unify all the departments of government
17 in this program, such as USDA and defense?

18 And secondly, what individual will
19 coordinate this overall effort?

20 DR. NIGHTINGALE: The coordination right
21 now is through the Interagency BSE. FDA put that
22 together some time ago, and we feel that that
23 coordination is adequate right now. All the agencies
24 meet on a regular basis, and people do schedule their
25 meetings around it.

1 Do you have meetings, say, between the
2 Secretary of Health and Human Services and the
3 Secretary of Agriculture?

4 I mean, in February when there wasn't much
5 going on in the first days of the new administration,
6 that seemed like a really good idea, but in March when
7 we got back to the previous levels and the calendars
8 filled up, that seemed like a less good idea. So
9 probably that's why we haven't done it.

10 CHAIRMAN BOLTON: Dr. McCullough.

11 DR. McCULLOUGH: Steve, I actually thought
12 of making a motion, and I'm told that procedurally
13 this is not the time to do it, but I think the action
14 that we took yesterday may very well this time create
15 a substantial supply problem, and so you mentioned the
16 proposal for expenditures through the Secretary's
17 office, and I would just urge that the Secretary
18 consider making a series of one time grants available
19 for innovative proposals in blood donor recruitment.

20 And I can follow this up with a letter to
21 you because I think over the next 18 months continuing
22 to send letters and call donors we've been doing for
23 40 years, and I think we're going to need very
24 innovative thinking in order to deal with the
25 situation over the next 18 months.

1 DR. NIGHTINGALE: I have to walk a fairly
2 narrow line here because I am not supposed to solicit
3 such proposals.

4 DR. McCULLOUGH: No, I just brought it up.
5 You didn't solicit.

6 DR. NIGHTINGALE: I didn't plant the
7 question.

8 DR. McCULLOUGH: No.

9 DR. NIGHTINGALE: But the Secretary --
10 America's blood centers and others have proposed to
11 the Secretary a plan in roughly the amount of \$10
12 million in the coming fiscal year for innovative
13 grants, and one of the suggestions has been made that
14 this operated roughly at least for the first year in
15 parallel to HRSA's organ donor recruitment efforts,
16 which procedurally have been quite successful.

17 There is support within the Office of the
18 Secretary for that proposal.

19 CHAIRMAN BOLTON: Dr. Lurie and then Dr.,
20 Prusiner.

21 DR. LURIE: As I took the action time,
22 what I mostly heard that was new was more about the
23 surveillance system, more about research at NIH, some
24 new meetings, which are all important to do, but I
25 guess what I'd like to hear from you is what you're

1 doing about some of the more concrete issues in BSE
2 and VCJD prevention in this country, and in particular
3 two of them.

4 One is progress on the policing of the
5 feed ban, where a significant fraction of the
6 renderers and manufacturers and so forth have not been
7 adequately or even at all inspected.

8 I guess related to that, whether there's
9 any discussion as has sometimes been intimated by FDA
10 officials of extending the feed ban in particular ways
11 or removing particular exemptions, and then the second
12 area would be about dietary supplements, what concrete
13 action, you know, the Agency, I guess, defined in HHS
14 is planning on doing on those things.

15 DR. NIGHTINGALE: I'm certainly going to
16 have to apologize that I am not prepared to give you
17 a detailed response to that. If we could at the break
18 discuss who the most appropriate federal official
19 would be for it, but those are not my areas of
20 immediate expertise, and I do make a commitment to
21 let's talk, and we'll find the people who can give you
22 the answer to the question. I'm just not that person.

23 DR. PRUSINER: Excuse me. I'm delighted
24 to learn about the NIH's plan to double laboratories,
25 triple funding. It fits with the document that you've

1 passed out that's prepared with my colleagues for
2 Secretary Thompson.

3 What I'd just like to add is that the
4 reason that we saw a much bigger need than the NIH is
5 willing to fill at this moment is that I think -- and
6 it really, I think, reflects on the conversations
7 yesterday in this committee. We constantly didn't
8 have enough data to make informed decisions. It's
9 because we don't have good enough tests. It's because
10 we don't understand the details of these diseases
11 sufficiently.

12 And my hope -- it's not a dream; it's a
13 hope -- is that we will do much more at the scale that
14 we have proposed than the current plan calls for so
15 that we can get this information. We can not only
16 train young people, as you were alluding to, which is
17 extremely important, but we can entice people who are
18 extremely good scientists and well established to move
19 into this field.

20 And I would like to stress that I think it
21 is the long-term funding, the long-term program which
22 is the only way to entice young people to get into
23 this field and to ask some people -- to entice some
24 people, I should say, not to ask them, but to entice
25 them to make career changes because there is no

1 question about the interest in the area. It is a
2 matter of funding.

3 DR. NIGHTINGALE: That suggestion has been
4 received with respect, and I hope that my presentation
5 reflected that respect.

6 DR. PRUSINER: It did.

7 CHAIRMAN BOLTON: Dr. Nightingale, I can
8 see that this is a broad, interdepartmental plan.
9 Where does the responsibility for implementing this
10 plan lie? Does it lie within DHHS?

11 Who's going to make sure that things
12 happen? Certainly not the committee that oversee it.

13 DR. NIGHTINGALE: I think that's a fair
14 statement, and, by the way, the Secretary of Health
15 and Human Services, what you see there, number four,
16 oversight, I may have slung that past a little bit too
17 fast and said a little bit more about the coordinating
18 committee because on a day-to-day basis, this is not
19 something that's going to go into the Secretary of
20 Health and Human Services' Office. If you try to
21 manage this from the Secretary's office, it will fail.

22 I'm watching several such attempts right
23 now, and this is not Republican or Democrat.
24 Everybody wants their plan to be at the Secretary's
25 office. Hopefully what will happen is that this will

1 come up to the Secretary's office for regular review,
2 and I am the conduit. I am the Office of the
3 Secretary representative to that steering committee.

4 When something goes wrong, it goes to the
5 Secretary. As long as things are going right, it's
6 the Steering Committee.

7 CHAIRMAN BOLTON: thank you.

8 Any other questions?

9 (No response.)

10 CHAIRMAN BOLTON: Thank you, Dr.
11 Nightingale.

12 Okay. Now we will move on to topic number
13 three, which is the update of the interim results on
14 a new study on the activation of TSE agent by the
15 manufacturing process of gelatin, and I just want to
16 tell the committee that it's our pleasure this morning
17 to be talking about science and not about policy. So
18 we don't have to be sitting here thinking about what
19 decisions we have to make or how this is going to
20 influence a vote on some question.

21 But what I would like you to consider is
22 as the representatives present the data, to consider
23 the study design and prepare in your mind any
24 questions you have about the way the study was
25 designed, and to also consider what information, in

1 addition to what's presented or within what's
2 presented you would need to make policy decisions once
3 these studies are completed and the information is
4 presented in whole to us to begin our policy
5 recommendations.

6 So with that, we'll begin with Dr. Yuan-
7 yuan Chiu, who's from the FDA, and she will introduce
8 the topic.

9 Dr. Chiu.

10 DR. CHIU: Good morning. Can you hear me?

11 Good morning, Dr. Bolton and members of
12 the committee.

13 Dr. Bolton said we're not going to discuss
14 policy this morning. However, in order to help you to
15 understand how we get to here, so I'm going to give
16 you a brief summary on the evolution of gelatin
17 policy.

18 Next slide.

19 All right. We have the BSE academic, the
20 United Kingdom, early '90s. The agency has issued a
21 series of letters to industry in the period of 1992
22 and 1993. In the letters the agency has request the
23 industry not to use bovine derived materials derived
24 from cattle which have resided in or originated from
25 BSE countries.

1 The letters were later published in the
2 Federal Register notice in August of 1994. The
3 letters to the food and cosmetic industry stated the
4 milk, the dairy derivatives, and the gelatin are
5 exempt from this policy.

6 However, in a letter to the pharmaceutical
7 industry, we did not state that gelatin was exempt.
8 So, therefore, it raised the question from the
9 pharmaceutical grade gelatin manufacturers about their
10 status. So at that time, the agency reviewed the
11 information available and then made a decision and
12 issued a letter on July 1st, 1994, to the gelatin
13 industries, stating the agency does not object to the
14 use of bovine derived material from BSE countries in
15 the manufacture of pharmaceutical grade gelatin.

16 At that time, the Gelatin Manufacturers of
17 Europe also started to come back with a validation
18 study to determine the inactivation capability of the
19 manufacturing process.

20 Next.

21 Early in 1996, the British government
22 announced the discovery of the ten new variant CJD
23 cases which might be associated with BSE. With that
24 information, the agency then in May of 1996 issued
25 another series of industry letters to alert the

1 industry of the new VCJD information from U.K. and to
2 reiterate the earlier recommendations.

3 So at that time then the gelatin was still
4 exempt from the requirement.

5 During the same time, around the same
6 time, then the agency received the validation study
7 without from the GME. After reviewing the data, the
8 agency decided those data should be shared with our
9 Advisory Committee.

10 At that time we only had a CJD Advisory
11 Committee. So the agency decided to convert the
12 committee to a TSE committee. So that would cover all
13 of the TSE diseases, not limited to CJD.

14 So on April 23rd and 24th of 1997, we had
15 the first Advisory Committee meeting. The topic
16 brought up to the committee was on gelatins. The
17 manufacturing process, the inactivation studies were
18 thoroughly reviewed, and with the deliberation of the
19 committee, the agency then went back to evaluate the
20 safety of the gelatin, and at the same time, the GME
21 committed to conduct a second study.

22 This is the second study we're going to
23 talk about today.

24 Next slide.

25 So after the internal review and

1 evaluation, the agency decided we should really
2 approach the gelatin safety based on its route of
3 administration to humans. So in October 1997, the
4 agency published the gelatin guidance and made the
5 modification to our policy.

6 The modification includes the remove of
7 exemption of bovine bone and hide gelatin derives from
8 BSE country; the manufacturing of injectables,
9 ophthalmics, and the implanted products.

10 With regard to oral and topical use of
11 gelatin, the agency recommended several safeguards.
12 One of them is to use BSE-free herds, and they also
13 recommended the removal of spinal vertebrae and
14 spinal cords from the bonds of the bovine material
15 sourced from countries with reported BSE cases or at
16 high risk of BSE.

17 So this is the current policy implemented
18 since 1997.

19 In April 15th and 16th of 1998, the TSE
20 Advisory Committee met again, and we introduced the
21 gelatin guidance, and the agency described the changes
22 regarding to the injectables, ophthalmics, and
23 implanted products, and also introduced the safeguards
24 recommended for oral and topical use of gelatins, and
25 the recommendation in the gelatin guidance was

1 accepted by the committee.

2 Next slide.

3 So recently the agency has received two
4 reports which describe the interim results of the
5 second gelatin study. Last week the agency also
6 received a third report, which is also in your
7 package, and so therefore, here we're today to discuss
8 the interim results because they are preliminary data
9 and, therefore, the agency felt it's too early to make
10 a decision just based on interim data. Therefore, we
11 would like to share the information with you, but we
12 felt to ask you to make a recommendation would be
13 premature.

14 That's why we have not formulated the
15 questions specifically for you to answer, and we will
16 be looking forward to listening to your discussion.

17 Thank you.

18 CHAIRMAN BOLTON: Thank you, Dr. Chiu.

19 Are there any questions for Dr. Chiu?

20 Peter.

21 DR. LURIE: Can you just clarify to what
22 extent the existing guidelines make a distinction
23 between gelatin derived from bone and gelatin derived
24 from hide?

25 DR. CHIU: With regard to bovine gelatins,

1 we do not distinguish bone from hide. If you looked
2 at prohibition for injectables, implanted products,
3 and ophthalmics, it was stated bone and hide gelatin
4 from bovine source. So we do not distinguish that.

5 With respect to topical and oral use, we
6 do not distinguish bovine bone and hide, but we do
7 distinguish porcine gelatin from bovine gelatin.

8 CHAIRMAN BOLTON: At one time wasn't there
9 a differentiation between bone and hide?

10 DR. CHIU: Because we believe during the
11 slaughtering of the cattle the hide could be
12 contaminated with neural tissues.

13 CHAIRMAN BOLTON: Maybe I'm
14 misremembering. I thought at one time that there was.

15 Any other questions?

16 (No response.)

17 CHAIRMAN BOLTON: Okay. Thank you very
18 much, Dr. Chiu.

19 Our next presentation will be by Dr.
20 Michel Schoentjes, and he will present the European
21 view of the European Gelatin Manufacturers.

22 Dr. Schoentjes.

23 DR. SCHOENTJES: Mr. Chairman, ladies and
24 gentlemen, good morning. First of all, I wish to
25 thank you to give GME the opportunity to make

1 presentation, through thanks to Dr. Rowher about the
2 latest results of the global study still going on and
3 of which we have early details.

4 Before we get the scientific results, I
5 will give you a brief summary of the situation in
6 terms of safety of gelatin vis-a-vis BSE because this
7 has obviously evolved since '97 when we had this more
8 outstanding discussion.

9 Next, please.

10 First of all, a small reminder. Who are
11 GME? GME, Gelatin Manufacturers of Europe is an
12 association representing 11 members, companies
13 operating 27 gelatin plants around the world,
14 including three in the U.S. and three elsewhere
15 outside Europe or U.S.

16 In Europe themselves, they produce about
17 45 percent of the world production of gelatin, and
18 this gelatin is of all current available types. The
19 main ones are from bovine bone or hides, as was just
20 said; also from porcine bone and hide; and then a few
21 other less important in volume from other raw
22 materials, like fish skin, for instance, and there are
23 a few further being developed because of all of this
24 context.

25 Next, please.

1 What are we talking about when we're
2 talking about gelatin vis-a-vis a concern with BSE?
3 In this table, you can see I have put on left-hand
4 side two main processes, on the right-hand side the
5 four main sources of raw materials, what tissues are
6 being used from what animals.

7 And in the box you find is there a
8 concern, yes/no, about the possible risk which you
9 see, and also in what kind of application are these
10 types of gelatin being used.

11 Clearly, in here you will see that the
12 concern is only with bones from bovine or ruminants in
13 general. All the other we will not be talking about,
14 considering that our concern today is especially vis-
15 a-vis the TSE.

16 Now, what is one of the concerns that we
17 discussed with FDA? That is that in the United States
18 there is a good deal of importation of bovine bone --
19 that's the right-hand side column -- bovine bone
20 gelatin that is used for the pharmaceutical industry
21 and in the paramount for the capsule industry, and as
22 you know, capsules are very popular both for actual
23 pharmaceuticals and for dietary supplements.

24 In this the concern was do we really need
25 in the United States to import this gelatin. Well,

1 when we looked at this table, the need for the capsule
2 industry in the States is about 10,000 metric ton of
3 gelatin. There is a variable produced in the States
4 from U.S. source bones, about 5,000 tons of this
5 gelatin. So they are missing another 5,000 tons.
6 This has to be imported because the production
7 capacity of the American gelatin industry for the time
8 being is limited to this.

9 It was much higher in '97-'98 when we
10 reviewed this. This is half and half. It used to be
11 80 percent/20 percent.

12 Traditionally this gelatin are coming from
13 European plants, and it's still going on this way, and
14 it's imported with special permit from USDA since the
15 '93 restricted rules from the FDA, and also with some
16 formal certification from the manufacturers and so on
17 with all this, et cetera, for the compliance with the
18 October '97 guidance that Dr. Chiu just talked about.

19 Now, if we have to import, at least can we
20 do it from U.S. sourced bones? So going back, and
21 that's a little bit more of an industrial calculation,
22 there is a need for this 10,000 metric ton of gelatin
23 for the capsule industry in the States. The domestic
24 production of degreased bones in the States is about
25 130, a little more, 130,000 metric tons.

1 But from these bones -- and we are dealing
2 with bones here -- from these bones used for
3 photography worldwide, broadly in the States, but also
4 in other places like Europe and Japan, there are
5 already 90,000 tons being used, used for capsule and
6 pharmaceutical manufacture outside the U.S., and that
7 is, again, Europe, Japan, et cetera. There are about
8 15,000.

9 So left available for making capsules, for
10 gelatin for capsules inside the U.S., about 28,000
11 tons. So there is a lack of a good 30,000 tons of
12 American bones for making gelatin whether in the
13 States or outside for the capsule industry.

14 So we have to source bones elsewhere, and
15 there we're looking for non-U.S. bones in Asia,
16 Africa, Europe, and that's the question today. The
17 availability are limited. As you can see here, I put
18 selectively compliant because in certain operations it
19 is compliance. In others, it is not. I'm speaking on
20 behalf of GME. So I cannot quote the one or the
21 other, but this is available, of course.

22 Okay. Next.

23 I have in this chart, necessarily
24 important, it can change every six months or so, but
25 it's important because it gives you a review of the

1 major constraints of a different source of bones.

2 Here are the origin of the bones that can
3 be used and are currently used for making gelatin.
4 You have United States, South America, Australia, New
5 Zealand, and a few other smaller countries. These are
6 the countries where no BSE has been reported, and they
7 are categorized as no risk of BSE being present by
8 European Scientific Steering Committee.

9 In Europe, Asia, Africa. Asia is a
10 traditional source, especially with Pakistan and
11 India, of course, and today there is also People's
12 Republic of China, but we have not included that in
13 here because they are consuming all their bones
14 themselves.

15 Okay. The quality, well, just actually
16 the source of the bone. Typically there are some
17 porcine in European bones. Restrictions vis-a-vis
18 USDA '93 restrictions also mentioned. FDA -- when I
19 say "FDA," I'm referring to the '97 guidance --
20 compliant, compliant, selectively compliant, some are.
21 Compliant, 420,000, compliant for 10,000.

22 This is important. There is a little note
23 there. This is what is compliant to the knowledge of
24 GME because they actually control audit, have contract
25 conditions with the suppliers.

1 In Asia, India, Pakistan, and the same
2 applies to Africa, they are much more available,
3 especially in India. They're a very huge industry,
4 but they don't apply necessarily the same restrictions
5 and the same, say, conditions that Gelatin
6 Manufacturers of Europe apply to them.

7 Okay. This is for American rule. This is
8 European rule. Category, that's geographical, BSE
9 risk evaluation, Category 2, 1, 1. One is free,
10 definitely free with no hesitation. Two is free, but
11 possibly there is a risk.

12 You see the United States is two. Only
13 this country is number one, and this country, number
14 one, we talk about the limited supply because I can
15 guess your reaction immediately.

16 Removal of specified risk material all the
17 way through, that's easy. Spines which have now
18 become specified risk material in Europe for a few
19 months, they are not removed in this origin. They are
20 now, and that's in progress, and they are here as
21 well.

22 And here also refer to the European
23 Pharmacopeia, and the Pharmacopeia has actually
24 adopted the note for guidance issued by the European
25 Medicine Evaluation Agency where they have made a

1 complete recommendation for the gelatin. All of this
2 is compliant as well.

3 Now, when we look to the availability,
4 keeping in mind that we need about 30,000 metric ton
5 to complete the lack for the American capsule
6 industry, we see, well, we have here the total that
7 we've been working on. Number one, which looks
8 attractive, we have only 6,000 tons available roughly,
9 and that's stretching even.

10 In Europe, there is porcine bone marrow
11 available more and more, and the bovine, there is a
12 good deal which is now also completely compliant, not
13 all of it, and for Asia and Africa, we have about
14 30,000 tons, and that's where we have to work.

15 I cannot say which member company of GME
16 is sourcing this in this place. This is to be
17 arranged with individual customers for gelatin, but
18 that is where the gelatin is sourced from to complete
19 the 30,000 tons missing, and that's the issue, of
20 course, of concern to FDA.

21 Now, the background -- may I have the next
22 slide, please -- the FDA guidance deals, of course, a
23 lot with the raw material, as Dr. Chiu just said. In
24 Europe and for all the plants operated by the European
25 Gelatin Manufacturers Association members, we use only

1 raw materials from healthy animals slaughtered in
2 slaughter houses declared -- the animals, of course,
3 not the slaughter houses -- fit for human consumption
4 with a post mortem inspection, certified, documented
5 traceability from the gelatin, finished gelatin, all
6 the way up to the slaughter house, audit by and the
7 Gelatin Manufacturers audit by veterinary authorities,
8 the supplies of it also by the gelatin producers.

9 And the sources are taken according to the
10 classification GBR that have been showing on the
11 previous table, summary table there.

12 Thank you. Next, please. Now, oh, I
13 skipped one maybe. Yes, sorry.

14 Now, when we go to the regulation
15 controlling the gelatin industry, first, a reminder.
16 In Europe, contrary to the United States, food and
17 feed products, on one hand, pharmaceutical, cosmetics,
18 medical products are regulated by different
19 authorities. That means that the idea of FDA
20 regulated products under the responsibility of FDA is,
21 in fact, split in a different way in Europe, and these
22 authorities also have different behavior, just as a
23 background.

24 Now, for food grade, and here I will
25 explain how it works, there is a major decision that

1 is in 99/724, which gives a global rule, really good
2 manufacturing practice specifically for gelatin. How
3 do you do it, all the way through; I have a slide on
4 it, and if you want to have more questions, anyway, I
5 will show it briefly afterwards.

6 It requires, of course, registered
7 premises obeying to a series of rules. It gives two
8 typical constraints as far as TSE is concerned, but it
9 gives all the rest, as well, and also it is influenced
10 by this decision, which is a recent one, as you see.
11 That's the new specified risk material rule
12 prohibiting the use of skulls, brain and everything
13 for whatever, human food or animal feed is concerned.

14 For pharmaceuticals, the situation is
15 different. The gelatin being a ruminant product,
16 possibly presenting a risk for BSE, in order that it
17 can be used in pharmaceutical, they define with a
18 marketing authorization. The gelatins, like other
19 ruminant products, have to go through a certification
20 by submitting a complete dossier of the manufacturing
21 process, the source of raw materials, the plant where
22 it's manufactured, et cetera.

23 Some kind of marketing authorization of
24 itself, but it's a certification, and this
25 certification is done by the European Directorate for

1 the Quality of Medicine, which depends -- it's a
2 branch of the European Pharmacopeia.

3 All of the constraints there is just to
4 demonstrate that this very product, in this case
5 bovine gelatin, complies with all the recommendations
6 from the EMEA, European Medical Evaluation Agency,
7 that you comply with all of these recommendations.

8 And it's important. I have another slide
9 on these recommendations, but this decision, the last
10 one, just tells you it's a one-sentence decision that
11 all product presented for BSE should comply with the
12 recommendation from EMEA.

13 Next one.

14 That's for a change. To summarize this
15 regulation, you have the food, the farmer, bovine
16 product, porcine. Okay. This is dealing with BSE
17 constraint. This is more of a religious constraint.
18 So for both this type of gelatins, you have the
19 general standards of the finished product. Okay.
20 Here it's the Pharmacopeia, U.S., Europe, Japan, what
21 have you. Here it's all the standards for the food
22 products that you have around the world, including the
23 Codex, of course, the food Codex. So that's global.

24 Now, for the food gelatin covering all
25 grades of gelatin, you have to comply with this 724

1 giving all the rules. So that's, again, what I said.

2 For the food, you have also the influence
3 of the specified risk material regulation, but that's
4 only for BSE and only for bovine or ruminants, and on
5 the other hand, you have symmetrically, of course, the
6 general. You have for BSE the European Pharmacopeia
7 with the EDQM certification of compliance, with this
8 famous note for guidance, and that's the obligation.

9 And I have put here in between the
10 situation with FDA because FDA runs on both sides
11 here. It's only dealing with the BSE issue. Okay?
12 Nothing to do with the porcine, and this guidance
13 applies on both sides.

14 It has a big advantage to be homogeneous
15 because there are certain heterogeneity between these
16 two, this one being the most severe.

17 Okay. Next.

18 Now, for preventing the background in
19 Europe, assuming you source your bones in Europe, but
20 for sourcing locally and for importation, the same
21 concerns apply. And here I have listed without making
22 the reference because that would be an end of this,
23 there is a total feed ban, and according to the
24 countries, well, Britain started in '88, Switzerland,
25 France, and Netherlands, I believe, in '90, and so on

1 and so on.

2 Meat and bone meal then now complete for
3 all animals, contrary to what the States have, by
4 calcium phosphate, selective ban of fat, et cetera, et
5 cetera.

6 There is a testing program going on now in
7 the various countries for all animals at risk like
8 foal and stock and things like that, and also for isle
9 (phonetic) animals more than 30 months. This is going
10 to be brought down to 24 months. Destruction of the
11 affected animals to herds and so on, removal of
12 specified risk manure materials.

13 Now, also once going further, ruminant
14 byproducts no longer allowed as fertilizer, meat and
15 bone meal, and still in certain places one can use
16 dicalcium phosphate as fertilizer, but not everywhere,
17 and anyway, commercially the people don't want it
18 anymore.

19 There is this important classification
20 according to the BSE risk of the various countries
21 which should be documented, and that's available. The
22 full document is available on the Internet.

23 There are trade restrictions, and that's
24 specifically for gelatin. Only porcine gelatin is
25 still allowed in animal feed for vitamin concentrates.

1 All the rest, gelatin is forbidden also for animals
2 just not because it has been shown that it could be
3 dangerous to avoid any cross-contamination with other
4 proteins, et cetera, and to make sure.

5 Thank you.

6 This is the rule for the food gelatin --
7 I don't know. I still have a few minutes -- for the
8 food gelatin in Europe. So it's eight parts in this
9 rule, and it's dealing with the old description of the
10 establishment, the raw materials, the transportation
11 of these raw materials, how you manufacture the
12 various process, bacteriology, residue of final
13 product, packaging, storage, importation of third
14 country requirement, and there is a lady there who has
15 been suffering on this when it was going about gelatin
16 from the United States, and commercial documentation
17 that has to follow with the goods every time.

18 For BSE, there are two chapters concerned:
19 source of raw materials and process to be used, saying
20 roughly that these are the existing four categories of
21 countries, BSE free, provisionally BSE free, low BSE
22 risk and high risk.

23 High risk is forbidden anyway, and that's
24 typically a U.K. particle. Low BSE risk number three
25 is the only one which requires in this chapter that

1 you use only an alkaline process based on earlier
2 results showing that alkaline process has a higher
3 deactivating potential vis-a-vis TSE.

4 And of course, raw material, the source is
5 also influenced. So you cannot take from U.K. You
6 preferable take from Category II countries, I or II.

7 Next.

8 That was for the food. Now, very
9 important is the issue of the pharmaceutical gelatin.
10 So the EMEA note for guidance, first issued in '92 and
11 revised every so often till 2000, says several things,
12 and its rules was a lot of common sense, practical
13 common sense.

14 When possible, first -- it is a summary,
15 of course -- avoid ruminant material. Okay, but is
16 good to say. The risk can be greatly reduced by
17 controlling three things, and that is where they
18 insist very much that you cannot just insure safety on
19 the grounds of either the raw material or the tissue
20 that you use or the process that you use. You have to
21 look at the three different things before you can say
22 we're feeling safe and comfortable with the story.

23 So the country of origin and they issued
24 this before these categories were brought in. So
25 that's why it doesn't take the four categories here,

1 but, say, no BSE plus a series of conditions. Then
2 it's okay, most satisfactory. You can use also from
3 low BSE case country like you have in European
4 continent, providing another series of conditions, or
5 from high incidence, provided closed herds and things
6 like that.

7 So that's, again, the same as for the
8 food, but expressed in a different way and slightly
9 tighter.

10 Nature of tissue, prefer Category IV. You
11 have in the World Health Organization publication a
12 summary of the infectivity of the various tissues
13 where high infectivity all the way down to never
14 infectivity being identified. Of course, that is the
15 one which is preferred. That's the one where in
16 Category IV you find the actual bone tissue. I'm not
17 talking about marrow, and the skin tissue.

18 And of course, avoid cross-contamination.
19 That's especially that.

20 Production process, they say you should
21 carry out validation studies on your process to see.
22 They have -- this is the general thing. They also
23 give for a series of products special rules, and there
24 is a little chapter about the gelatin, and they take
25 all of these details, again, but giving skull, spinal

1 cords, spines depending on the geographical risk. So
2 not always, but they also ask for some practice.
3 Should be ISO 9030 certified for quality; should be
4 HACCP applied for the BSE and cross-contamination
5 risk, and so on.

6 Traceability, audit of supplies, all the
7 system. Okay.

8 For bovine hide, there is less concern.
9 They just say avoid cross-contamination, but because
10 of the slaughtering practice, that after the head is
11 cut off of the animal, the skin is the first thing
12 taken away before any other carving. There is very
13 little risk there, and the skins are very much washed
14 and treated with lime and salt, et cetera, before they
15 can go further. So there is quite less concern on
16 that.

17 An important thing with these little stars
18 here is that this rule says that the manufacture of
19 the product, in this case the gelatin manufacturer,
20 should present a risk assessment, that is, how much
21 infectivity might you have in your product and how
22 often would it occur, say, in a year's time that you
23 have infected material getting in your system and
24 getting out.

25 That's what you all have to present and

1 make nice, fat dossier in order to be examined by the
2 European Pharmacopeia, allowing you to say this is
3 certificate of suitability. We can use this.

4 Nearly all, yeah, ruminant gelatins
5 manufactured in Europe have been through this exercise
6 which is lasting now for a few years. There are also
7 non-European manufacturers who have been through this
8 exercise, especially Japanese and Indians are trying,
9 but with less success, and so on.

10 The older products and the companies
11 having a certificate of suitability, according to this
12 procedure, are also available on the Net, on the site
13 of the European Pharmacopeia.

14 Thank you.

15 Well, just to conclude the brief summary
16 of the action and DME believes that they have been
17 proactive taking measures, as you can see, starting in
18 1990 we already start talking about the scientific
19 bioassay to check whether there is any infectivity or
20 whether it would be reduced to the process.

21 We discussed in '91 with the members, with
22 experts, and the European Commission about a study
23 design, what is available. Here this say the animals
24 for bioassay susceptible to this or this type of
25 transmissible spongiform encephalopathy were not

1 available like today ten years ago.

2 We make a presentation and discussion
3 after big BSE convention in Heidelberg on the same
4 subject. The start of the first was done in Inveresk,
5 Scotland in '93 just with the chemical treatment. In
6 '94, GME committed themselves for standard conditions
7 both on the requirement of the German government and
8 also vis-a-vis the FDA, and Dr. Chiu spoke about the
9 '84 meetings here.

10 In '95 there was a study carried out full
11 scale with noninfectivity, but it's an important one.
12 On the degreasing of the bones, the fresh bones
13 crushed, washed, et cetera, or finally dried. This
14 degreasing operation removed potential nervous tissue.
15 How much? Nobody knew really because there is a
16 little fat left, of course, and it appeared that it
17 removed close to 99 percent of nervous tissue that
18 could be there as measured by some specific trace of
19 proteins. So it's an important thing.

20 Yes, thank you.

21 '96, and that was '96. March '96 was the
22 panic with the human cases in Britain. We start a
23 repeat study in Inveresk, and it was second and third.
24 The second was just a repeat. The third was adding
25 two operations to see whether not only mathematically,

1 but also whether physically and chemically the two
2 operations' efficiency to remove infectivity would add
3 up, and apparently they do at the accuracy of the
4 measurements.

5 In '97, before specified risk material was
6 compulsory removed from all of the ruminant products,
7 GME managed within their 11 members to agree to remove
8 no use of any skulls anymore. Then they did similar
9 things with the spinal cord and so on every time
10 before the authority imposed it.

11 There was a decision to exclude spinal
12 cord in '98, and in '98 we got the results of this
13 study, received two years necessary for the animals, a
14 nd in '99 we start a comprehensive study.

15 Dr. Chiu said that that one has to look
16 into how the study was designed. The protocol of the
17 projects have been submitted to a series of experts,
18 and we have altered -- there have been several issues,
19 and so on. The latest one is dated November '99. It
20 involves, amongst other, the basic principle that we
21 should look also to physical operations within the
22 process, and that we should run all from a fresh bone,
23 well, with whatever's left on it, including the
24 marrow, with the highest possible infectivity brought
25 in; goes through the whole process all the way down to

1 the gelatin and see whether there is anything left,
2 provided you put to start with a very high infectivity
3 level.

4 This infectivity level that was put
5 systematically in it was about ten to the fifth power
6 of the infectivity that would represent the worst
7 case, that is, that you use only spines with the
8 spinal cord and only from infected animals. So the
9 infectivity we put in there was about ten to the
10 fifth.

11 And now I think Dr. Rohwer, who has
12 everything in his hands, will give the more scientific
13 part of it, and I'm certainly looking for it.

14 Thank you.

15 CHAIRMAN BOLTON: Thank you, Dr.
16 Schoentjes.

17 Are there any questions before we move on
18 to Dr. Rohwer? Yes.

19 DR. CRAWFORD: Yes, my question is
20 twofold. One is are there non-members of GME, and if
21 so, what percentage do they export to the U.S.?

22 DR. SCHOENTJES: There is one company
23 making similar to gelatin product, but it's more like
24 hydroysates (phonetic), and I don't know that they
25 export anything to the U.S., and certainly not for the

1 capsule industry. Otherwise all of the European
2 produce for our members there.

3 DR. CRAWFORD: The second thing is are
4 these regulations that the U.S. promulgated rigidly
5 enforced. Are there inspectors in the plants or are
6 these just guidance to the industry?

7 DR. SCHOENTJES: Oh, no. Most of them are
8 now rigidly implemented. One thing one should see,
9 these European regulations, they are so-called
10 decisions. That means that they have to be translated
11 in national law in between the member states are
12 allowed not to apply it from strictly legal point of
13 view.

14 In practice, because of the open markets,
15 they are actually applied by the companies or the
16 citizens, say, because otherwise they cannot do their
17 within Europe business. And I give, for instance, the
18 example of importation of goods. There is no border,
19 say, between France and Germany anymore, which is good
20 news, and that means that if the regulations are
21 different, there is no control there. There are no
22 customs officers and veterinarian checking.

23 But if you go in Hamburg or in Marseilles
24 and you import from third countries, they will not
25 apply only the national rule. They will apply the

1 European rule because the man in Hamburg is
2 responsible of what's being imported in France and
3 vice versa.

4 So in practice, these European rules are
5 being applied very quickly.

6 DR. CRAWFORD: So how many countries have
7 adopted the rule?

8 DR. SCHOENTJES: Well, most of this rule
9 are adopted within the year that they're published.

10 DR. CRAWFORD: Then of the countries have
11 or 15 or how many?

12 DR. SCHOENTJES: Oh, yeah.

13 DR. CRAWFORD: At the national level.

14 DR. SCHOENTJES: Out of the 15, we can
15 give a figure. Reiner (phonetic), help.

16 PARTICIPANT: Fourteen.

17 DR. SCHOENTJES: Fourteen, yeah.

18 CHAIRMAN BOLTON: Stan.

19 DR. PRUSINER: Thank you for a very
20 interesting presentation. I was a little
21 misunderstood yesterday, and I was glad that Steve
22 DeArmond corrected that in terms of what I really care
23 about. I really care about all people. I care about
24 individual European people and their health every bit
25 as much as I care about American people and their

1 health. The issues of business are slightly different
2 when I hear what I heard yesterday.

3 I'm just curious, and in a perfect world,
4 and let me just throw this out, and I'm very
5 interested in your reaction, I mean, I see that the
6 vast bulk of gelatin ends up in film, not for
7 photographic uses. Now, that's a huge sink. In a
8 perfect world, wouldn't it be that you would take
9 European gelatin and use it for photographic film, and
10 you would take another country where there is no
11 record of BSE or an area where there is no record of
12 BSE, and you would use that for all the capsules
13 whether it's Europe or the United States or wherever
14 it is?

15 And I'm just curious whether your
16 association has ever sat down and really thought about
17 this in global terms like that or whether this is just
18 something that is beyond anything that you guys think
19 is practical.

20 DR. SCHOENTJES: We certainly think about
21 it globally. That's certain. Now, the gelatin
22 industry is really also a global business worldwide.
23 That's for sure. But looking at the size of the
24 business, it's, in fact, fairly small in terms of, you
25 know, cash, and the way of photographic industry as

1 compared to gelatin industry. So much larger; the
2 pharmaceutical industry is so much larger.

3 The gelatin industry hasn't much of a say
4 in this area, and that's why we cannot so much
5 influence when we would say we'd like to put to the
6 photographic industry these bones which are less safe,
7 et cetera. They would say, "No. We paid for it. So
8 we need it."

9 And they can make the decision. Moreover,
10 they are integrated. They have their own gelatin
11 certainly in the States. They have their own gelatin
12 manufacturing, huge plants, and they have a pressure,
13 say, that the gelatin manufacturers don't have the
14 same way.

15 The second thing is that in our plants, in
16 a plant where we manufacture this type of gelatin, one
17 manufactures both for photography and for
18 pharmaceutical, slight process difference with a
19 chemical somewhere else. So nothing else.

20 We don't want to have this second class
21 raw material getting in the same place. So we want to
22 have everything suitable for pharmaceuticals, and
23 photographic gelatin is not safe, suitable for food or
24 for pharmaceutical, but in practice, look at the
25 specifications. It's okay.

1 And not here in the States, but in Europe,
2 we also have questions from Occupational Health and
3 Safety. They say we are making photographic film. We
4 are handling bags of gelatin. Show that it's safe,
5 that we don't get any BSE because we're handling the
6 gelatin.

7 So we don't have, say, a nice and a dirty
8 line. No, we want to have everything spic and span.
9 That's the attitude we have.

10 DR. PRUSINER: If only practice and
11 attitude were totally the same.

12 Thank you.

13 DR. EWENSTEIN: Yeah, it was maybe sort of
14 a technical question, and I'm sorry if it's naive, but
15 not all gelatin goes through an alkaline process or is
16 that incorrect? In the manufacturing, there's an
17 acid. There's an acidification process and an alkali
18 process, but is that universal or are there certain
19 gelatins made without the alkali process?

20 DR. SCHOENTJES: Oh, no. Nothing is
21 universal. All the company manufacturing bovine bone,
22 all use at least the alkaline process. Some of the
23 companies manufacture according to the alkaline and
24 according to the acid process, usually in dedicated
25 specific lines, but, yeah, that's the straight answer.

1 Both processes give different TSE reductions in the
2 factor, and the choice of the one or the other depends
3 on the downstream use of the gelatin because
4 chemically they're not the same, and physically
5 they're not the same either.

6 CHAIRMAN BOLTON: Dr. Belay.

7 DR. BELAY: Yes. I'm trying to understand
8 the current practice better. For the bones that
9 you're sourcing from within Europe, I think I heard
10 you say you exclude the skull bones from the bones
11 that are currently collected from the European
12 countries. So you exclude the skull bones, right?

13 DR. SCHOENTJES: Yeah.

14 DR. BELAY: And do you also exclude the
15 spines or the vertebrae?

16 DR. SCHOENTJES: Now we have to do it,
17 yes, right.

18 DR. BELAY: Okay. Now --

19 DR. SCHOENTJES: Certain do; certain
20 don't, but I think it's difficult to say because that
21 depends on the country and on the member companies.
22 European regulation says you have to. It has not been
23 translated in old domestic, but certainly the gelatin
24 manufacturers, when they use European bones and they
25 intend to use it for food or pharmaceutical, they make

1 sure there is no vertebrae in there.

2 DR. BELAY: Now, practically, how feasible
3 is it to sort out the spines in this card? Now, I can
4 picture a pile of bones coming out of the slaughter
5 house and how practical and how feasible is it to sort
6 out the spines and the skull from this huge pile?

7 DR. SCHOENTJES: Okay. To sort out, the
8 skills is very easy because, as I said, that's the
9 first thing that is done after killing the animal, is
10 to take the head off. It's immediately separated, and
11 the whole head now is considered specified risk
12 material, so including the eyes and everything. So
13 that's all disqualified and finally incinerated.

14 For the spines, it's a quite different
15 issue, and that's why it has been taking such a long
16 time to actually be able to remove them or to enforce
17 them. When the animals are taking head off, the skin
18 is taken off. Then the belly is opened, emptied, and
19 then they split the carcass in two, and they take the
20 spinal cord out and take the fat, clean it, and so on.

21 The carcasses for being distributed around
22 the place, all the way down to small butcher shops are
23 for the sake of health reasons -- they are hung by the
24 back foot up, and they're transported hung. So this
25 half carcass is transport hanging, not on any floor or

1 surface.

2 If you take the spinal column out of it
3 before you transport it all around, all of the meat
4 will stretch out, get on the floor, and you cannot
5 even sell it, at least for people are eating the meat
6 in another way than ground meat, and ground meat is a
7 typical American specialty.

8 Well, okay. It's a dentist issue
9 probably.

10 (Laughter.)

11 DR. SCHOENTJES: So it is not possible
12 doing that way. So in the text saying that now you
13 have to take out the vertebrae as specified risk
14 material, it clearly says the head is the first thing
15 at the slaughter house. The spines or the vertebrae
16 have to be taken out wherever it has been distributed,
17 but it's very tough because then the rule also says
18 that one that's separated has to be denatured, say, by
19 staining, has been collected -- has to be collected in
20 special containers, transported in special transport.
21 It's not the same truck that's carrying the meat for
22 food that can carry the specified risk material, and
23 so on. So all of the logistics have to be put in
24 place.

25 So that's really for, say, the government,

1 what they have to put in place to implement that.
2 Now, as long as this is not fully implemented, what
3 happens? In the bone degreasing operation, the
4 renderers, they have the selected collections. They
5 have these bones. These bones are going through a
6 process where the first operation is to be washed and
7 ground for washing, and that allows also to allow for
8 the bone marrow to be taken out.

9 But prior to entering that, these bones
10 are dumped on a big conveying belt, and there on the
11 conveying belt, you have or you can have people
12 sorting out the spines.

13 Actually that's fairly easy to be done
14 because this sorting out is minor if you're not
15 talking about the spines, but there is always at last
16 one or two persons survey this conveying belt for any
17 foreign material that may come with the bone.

18 Typically when you collect bones like
19 that, you have stainless steel hooks from the butcher
20 which come with bones. If that gets into the system,
21 the grinder is dead.

22 You have, well, a piece of rope sometimes,
23 a piece of plastic that can come with it, and so on
24 and so on.

25 So there are people surveying before any

1 operation on this conveyor belt, and on several places
2 that's where they take the spines out as well.

3 In the United States, it's easier when you
4 have these big meat packers where all the meat is cut
5 on the same location that the animal is slaughtered.
6 The cow goes in and nicely packed meat is getting out
7 of this other place. That is the most developed part
8 of it.

9 In Europe it's not developed to that
10 extent, and still here we have questioned the meat
11 packers, all of us, to take the vertebrae out because
12 today for food purpose at least, it's not allowed to
13 use American bones any longer because the spine -- so
14 we've been putting pressure on the meat packers, but
15 they're not ready to do it because it costs money, of
16 course.

17 CHAIRMAN BOLTON: Dr. Bailey.

18 DR. BAILEY: Yes. John Bailey, FDA.

19 Most of your discussion has addressed the
20 issue of capsule gelatin. Could you comment a little
21 bit on food gelatin, the production and export of food
22 grade gelatin for food use from the EU into the U.S.?

23 DR. SCHOENTJES: Well, to the best of my
24 knowledge, Europe is not exporting food grade gelatin
25 to the States or it's really very minor. The United

1 States are quite self-sufficient.

2 Well, the United States plus what's
3 imported from Mexico and from Latin America in
4 general, from Latin America, well, from Mexico it's
5 pig skin, and then if you go further south, it's
6 mainly bovine hide gelatin.

7 CHAIRMAN BOLTON: Dr. Lurie.

8 DR. LURIE: A follow-up on Stan's question
9 about the fate of American bones, I suppose, something
10 of concern to all of us. I guess in looking at the
11 data that you provide it seems quite clear to me that
12 the number or the metric tons of American bones used
13 is more than enough to satisfy the requirement for
14 capsules and pharmaceuticals in this country, and that
15 if you chose to, you could satisfy all of the American
16 capsule and pharmaceutical needs strictly through
17 American bones. Is that not right?

18 DR. SCHOENTJES: No, no, because a good
19 deal of these American bones, they're produced --
20 they're less than a handful of bone produced in
21 America, and everybody is competing on it, including
22 the photographic industry worldwide and the gelatin
23 industry worldwide.

24 Japanese buy American bones for making
25 their pharmaceutical products in Japan for the

1 Japanese market, and everybody is competing for this.

2 DR. LURIE: Right. So if somebody is
3 willing to pay more for an American bone, in other
4 words --

5 DR. SCHOENTJES: Sorry. I didn't get
6 that.

7 DR. LURIE: If somebody is willing to pay
8 more for American bone, it will wind up, say, in a
9 photographic plate, whereas in the alternative it
10 might wind up producing a safer pharmaceutical for an
11 American; is that right?

12 DR. SCHOENTJES: It might, yes, but there
13 is no specific reason for that because, in fact,
14 American bone is the large, recognized, safe bone
15 today, but if you look -- well, our reference, of
16 course, is European Commission, the Scientific
17 Steering Committee, and when you look to the
18 classification of the various countries that we have,
19 that's for animal health, of course. We see that
20 except for all the countries with quite a number of
21 case on the continent, America or Sweden or Austria
22 assume the same risk for BSE in the cattle, and hence,
23 in the cattle bones, and the same for India or
24 Pakistan.

25 So we feel there is no more harm, more

1 considering the process also which will reduce
2 possible infectivity and the removal also of spines.
3 If you're sure that you have no cross-contamination
4 with nervous tissue or the tissue carrying
5 infectivity, American cattle bones are not more
6 attractive than others.

7 It happens that the pharmaceutical
8 industry, the capsule manufacturers, are controlled by
9 the pharmaceutical industry, and these are major
10 American companies, and that's why they are domestic
11 American bone.

12 CHAIRMAN BOLTON: Peter, I think it's a
13 combination of existing contractual arrangements and
14 economic and business forces that are already in play.

15 DR. SCHOENTJES: Yeah, yeah, yeah.

16 CHAIRMAN BOLTON: It's not necessarily a
17 health and safety issue as much as it is an economic
18 and business.

19 DR. LURIE: That's my point.

20 DR. SCHOENTJES: May I make a comment?
21 Yes, there is a big economic issue, and we as
22 manufacturers individually, and as a member of GME, we
23 try and take all necessary measures to make sure that
24 under this economical pressure, we make every product
25 safe.

1 And as you've seen from the historical
2 recap there, we've been looking into this process
3 efficiency, especially because we are not mastering
4 100 percent the raw material source. We cannot say we
5 just make safe things, and then we can do whatever we
6 want in our plant.

7 No, we want to be our plant -- possible
8 additional warranty if we cannot control all of the
9 raw materials.

10 CHAIRMAN BOLTON: Any other questions?
11 Oh, Dr. Ewenstein.

12 DR. EWENSTEIN: So I just want to make
13 sure I understand this idea of food grade gelatin
14 versus pharmaceutical grade. Obviously they're both
15 for human consumption.

16 I know in this country we have this funny
17 distinction, you know, that there are certain things
18 that can't be regulated. Maybe they should be, but
19 they're not while other products are.

20 But from what I think I'm hearing from you
21 is that from your point of view you manufacture them
22 all to a certain standard even though the regulations
23 would be different in this country, or do you have
24 different levels of certification for yourself for
25 products that are going to wind up being food versus

1 pharmaceuticals?

2 DR. SCHOENTJES: No. In most plants of my
3 company, and I guess from the other members, we
4 manufacture on the same plants food and pharmaceutical
5 grades gelatin. That means that the plant and
6 operation and raw material should comply, in fact,
7 with both, whatever is the most demanding. That is
8 the general trend anyway.

9 How should I say? Even for the graphic
10 gelatin, you can put it in confectionery with no
11 problem.

12 CHAIRMAN BOLTON: Any other questions?
13 From the floor.

14 MR. TURNER: I'd like to give some extra
15 information because I might have the feeling that you
16 might understand some issues not quite correct, and
17 that is --

18 CHAIRMAN BOLTON: Would you introduce
19 yourself?

20 MR. TURNER: Yes. My name is Dick Turner
21 from Holland, and I'm a member of the GME.

22 But especially the case of the removal of
23 the vertebrae is in some countries absolutely the
24 case. We don't get any removal vertebrae in our
25 facilities. There are only a few countries who are

1 not applied the European regulation, who might have
2 difficulty with that, and they have to do with other
3 plants.

4 There are certainly a lot of countries who
5 have implied the removal of the vertebrae and there is
6 absolutely now you can expect no vertebrae coming into
7 our facilities.

8 Thank you.

9 CHAIRMAN BOLTON: Thank you.

10 Another comment from the floor?

11 MR. SCHRIEBER: Yes, I'm Reinhard
12 Schrieber, from GME just to clarify two further
13 things.

14 The question came along with regard to
15 acid bone gelatin. So the total quantity of acid bone
16 gelatin manufactured for pharmaceutical applications
17 is about between two and three percent of the total
18 gelatin manufacture, bone gelatin manufacture for
19 these applications.

20 This has a few special applications for
21 special types of capsules. So it is reduced to the
22 minimum necessary because we are very keen, of course,
23 to maximize the production of alkaline treated
24 gelatin, but for special cases, there is still a need,
25 and what we will hear later on, we have developed

1 already a new process to increase the safety of this
2 type of product, as well.

3 Then I'd like to address another point
4 with regard to the use of U.S. bones. First of all,
5 about 50 percent of the total U.S. bones available are
6 taken by Kodak here in this country. So they absorb
7 this. That's number one.

8 Number two is that our industry, the
9 gelatin industry, making food and pharmaceutical
10 gelatin here and in Europe has increased the use of
11 U.S. bones over the last five years by more than 50
12 percent.

13 So we have really pushed the meat packing
14 industry in the United States to produce more and more
15 bone chips for our industry because the demand was
16 growing, and we are keen to get as much as possible,
17 but what we have reached now basically I think is the
18 maximum we can reach. So we have really pushed them
19 ahead to manufacture more and more, but now we are up
20 to the top level, though only beef consumption
21 increase could help us to get more U.S. bones out of
22 this market here.

23 (Laughter.)

24 MR. SCHRIEBER: Well, that's a fact.

25 Thank you.

1 CHAIRMAN BOLTON: Thank you, Reinhard.

2 MR. LURIE: That's a comment that comes
3 from the Beef Council of the U.S.?

4 CHAIRMAN BOLTON: Are there any other
5 questions?

6 Okay. Thank you, Dr. Schoentjes.

7 We'll move on to a presentation by Dr. Bob
8 Rohwer, who will talk about the inactivation study and
9 overview and results, and these are preliminary
10 results, I think, at this time.

11 I guess another option would be to breed
12 cattle with more bones and less meat.

13 DR. CLIVER: We're doing that in India and
14 Pakistan already.

15 (Laughter.)

16 DR. ROHWER: Well, I'm back again. I'm
17 not sure how late you all were here last night. I
18 snuck out around seven. But I hope this doesn't turn
19 into the same kind of thing today. I don't think it
20 will.

21 I want to preface my remarks about this
22 really very large study that GME put together by just
23 stating again emphatically that this is work in
24 progress. There have been no final reports issued for
25 this study yet. Animals could still get sick in these

1 titrations that are ongoing. The numbers could change
2 a little bit for the more advanced studies, and they
3 could change quite a lot for the less advanced
4 studies.

5 So we're not going to talk about the less
6 advanced studies. I'm only going to show you the
7 things that have developed to the level of a year or
8 more of incubation where the changes should be minor
9 between now and the conclusion of these studies.

10 If I could have the first slide, I thought
11 in listening to Dr. Schoentjes that it might be
12 useful, especially useful, to go over some of the
13 gelatin manufacturing industry from my perspective.
14 I'm not a gelatin expert, and I'm becoming more and
15 more one as time goes on, but I also get confused
16 about the really tremendous complexity of this
17 industry, and so I'm just going to point out a few
18 things which I think might be helpful to you and get
19 us oriented before I start presenting this data.

20 One, gelatin is its collagen basically.
21 It's a soluble, high molecular weight hydrolysis
22 product of collagen, and we all know that collagen
23 makes up skin. People don't think about it, but it's
24 actually a major component of bone as well.

25 And so the source can be either bones or

1 hides, and as was mentioned earlier, either cattle or
2 pigs. These are the animals that are usually used for
3 production of gelatin, but it can actually be made
4 from any kind of collagen, any collagen source.

5 And then you have these various processes
6 which there's an acid process, an acid-alkali process,
7 and these processes are pretty prototypical. I like
8 to think of them kind of in the way that I think about
9 the cone fractionation for plasma products. It's a
10 fairly standard thing, but every producer has their
11 own little nuances which they jealously guard with IP
12 in the manufacture of plasma products, and that's also
13 true in the gelatin industry as far as I can tell.

14 And so there are variations, and so some
15 of the process parameters can vary a little bit, and
16 in ways that are hard to appreciate as an outsider to
17 the industry.

18 And then there are multiple, multiple uses
19 for these materials: food, capsules, excipient
20 stabilizers. Gelatin is used very pervasively in both
21 our biological type products, but also industrially in
22 these types of things.

23 Next.

24 And the GME we just heard represents a
25 very large proportion of the world manufacturing

1 capability. Forty-five percent, I think, is the
2 number I just saw in Dr. Schoentjes' slides, and as
3 such, they were interested in looking at this
4 question.

5 But in order to look at it in a
6 representative way, they had to come up with a
7 protocol that represented the entire spectrum of their
8 industry as much as possible, and so what was
9 developed was a generic protocol and with a number of
10 different arms to it, trying to draw in as much of the
11 spectrum of production as possible.

12 But it was mainly focused on bone gelatin,
13 and so all of these experiments are based on the
14 production of bone gelatin, not hide gelatin, and it
15 was also focused on cattle derived gelatin for the
16 obvious reason that that's where the perceived risk
17 is.

18 Well, bone gelatin is used to produce high
19 grade capsule gelatin. It's made from the collagen
20 matrix of bone, which is called ossein, and I've made
21 this analogy to the cone fractionation already.

22 Next.

23 So in developing the protocol, the various
24 process parameters were selected by GME. They
25 developed the actual process that was going to be

1 followed, and then once they had decided what they
2 were going to do, the next big issue became one of
3 scaling it down to a laboratory bench scale of
4 experiment, and this was done in consultation with
5 myself and David Taylor, though we were mainly
6 sounding boards since we are not gelatin experts.

7 And I think it's important to note that
8 this was a de novo process. There was no preexisting
9 bench scale procedure. The gelatin industry has not
10 been burdened with having to do virus validations and
11 this type of thing that is required for registration
12 of pharmaceutical products. They're not a
13 pharmaceutical industry, and so this had to be
14 developed de novo.

15 And the main requirement and the difficult
16 aspect of this to overcome is that they had to start
17 with bovine bone. This is because rodent bones are
18 just too small to be realistic in a process like this.
19 I imagine they would disappear in the first few steps.

20 And as a consequence, by starting with
21 bovine bone in a realistic amount of bovine bone,
22 which turned out to be about two kilograms, the
23 volumes that were used in this experiment got quite
24 large at times, and the manipulations got quite
25 cumbersome on a laboratory scale.

1 And with the added requirement that we had
2 to keep the biohazard issue under control, this became
3 a fairly big task to develop this protocol. A lot of
4 credit goes to Ed Grobber, who is sitting here in the
5 audience, who spent, I believe, a good eight to 12
6 months, maybe more, working up the details of this
7 protocol and adapting it to equipment that was scaled,
8 finding the equipment and adapting the equipment to
9 this protocol and doing it in a way that kept the
10 biohazards under control.

11 We had to figure out how to do these
12 things in benches in the laminar flow cabinet, how to
13 support the -- another problem with doing a gelatin
14 experiment is after a certain point, you have to keep
15 everything at 60 degrees. Otherwise it solidifies,
16 and so you're juggling this stuff which has to be kept
17 warm and also in the cabinet at the same time.

18 We made a lot of use of tempering beakers
19 and circulators and that type of thing to do this.

20 Next.

21 Logistically just doing the experiment was
22 quite complex. Starting with bones, it takes over a
23 month to complete a run to the final product because
24 some of these incubation times, the acid incubation in
25 the lining step are very lengthy. It's weeks.

1 And, again, you're starting with a large
2 amount of bones, and then we had to address these
3 issues. Containment. We also controlled cross-
4 contamination, was also an issue for us, and that was
5 managed by using all new, dedicated equipment for each
6 line of the protocol, disposables wherever possible,
7 and we did not reuse any equipment in this protocol
8 that could not be sterilized by autoclaving in the
9 presence of one normal sodium hydroxide.

10 We had some very nice stainless steel,
11 German stainless steel for the filtration components
12 of the experiment, for example.

13 Next.

14 There were two components to the
15 experiment. One, the whole manufacturing process was
16 monitored continuously from beginning to end; it was
17 run continuously from beginning to end. It was spiked
18 at the level of bone, carried all the way to gelatin,
19 and this was done in Edinburgh under David Taylor's
20 supervision at the Institute for Animal Health,
21 Neuropathogenesis Unit.

22 And then at the end of the gelatin
23 process, and I'll show you a diagram here in a minute
24 so you can see how it's laid out, there are some
25 purification steps which involve filtration, ion

1 exchange chromatography to remove impurities, and then
2 finally a UHT sterilization step. UHT is the ultra
3 high temperature form of pasteurization that's used,
4 for example, to make the boxed milk that you can find
5 on the grocery store shelf that doesn't have to be in
6 the cooler. It sits there on the shelf. It's a very,
7 very brief, seconds exposure to a relatively high
8 temperature in a wet heat environment.

9 During the course of this study, it was
10 decided to develop some experimental arms to look at
11 some alternative processing methods which might
12 produce higher levels of inactivation of the agent
13 than the process itself.

14 One of these experiments was done by Bram
15 Schroeder, under the supervision of Bram Schroeder in
16 the Netherlands, and another one was done in
17 Edinburgh, and I'll show you some data on those as
18 well.

19 And finally, to maintain continuity
20 through the entire experimental process, Mr. Grobber
21 was present at all stages, first in Edinburgh, and
22 then in Baltimore with us. He took copious notes and
23 produced a lot of photodocumentation, which it was my
24 plan to show you some pictures of this process, but
25 the files were so large they were crashing my computer

1 last night, and I finally took them out. I was afraid
2 we wouldn't get through the presentation.

3 So we're going to have to skip the
4 pictures. So let's go on to a diagram.

5 Oh, relevance of this spike. I wanted to
6 talk about that. One of the diciest issues that
7 confronts the execution of a validation study with the
8 TSE agents is that the only source of high infectious
9 material is central nervous system material and, for
10 example, it's very, very unclear whether brain
11 homogenate is an appropriate and relevant material to
12 look at in the context of a blood validation study,
13 for example.

14 But in the case of this study, we had the
15 great relief of being able to work with central
16 nervous system tissue in a context where it was
17 absolutely appropriate. It is most likely that the
18 source of contamination of gelatin would come from
19 central nervous system tissues, the spinal cord, the
20 dorsal root ganglia surrounding the spinal cord, the
21 skull, and cross-contamination at the time of
22 slaughter with other bones from central nervous system
23 sources.

24 So we could start with brain mass rates
25 and homogenates completely guilt free in this

1 experiment.

2 Another issue is the strain of agent.
3 Well, we planned to use the Hamster 263 strain, which
4 is a scrapie strain of TSE, simply because it's very
5 well characterized. It's convenient, and it's fast,
6 and it develops very high titers. But its relevance
7 to a BSE validation is really unknown.

8 On the other hand, I would like to point
9 out now that we've been working with the mouse BSE
10 strain that in some ways I think the hamster is more
11 cow like in its presentation of disease than the mouse
12 BSE strain that we're using, and that's mainly in its
13 clinical presentation.

14 Mouse adapted BSE was also to be used.
15 This is a strain that has only been around for a few
16 years. It's relatively uncharacterized, and again, I
17 always question the relative relevance of these two
18 strains or the various strains. It's neither
19 clinically or pathologically cow like in its
20 presentation, but it was the BSE agent that was passed
21 to this mouse.

22 I think the main point and the most
23 important point to note here is that the experiments
24 were done with two different strains, and that gives
25 us the opportunity to look at points of convergence in

1 the strain data for verification that the clearance
2 that we're seeing is actually reproducible and quite
3 possibly extrapolatable to bovines.

4 Next.

5 Well, this is the process crammed onto a
6 slide here, and we're going to look at a bunch of
7 these figures over the next few minutes to see how the
8 experiment was actually done, but let's go over this
9 first just so you'll get an idea of what we did.

10 The main line right here is a
11 representation of the alkaline process for making
12 gelatin, and these excursions on the left here are
13 variants of it that were also investigated.

14 So you start with bones which are crushed
15 to about a couple centimeters in mean diameter, and
16 they're taken through a degreasing step, which
17 basically is to cook them in a soup and make a broth
18 and skim the fat and remaining tissues off of the
19 bones, and they're washed and rinsed very extensively
20 at this stage and then dried in a hot air stream.

21 The bone chips themselves don't get
22 particularly hot. They get up around 80 or 90
23 degrees, and that's monitored during this step, and
24 then they're sieved and sorted to get rid of little,
25 tiny fragments, and I'm not sure this was actually

1 done as part of this process. We can ask Mr. Grobber
2 about that later if you want.

3 And then at that stage they are
4 demineralized, and this is done with hydrochloric
5 acid, and it's done in a batch process over several
6 days' time where the most exhausted batch of
7 hydrochloric acid from the previous batch that was run
8 through the operation is used first to demineralize
9 the bone, and then as it becomes exhausted, you ramp
10 up the concentration of hydrochloric acid slowly until
11 at the end you end up at about four percent
12 hydrochloric acid, and it sits in that for at least
13 two days or more.

14 And what this does is it dissolves the
15 minerals out of the bone, and they go into the aqueous
16 phase, and that aqueous phase is then processed to
17 produce various phosphates or hydroxyapatite, or in
18 the case of a plant I had the privilege of visiting,
19 it's ashed and is used in making bone china in the
20 Netherlands. This is the origin, I guess, of the
21 "bone" in bone china.

22 At one point we will also look at this
23 demineralized -- this demineralized product is also
24 being looked at for residual infectivity.

25 Once the minerals are removed, you have

1 something that's called ossein, and this is a
2 remarkable material for someone who's not familiar
3 with it. It looks exactly like the bone, the original
4 bone. The bone has not lost its shape at all, but it
5 has the consistency of a rubber ball and is somewhat
6 porous and quite elastic.

7 Going from the demineralized bone or the
8 ossein, the ossein goes into a liming process, an
9 alkali process. Now, this is traditionally done with
10 calcium hydroxide or lime, a very cheap source of
11 alkali in a saturated state. It's saturated, which
12 means that as the lime is exhausted by the process,
13 it's constantly renewing -- I mean, as the hydroxyl
14 ions are exhausted by the process, it's constantly
15 being renewed from the solid line that's in the bottom
16 of the vat that's being mixed periodically with these
17 vats of ossein.

18 And this is a process that goes on for
19 weeks, three weeks, three weeks or more sitting in
20 this lime process. Now, the problem with lime is that
21 when this was first presented to the TSE community in
22 the Heidelberg meeting in 1992, it seemed like, wow,
23 how can anything survive that? You know, two weeks in
24 an environment like that.

25 But if you'll remember the slide I showed

1 you yesterday looking at the efficacy of sodium
2 hydroxide at various concentrations, there was a big
3 drop in efficacy between tenth normal and hundredth
4 normal sodium hydroxide.

5 Well, unfortunately lime is producing a pH
6 just a little bit above a hundredth normal in
7 concentration. It's borderline. It's in that gray
8 zone, and so it wasn't clear, and it turns out from
9 preliminary experiments that were done at Inveresk
10 that this is not a highly inactivating procedure. It
11 does get rid of a couple of -- a log or two of
12 infectivity, but it's not what people had hoped for
13 originally.

14 And the same can be said for the
15 hydrochloric acid treatment, though I think we had
16 perspective on that before that acid is not
17 particularly destructive. Weak acid is not
18 particularly destructive to these agents.

19 Once the liming is done, it's neutralized
20 back to a pH five or so, and then it's rinsed
21 exhaustively with water, and at that point it's pushed
22 into the extraction process. It's been sufficiently
23 hydrolyzed by this process, even though it still looks
24 fairly intact, such that hot water can begin to
25 extract the gelatin. The gelatin is soluble in hot

1 water.

2 And different grades of gelatin are
3 defined by the temperatures at which they're
4 extracted, and so the extract, you first get all of
5 the gelatin you can get at 60 degrees and then you
6 move to 70 degrees and then you move to 80 degrees,
7 and as I recall, the quality of the gelatin goes up
8 the warmer the water required to extract it, though
9 ask the experts if you want to know more about that.

10 So between here and here, this was one arm
11 of the experiment, was to spike at this level, at the
12 level of bone, at the level of bone, and then carry
13 this all the way through this process to the
14 extraction step, and then measure the final product,
15 the pooled final product from this extraction step for
16 residual infectivity.

17 Now, once you have the soluble gelatin, it
18 is then taken through a series of purification steps,
19 which begins with a filtration, and this is a filter
20 aid type of filtration. It's taken through a mixture
21 of diatomaceous earth, cellulosis, and those kinds of
22 depth matrices to remove particles and other
23 impurities from the gelatin, and the product of the
24 filtration is then taken through ion exchange columns,
25 a cation column and an anion column, and these are

1 flow-through columns. It's not an absorb and a dilute
2 type of ion exchange. It's an ion exchange used to
3 absorb impurities out of the gelatin itself. The
4 gelatin flows through the columns.

5 And then finally, the product is UHT
6 sterilized. The UHT sterilization consists of sending
7 it through a device in a closed loop of pipe which
8 injects live steam into the gelatin as it's flowing
9 through the pipe, and the rate of flow and the
10 constrictions in the pipe develop the pressures needed
11 to maintain the temperatures at 138 to 140 degrees
12 Centigrade for a very short period of time, four
13 seconds.

14 It comes out of that pipe into an
15 evaporator. From the evaporator the solids are
16 extruded in to a noodle type material. It's dries and
17 milled, and that becomes the final product.

18 The steps that we investigated in our
19 laboratory are right here, these purification steps.
20 The production steps, the main production steps were
21 investigated in the Edinburgh laboratory.

22 Let's go on to the next slide.

23 So there were several experiments that
24 were done, eight in all, and I'm going to show you
25 where each of these experiments are, and I'm going to

1 show you what the diagrammatic representation of each
2 of them are so that you know what's in process.

3 Experiment one was a -- now I forgot to
4 gray this out -- was an Edinburgh experiment where the
5 bone was spiked with mouse brain macerate, and this
6 was done by taking bone chips and 20 grams of mouse
7 brain and essentially smearing them, smearing it all
8 over the bones, and then taking a portion of that
9 macerate and actually injecting it into a piece of
10 spinal column from a calf, and then tediously and
11 laboriously sawing that spinal column into pieces the
12 size of bone chips.

13 This was our attempt to make it as
14 realistic as possible in terms of what might have come
15 into a process in the way of a contaminate.

16 The titer, the total titer of this
17 preparation is about ten to the ninth, starting titer
18 for the entire batch. It's then carried through this
19 process. The demineralized bone, the acid from the
20 demineralization step was carried through to
21 hydroxyapatite, and that is being titered. It's
22 underway. It won't be complete until next year some
23 time.

24 The extraction process is well over a year
25 into its titration, and the log reduction seen to date

1 has been about four logs over this entire process.

2 And the process was carried at
3 Edinburgh -- oh, that's why. That's why. This
4 process at Edinburgh was carried all the way through
5 the purification steps as well. At this stage of the
6 process, they've got 4.2 logs removed. This material,
7 this same material -- this was not respiked -- was
8 carried through the purification steps and this has
9 also been inoculated and we'll be ready to talk about
10 that some time in the autumn.

11 Next.

12 This was an experiment using the hamster
13 scrapie strain instead of the mouse BSE strain, and
14 hamster scrapie was used to spike, but essentially
15 it's the same process, and this one is not as far
16 along in Edinburgh, and so you can see the dates at
17 which we expect to see results over here on the left.

18 This arm was not carried through to the
19 end, and so we will only get this first leg through
20 extractable gelatin out of this experiment.

21 Next.

22 And this experiment number three was
23 performed to investigate the acid process. Again,
24 mouse BSE was used, and this time it was carried
25 through to the ossein stage, but the alkaline liming

1 stage was skipped, and we went directly from ossein to
2 the extraction stage, and the results of that are
3 about 3.7 logs reduction.

4 This is probably within experimental error
5 of what was obtained for the alkaline extraction,
6 which is a little surprising to me.

7 Now, I have a question which perhaps the
8 GME folks can clarify at the end of this. I thought
9 I heard Dr. Schoentjes say that the acid process is
10 not used to produce food or medicinal gelatin, but I
11 was under the impression that all of the experiments
12 that we were doing here were to test the risks for
13 food and medicinal products. So I guess I myself
14 would like some clarification on that when we're
15 finished here.

16 Nevertheless, the acid process was tested,
17 and this is the result to date. This was also carried
18 through the final purification, and that's a little
19 bit farther behind this experiment, and so we'll be
20 ready to talk about that in August or September.

21 Next.

22 Now, there were a couple of experimental
23 processes were also tested. This was the same
24 experiment that I just showed you, the acid process,
25 except that once the ossein had been formed, instead

1 of carrying it through the liming process, which takes
2 weeks, the ossein was exposed to .3 normal sodium
3 hydroxide for two hours. Then it was carried through
4 the hot water extraction process.

5 As you can see, this gave a much higher
6 log reduction, which is somewhere around five to six
7 logs, and indicating, as you might expect, that by
8 simply pushing the alkaline concentration into the
9 realm of known efficacy, you get a lot higher removal
10 or inactivation.

11 Next.

12 Now we're going to switch to the Baltimore
13 laboratory and the experiments that we did here in
14 Baltimore. This probably makes sense to go to the
15 next one first and then come back to this. I got
16 these in the wrong order.

17 The experiment we did first was with
18 hamster scrapie spike, and in this case we're only
19 looking at the purification steps. So we're not
20 looking at bone. This is a downstream spike.

21 What we're using here is crude gelatin
22 obtained from production. So we're taking the gelatin
23 at the same stage it would be at in the production
24 process, but we are now adding hamster brain
25 homogenate to the level of .1 percent to this crude

1 gelatin and then carrying it through the filtration
2 step, the ion exchange steps, and the UHT
3 sterilization -- well, and the ion exchange steps.
4 This is done separately.

5 So in the case of these first two steps,
6 it was carried through to the level of filtration and
7 titered, and this should be a log ten removal
8 actually. I got carried away there, and we removed
9 about 1.6 logs of infectivity by the filtration step.

10 We then took this filtrate and passed it
11 through the ion exchange column and looked at the
12 total removal of the two steps together, and we got
13 only an additional .2 logs of removal by doing that.
14 Clearly, once you've removed whatever you're going to
15 remove by filtration, the ion exchange step is not
16 removing any additional infectivity.

17 This is borne out when we then took the
18 filtrate and we spiked it with hamster scrapie. We
19 again saw a minimal removal by the ion exchange step.

20 Next. Let's go back. Can I go back to --
21 yeah.

22 Now, we've done the same experiment using
23 the mouse BSE spike, but this is not far enough along
24 to report yet, but we are getting things that look
25 vaguely similar.

1 Next.

2 To the best we can tell with the data we
3 have to date.

4 The purification step -- the next thing we
5 looked at was the UHT sterilization step. In this
6 case we again had to start with freshly spiked
7 material. In this case we used gelatin from
8 production again, spiked it, and then carried it
9 through the UHT step itself.

10 Now, this had to be done in a much more
11 elaborate way than the previous two experiments. To
12 make this work, we had to devise a means by which we
13 could scale down this process in the laboratory so
14 that we would get a four second exposure to 140
15 degrees Centigrade.

16 Now, I had done something like that when
17 I did the experiments I showed you yesterday that were
18 conducted in the '80s, and I knew from those
19 experiments or expected from those experiments that
20 this might give us significant levels of removal.

21 The reason I knew that is I had also
22 looked at an 80 degrees Centigrade inactivation which
23 had really inactivated very little of the infectivity
24 even after an hour; a 100 degree inactivation, which
25 did inactivate a couple of logs; and a 121 degree

1 inactivation.

2 I knew that in that experiment when I
3 ramped up the temperature in my oil baths, it took me
4 about 12 seconds to get from 80 degrees to 121
5 degrees, and by the time I had gotten to 121 degrees,
6 I had killed virtually everything in those 12 seconds.

7 Thus, I expected that by taking this stuff
8 to 140 degrees for four seconds, we would be on the
9 road to inactivation, and we might see a significant
10 inactivation.

11 The way we did this was we devised a means
12 of putting the gelatin in a stainless steel capillary
13 like this, sealed a thermocouple -- forced a very fine
14 thermocouple into the capillary itself with the
15 gelatin. So we've got a lead coming out here which
16 goes to a recording thermometer; put a pressure relief
17 valve on this side, which allows the gelatin to expand
18 without having to introduce any air into this tube.

19 If you take a tube like this, even a
20 stainless steel tube like this and seal it at both
21 ends full of gelatin and plunge it into a 140 degree
22 bath, it will explode. We tried that.

23 (Laughter.)

24 DR. ROHWER: And it's sufficient.

25 But so what we did is we put a pressure

1 relief valve on this side, which maintains a back
2 pressure of about 100 psi on this column, which you
3 can take easily. This thing, this column is rated to
4 something like 5,000 psi.

5 The reason that I wanted to do the
6 experiment this way is because of these reservations
7 that I talked about yesterday about drying on
8 surfaces, and the way in which this UHT process is
9 conducted in reality is the liquid stream is forced
10 through this pipe by a pump. There is no air in that
11 pipe. The only thing that's introduced is live steam,
12 which is not air. That's water, and it seemed to me
13 there would be very little opportunity for the
14 material to dry and find herbage in that way against
15 the inactivating properties of the steam.

16 We wanted to reproduce that in our
17 capillary, and that's why we went to great pains to
18 make sure that this thing was completely full of
19 gelatin from one end to the other before we did the
20 experiment.

21 And what we sampled from this capillary is
22 we cut the ends off and forced the material back out
23 of the capillary, and that's what we measured. So
24 anything that was happening out here at these ends
25 where we had to join it with the thermocouple or join

1 it with the back pressure valve was ignored. Okay?
2 We're looking at the gelatin that was inside here.

3 Next.

4 And, in fact, we did get a very
5 significant level of removal by this step, 4.2 logs of
6 inactivation this time, not removal, not just
7 clearance.

8 Next.

9 Finally, there was one additional
10 experiment that was conducted using this protocol
11 right here. Again, it was BSE spike. The process was
12 carried only to the level of the sieve and sort, to
13 the bone chip level, and the bone chips -- these were
14 degreased bone ships. So there was removal here, but
15 then the bone chips themselves were autoclaved briefly
16 at 133 degrees Centigrade and then extracted with hot
17 water right out of this small autoclave to give a
18 product which was then assayed.

19 And no animals have yet gotten infected
20 from this inoculum at 400 days post inoculation, and
21 so the sensitivity of the assay is about six and a
22 half logs, and provided no animals do get sick, this
23 will have offered about a six and a half log removal
24 itself.

25 This is not a process that's being used.