

FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS <sup>9194 701</sup> AUG 10 P12:21  
ADVISORY COMMITTEE

+ + + + +

OPEN SESSION

+ + + + +

THURSDAY,  
JULY 26, 2001

+ + + + +

The Committee met at 10:55 a.m. at Gaithersburg Holiday Inn, Two Montgomery Village Avenue, Gaithersburg, Maryland, Dr. Robert S. Daum, Chairman Presiding.

PRESENT:

Robert Daum, M.D.	Chairman
Nancy Cox, Ph.D.	Temporary Voting Member
Kathryn Edwards, M.D.	Temporary Voting Member
Theodore Eickhoff, M.D.	Temporary Voting Member
Walter L. Faggett, M.D.	Member
Barbara Loe Fisher	Member
Diane E. Griffin, M.D., Ph.D.	Member
Samuel L. Katz, M.D.	Member
Steven Kohl, M.D.	Member
Martin Myers, M.D.	Temporary Voting Member
Geoffrey Schild, Ph.D.	Temporary Voting Member
Dixie Snider, Jr., M.D., Ph.D.	Member
Mark Steinhoff, M.D.	Temporary Voting Member
David S. Stephens, M.D.	Member
Nancy Cherry	Executive Secretary

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

**OPEN**

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

C-O-N-T-E-N-T-S

PAGE

Call to Order . . . . . 3

Introduction to Session and Summary of Prior  
VRBPAC Deliberations . . . . . 8

Overview of Development of Cold Adapted,  
Live Attenuated Influenza Vaccines; Basis  
for Attenuation; and Potential for  
Reassortment with Wild-type Viruses in  
Nature . . . . . 34

Q&A on Dr. Murphy's Presentation . . . . . 66

Sponsor's Presentation and Q & A

    Dr. Harry Greenberg . . . . . 74

    Dr. Steven Black . . . . . 80

    Dr. Paul Mendelman . . . . . 94

    Dr. Kristin Nichol . . . . . 124

    Dr. Robert Belshe . . . . . 148

    Dr. Harry Greenberg . . . . . 161

FDA Presentation with Q & A . . . . . 169

Adjourn . . . . . 223

## P-R-O-C-E-E-D-I-N-G-S

(10:55 a.m.)

1  
2  
3 CHAIRMAN DAUM: Good morning. I'd like to  
4 welcome everyone to the continuation of our meeting  
5 this morning. I'd like to welcome both committee  
6 members, consultants, sponsors, FDA folks and other  
7 interested parties. I would like to ask everyone to  
8 remember that the purpose of this meeting is to hear  
9 information and discussion and allow committee members  
10 to deliberate and provide advice to the FDA.

11 In order to do that, I would very much  
12 appreciate it if cell phones, beepers and other  
13 disrupting devices be turned off now, so that we don't  
14 have to deal with them as we go along. I think that  
15 we will now turn the floor over to Nancy Cherry who  
16 will read the committee conflict of interest  
17 statement.

18 MS. CHERRY: Well, good morning, welcome  
19 to all of you. I think they're probably in the  
20 process of finding chairs for any of you that don't  
21 have chairs. It looks like most of you found seats.  
22 A couple of announcements; first of all, if there is  
23 anything we can do for particularly the committee but  
24 also for any of you, the committee management  
25 specialist who put together the meeting is sitting at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the desk out front. That's Denise Royster. She's  
2 assisted today by Rosanna Harvey. Tomorrow she will  
3 be assisted by Sheila Langford. Actually, Denise is  
4 in the room at the moment, but anyway. Now, I'll read  
5 the statement.

6 "The following announcement addresses  
7 conflicts of interest issues associated with the  
8 Vaccines and Related Biological Products Advisory  
9 Committee meeting on July 26th/27th, 2001. Of the  
10 Advisory Committee Members, Drs. Manley, Kim and Diaz  
11 could not be with us today or tomorrow. The Director  
12 for the Center for Biologics Evaluation and Research  
13 has appointed Drs. Nancy Cox, Kathryn Edwards,  
14 Theodore Eickhoff, Martin Myers, Geoffrey Schild and  
15 Mark Steinhoff as temporary voting members for the  
16 discussions on Friday, July 27th regarding safety and  
17 efficacy data as well as the proposed indication for  
18 Aviron's FluMist™.

19 To determine if any conflicts existed, the  
20 Agency reviewed the submitted data and all financial  
21 interests reported by the meeting participants. As a  
22 result of this review, the following disclosures are  
23 made regarding the discussions today and tomorrow,  
24 July 26th/27th. Dr. Stephens and Edwards have each  
25 been granted a waiver in accordance with current

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 statutes, which these waivers permit them to  
2 participate fully in the discussions. In addition,  
3 Dr. Brian Murphy has been granted a limited waiver  
4 which will permit him to make a presentation and  
5 answer any questions related to that presentation.

6 Doctors Daum, Goldberg, Griffin, Kim,  
7 Kohl, Snider, Stephens, Edwards, Eickhoff, Cox, Myers  
8 and Steinhoff all have associations with firms that  
9 could be or appear to be affected by the committee  
10 discussions. These involvements have been reviewed,  
11 and in accordance with current statutes, it has been  
12 determined that none of these is sufficient to warrant  
13 the need for a waiver or an exclusion. In the event  
14 that the discussions involve specific products or  
15 firms not on the agenda and for which FDA participants  
16 have a financial interest, participants are reminded  
17 of the need to exclude themselves from the  
18 discussions. Their refusals will be noted for the  
19 public record or their disclosures will be noted for  
20 the public record.

21 With respect to all other meeting  
22 participants, we ask you in the interest of fairness,  
23 that you state your name and affiliation, any current  
24 and previous financial involvement with any firm whose  
25 products you wish to comment on. Copies of all

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       waivers addressed in this announcement are available  
2       by written request to the -- under the Freedom of  
3       Information Act". And we do have one disclosure to be  
4       made today.

5                 DR. MINK: I am Dr. ChrisAnna Mink. I am  
6       the medical reviewer for this BLA. I joined the  
7       review team in January of 2000 and would like to  
8       disclose the prior to joining the FDA, I worked as a  
9       consultant for Aviron for approximately 40 hours. I  
10      had not performed any consulting activities for Aviron  
11      for more than one year prior to my joining your review  
12      team.

13                My prior association with Aviron was  
14      disclosed to the FDA Ethics Office and their review  
15      deemed there was no conflict of interest from my  
16      participation.

17                CHAIRMAN DAUM: Thank you, Dr. Mink.

18                DR. MINK: Oh, it was January of 2001 that  
19      I joined the review team.

20                CHAIRMAN DAUM: Thank you again, Dr. Mink.  
21      I would like before we start, Dr. Patriarca's  
22      presentation to ask the committee members and  
23      consultants to briefly identify themselves. Dr.  
24      Snider, We'll start with you and just go right around.

25                DR. SNIDER: Dixie Snider, Associate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Director for Science, Centers for Disease Control and  
2 Prevention.

3 DR. KOHN: Steve Kohl, Oregon Health  
4 Science University.

5 DR. FAGGETT: Walt Faggett, Pediatrician  
6 in the National Medical Association, Pediatric  
7 Section.

8 DR. GOLDBERG: Judith Goldberg, New York  
9 University School of Medicine.

10 MS. FISHER: Barbara Loe Fisher, President  
11 of the National Vaccine Information Center.

12 DR. STEPHENS: David Stephens, Emory  
13 University.

14 DR. GRIFFIN: Diane Griffin, Johns  
15 Hopkins.

16 DR. KATZ: Samuel Katz, Duke University.

17 DR. SCHILD: I'm Geoffrey Schild, from the  
18 U.K. National Institution for Biological Standards.

19 DR. COX: Nancy Cox, CDC.

20 DR. EICKHOFF: Ted Eickhoff, University of  
21 Colorado.

22 DR. MYERS: Martin Myers, National Vaccine  
23 Program Office.

24 DR. EDWARDS: Kathy Edwards, Vanderbilt  
25 University.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. DAUM: And I'm Robert Daum from the  
2 University of Chicago. I'll maybe ask the FDA folks  
3 who are seated at our table extension to identify  
4 themselves also.

5 DR. LEVANDOWSKI: Roland Levandowski, I'm  
6 with the Division of Viral Products at CBER.

7 DR. MINK: Dr. Chris Mink. I'm with DVRPA  
8 and CBER.

9 DR. GEBER: Antonia Geber, CBER.

10 DR. RIDA: Wasima Rida, Division of Vital  
11 Statistics, CBER.

12 CHAIRMAN DAUM: Thank you all very much.  
13 AT this point I'd like to turn the floor over to Dr.  
14 Patriarca as we begin the formal part of the session  
15 and have the introduction to the session and summary  
16 of prior VRBPAC deliberations. Peter.

17 DR. PATRIARCA: Thank you, Dr. Daum. Good  
18 morning everyone. Can you hear me okay? Does this  
19 mouse work? Okay, thank you.

20 Good morning, the topic of the meeting  
21 today and tomorrow involves FluMist™ or as generally  
22 known live, that is to say a vaccine that depends on  
23 active viral replication in order to be effective,  
24 attenuated, that is to say the production of illness  
25 on the milder end of the spectrum in comparison to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

wild-type, cold-adapted and temperature sensitive which in essence means that the replication of the virus is restricted primarily to the nasopharynx, trivalent, meaning that it has three components, two Type A and one Type B, an influenza virus vaccine.

The vaccine is actually made by crossing what is known as a Master Donor Virus with a wild-type virus by co-infecting embryonated eggs. The results of this, ideally, is what is known as a 6:2 reassortant, meaning that the internal genes are derives from the Master Donor which is attenuated, cold-adapted and temperature sensitive but the outer surface proteins, the hemagglutinin and the neuraminidase, which are important in immunity, are derived from the wild-type virus of interest and of epidemiologic importance.

The vaccine, again, is a trivalent preparation. The virus is diluted with normal allantoic fluid, that is to say more or less egg whites, and it is instilled into the nose rather than being injected as other influenza virus vaccines are. I have three purposes this morning. One is to orient the Committee and the audience to the presentations that will follow mine. Secondly, I will focus on some risk/benefit considerations and in particular those

1 that may not be emphasized later on today. And then  
2 importantly, I'm going to review some of the  
3 deliberations that took place approximately three  
4 years ago in reference to quote, unquote, "generic"  
5 live attenuated flu vaccines, but which also included  
6 some specific discussions about FluMist™ and its  
7 predecessors.

8 In talking about the potential benefits of  
9 the vaccine, this can be made fairly obvious simply by  
10 looking at the risk of wild infection. As I believe  
11 everyone knows, influenza is the most common cause of  
12 medically attended acute respiratory illness in all  
13 age groups. There is also a high risk of mortality  
14 and other severe complications, particularly in the  
15 elderly and other medically risk populations. And I  
16 think very importantly there was the recent, what I  
17 term rediscovery of the clinical and public health  
18 importance of influenza in children, particularly  
19 those age zero to four years.

20 There have been a number of recent studies  
21 which show that particularly among high risk children  
22 in this age group, they have a rate of about 500  
23 excess hospitalizations per 100,000 and even healthy  
24 children have 100 excess hospitalizations per 100,000,  
25 and these are approximately four times the rate of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 other age groups. So, clearly, the impact of  
2 influenza in this country and elsewhere is enormous.

3 Now, it's important when we talk about  
4 FluMist™ that there are some special challenges that  
5 pertain to this particular vaccine and vaccines like  
6 it that don't necessarily apply to other vaccines.  
7 First of all, again, to emphasize that this involves  
8 the purposeful administration of a live replicating  
9 agent and indeed, the vaccine will not work unless it  
10 replicates. Secondly, we're talking about an  
11 unusually large target population. And, in fact,  
12 Aviron has requested an indication for persons age 1  
13 to 64 years, which in this country is in excess of 200  
14 million.

15 Thirdly, annual vaccination is required.  
16 In contrast to the other vaccines other than  
17 inactivated influenza vaccine which generally require  
18 only a few doses or a series of doses with periodic  
19 boosters, this will require annual vaccination. Next,  
20 annual reformulation is very likely because the  
21 influenza strains, as everyone knows, tend to change  
22 over time and it's very likely that the vaccine will  
23 vary from year to year. and then finally, there is,  
24 as everyone knows, a licensed product specifically  
25 inactivated influenza vaccine, which has been licensed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in this country and used for approximately the last 50  
2 years which does have a very long-standing history of  
3 safety and efficacy.

4 Now, talking in general terms about live  
5 virus vaccines, one can think of the risks and  
6 benefits utilizing a matrix and in this matrix on the  
7 vertical axis, we have risks at the bottom, benefits  
8 at the top and then we have four components to this,  
9 each of which contribute some degree of risk and  
10 benefit. The first are the vaccine strains and the  
11 cell substrate used to produce the vaccine. The  
12 second component is the manufacturing process and the  
13 consistency of that process. The third is what  
14 happens to the host, the vaccinee and then  
15 particularly with a live vaccine consideration has to  
16 be given to the potential for transmission of the  
17 vaccine to other people. So let's take these in  
18 order.

19 As far as the benefits are concerned, what  
20 this vaccine is intended to do is to provide an  
21 antigenic stimulus to the immune system to produce the  
22 appropriate immune response and with this live virus  
23 vaccine, it is intended to produce an immunity which  
24 is very similar to natural infection which, of course,  
25 goes beyond the immunity that's induced by the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 inactivated vaccine and this immunity ideally is both  
2 homologous, that is against the virus to which you're  
3 being immunized, but also some heterologous  
4 protection, that is to say some cross-protection with  
5 variance that might be closely related.

6 Now, on the risk side, though because this  
7 is a live product, it will necessarily contain some  
8 degree of reactogenicity as you will hear later on  
9 today and importantly it also carries a risk,  
10 particularly in a live product, of carrying exogenous  
11 or endogenous adventitious agents. And with this  
12 vaccine, it's important to point out that whereas the  
13 vaccine is produced in eggs which are known as  
14 specific pathogen free eggs, the wild virus donor is  
15 not necessarily and in most cases will not be obtained  
16 from one of these eggs.

17 In other word, these isolates come from  
18 Asia and from clinics all over the world and generally  
19 the, if you will, run of the mill eggs are used for  
20 the isolation of those viruses, so there is some  
21 possibility then, that these agents could be  
22 transmitted and end up in the vaccine.

23 Now, with regard to the manufacturing  
24 process, it's particularly critical and especially for  
25 this vaccine, which has the chance of changing every

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 year, that the purity, potency and consistency, this  
2 is sort of the triumvirate of every vaccine, are very  
3 closely maintained. And so these three components are  
4 essential.

5 On the risk side, there's always the risk  
6 of contamination during the process of manufacturing  
7 and importantly for this vaccine, one is concerned  
8 about genetic instability. Influenza is a very highly  
9 mutable virus and if certain changes occur in the  
10 genetic constitution, it could have an adverse effect  
11 on attenuation and attenuation is a very key word that  
12 we'll talk about repeatedly today that should be kept  
13 in mind by everyone and there may also be some changes  
14 in antigenicity.

15 There is also a residual cellular DNA that  
16 can come from the cell substrate, in this case the  
17 eggs and also residual egg protein to which, as  
18 everyone knows, a small percentage of the population  
19 is allergic. In considering the host response, I've  
20 already mentioned that influenza virus vaccine and as  
21 you will hear later today, this also applies to this  
22 vaccine, has a very large potential to substantially  
23 reduce infection, due to wild-type virus, shedding,  
24 once wild-type virus exposure occurs, and importantly,  
25 illness, complications and mortality.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   On the other hand, there are also some  
2                   adverse effects that can occur with the host response.  
3                   These include both acute and delayed adverse drug  
4                   reactions. There is the potential for reversion of  
5                   this virus, a change in the attenuation properties of  
6                   the virus. There's also a possibility of immune  
7                   selection, that meaning that this virus may not  
8                   necessarily induce heterologous protection but rather  
9                   just amplify the homologous response or previous  
10                  response that the recipient may have had to a prior  
11                  influenza infection. There's also the possibility of  
12                  immune interference between the types of the vaccine  
13                  and this will be discussed a little bit later on, and  
14                  then finally there is concern about allergic  
15                  reactions, again because the viruses are diluted with  
16                  normal allantoic fluid that is administered  
17                  intranasally.

18                  The final component, transmission to  
19                  contacts, it has been arguably stated with oral polio  
20                  vaccine being the prototype, that immunization -- that  
21                  indirect immunization is actually a positive benefit  
22                  of a live virus vaccine. But in this case, in the  
23                  influenza case, this is probably not a desirable  
24                  property and this is for two reasons; one, there might  
25                  be a greater chance of reversion in the virus, that is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 to say a switch to a more virulent form once its  
2 passage in humans, if you will, rather than eggs and  
3 there is also a possibility of reassortment with wild-  
4 type virus.

5 And these are respects and particularly  
6 genetic instability, attenuation that will be covered  
7 in detail by Dr. Murphy in a few moments. Now, in  
8 November of 1998 this committee met and there are some  
9 persons still on the committee from that time, and we  
10 do have also some cross-overs of some of the  
11 consultants. And in that meeting, there was a  
12 discussion about quote, unquote "generic"  
13 considerations pertaining to live attenuated influenza  
14 vaccines, not necessarily just FluMist™ and there  
15 were four main topics that were discussed.

16 One has to do with containment of the  
17 virus basically at the manufacturer's site. Second  
18 has to do with the maintenance of attenuation of the  
19 vaccine virus, third the potential for the vaccine  
20 virus to reassort with wild-type influenza viruses and  
21 then finally the risk of allergic reactions due to  
22 primarily the administration of egg protein but also  
23 the potential risk for bacteria super-infection. What  
24 I'd like to do now is quickly go over these four  
25 things in a slightly different order.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           The first thing I'll talk about is  
2           containment. The issues involved here are the  
3           potential release of essentially the Master Donor  
4           Virus which is an H2N2 virus. Some of you may  
5           remember this as a so-called Asian influenza that  
6           circulated between 1957 and 1968 and was especially  
7           virulent, also the B Master Donor Virus which also has  
8           genetic properties that are unique to that. So what  
9           are the chances of this thing -- these viruses being  
10          released into the environment, into the general  
11          population and could that pose a problem?

12                 Secondly, the issue was raised about the  
13          manufacturing of the live attenuated influenza vaccine  
14          that had a novel hemagglutinin or neuraminidase and  
15          this would be done as part of a pre-pandemic exercise  
16          where one might before the pandemic actually hit the  
17          United States, try to manufacture a vaccine for  
18          potential use. The opinion of the committee and the  
19          consultants was that these issues were not considered  
20          to be problematic, that these viruses had been used in  
21          a number of laboratories and manufacturing facilities  
22          now for 30 plus years and that there had never been an  
23          instance where these things were released and  
24          moreover, generally, whenever one uses either these  
25          viruses or particularly viruses with novel

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 hemagglutinin and neuraminidase, these require a very  
2 high level of biosafety that is very strictly  
3 enforced. So containment in the opinion of the  
4 committee at that time was that this was not a  
5 problem.

6 The second issue is hypersensitivity  
7 reactions and bacterial super-infection. The issues  
8 there, once again, are the repeated administration,  
9 the annual administration of egg protein intranasally  
10 which, as everyone knows, is not a natural route of  
11 exposure. This pertains to the allergic component.  
12 As far as bacterial super-infection is concerned, the  
13 concern here is that even though this is an attenuated  
14 virus, it does involve active replication. And it was  
15 shown particularly with the H2N2 virus, the wild H2N2  
16 virus, that this virus is prone to de-epithelialize  
17 the respiratory tract. It can also interfere with  
18 ciliary function and importantly not only the H2N2 but  
19 other influenza viruses can interfere with the  
20 function of polymorphonuclear leukocytes which are  
21 very important in immunity against bacterial  
22 infection.

23 In the opinion of the committee at that  
24 time most people believed, although there was some  
25 question, that any egg protein administered

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 intranasally would probably be cleared prior to the  
2 point that the virus would actually replicate so this  
3 was not seen as a problem. And as you will hear later  
4 on this afternoon, Dr. Mink and perhaps Aviron, too,  
5 will address the issue of hypersensitivity reactions  
6 with actual clinical data.

7 And the other opinion regarding bacterial  
8 infections is for a variety of reasons that the  
9 committee opined that these were probably more likely  
10 to be prevented than caused. And again, there will be  
11 some information presented this afternoon regarding  
12 this. There are some data that are now coming to  
13 light.

14 The third issue was introduction of new  
15 viruses into the environment in the form of live  
16 attenuated influenza vaccines. The issues here,  
17 again, are the purposeful introduction of genetically  
18 modified influenza viruses into the general population  
19 and secondly the potential for these viruses to  
20 reassort with wild-type influenza viruses to  
21 potentially, potentially create more virulent human  
22 strains that have not circulated before. Now, in the  
23 opinion of the committee, they determined, and you  
24 will hear information pertaining to this today that  
25 the transmission, when it occurs, appears to be very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 limited and as Dr. Murphy will talk about a little bit  
2 later, the progeny of that transmission, those viruses  
3 will also, very likely if not solely, be attenuated  
4 rather than more virulent.

5 Secondly, Dr. Cox may want to mention this  
6 later on, but Dr. Cox and her colleagues at CDC have  
7 been involved over the years with an extensive  
8 evaluation of another live attenuated flu vaccine in  
9 Russia derived from a strain called A/Leningrad which  
10 is different from the A/Ann Arbor that we're  
11 discussing today, but nevertheless, this has been  
12 looked at repeatedly by her group and there's no  
13 evidence at least that they've been able to determine,  
14 that there's been circulation of genes derived from  
15 this vaccine in Russia, despite having been in use for  
16 many years.

17 Now, despite this positive opinion, there  
18 was the lingering concern that genetic modifications  
19 cannot be ruled out and with influenza being a very  
20 unpredictable agent, in and of itself, anything can  
21 and will go wrong. So this still remains a concern  
22 and I believe that Dr. Murphy will probably also cover  
23 this during his presentation. Now, Item Number 4 was  
24 the degree to which we could be assured that this  
25 vaccine would always be attenuated no matter what the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 conditions upon which it was made, no matter what  
2 wild-type virus was used.

3 And again, the issues are being sure that  
4 this so-called 6:2 reassortant strain, no matter what  
5 it is, is always going to be attenuated. The second  
6 concern is that animal models, to address this,  
7 particularly the ferret, traditional animal model, has  
8 been less than ideal. Part of the reason for this is  
9 that the ferret's body temperature is higher than the  
10 body temperature of a human and because the virus is  
11 cold-adapted then, and temperature sensitive, the  
12 ferret may not reveal all that you might like to be  
13 revealed in determining attenuation.

14 And then importantly, as is true for  
15 almost all other live attenuated vaccines, the genetic  
16 basis of attenuation is generally unknown. So in the  
17 opinion, and this is an important opinion which the  
18 committee should reconsider today, and will have the  
19 opportunity to reconsider during the discussion  
20 period, is that there was a consensus that ideally  
21 there should be annual human testing prior to  
22 widespread distribution of the vaccine. That having  
23 been said, there was also discussion of the approach  
24 and logistics and there was some wheel-spinning and no  
25 real conclusion to this was determined during that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 particular meeting.

2 Now, it's important to know that in  
3 addition to these concerns, we also have some other  
4 issues involved with this vaccine. First, as you will  
5 see later on, there is a difficulty in assessing the  
6 true reactogenicity of this vaccine, primarily because  
7 the quote, unquote "placebo" was not an inert  
8 substance. In fact it was allantoic fluid and as you  
9 will hear later on, there was a very high degree of  
10 reactivity in the control group. Second, we have to  
11 be concerned as we do with any vaccine, about rare and  
12 uncommon adverse events. And these really fall into  
13 two categories that can really only be determined once  
14 the vaccine, if licensed, is used on a very large  
15 scale basis.

16 One can think about a slightly more common  
17 adverse event such as pneumonia, bronchiolitis,  
18 bronchitis, croup, sinusitis and even otitis media.  
19 But more importantly and concerning are that influenza  
20 wild-type virus infection can also lead to a series of  
21 rather severe complications. These include toxic  
22 shock syndrome, myocarditis, pericarditis,  
23 rhabdomyolysis, encephalopathy, encephalitis,  
24 Guillane-Barre Syndrome, and there might also be the  
25 possibility of developing thrombocytopenia as has been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 demonstrated with MMR vaccine. This is because the  
2 virus is grown in an egg substrate. There might be  
3 antibodies produced against one of the surface  
4 receptors, the vitronectin receptor which can cross-  
5 react with receptors on the platlettes and thereby  
6 lead to thrombocytopenia. So whether or not these  
7 events will occur with this attenuated, and I have to  
8 emphasize that again and again and again that this is  
9 an attenuated virus, we don't know yet. The  
10 presumption is, is that all of these adverse events  
11 will occur at a much less frequency than it will with  
12 wild-type but that remains to be determined.

13 Another issue has to do with repeated and  
14 again annual dosing. There are two concerns here.  
15 One is might there be potential adverse immunologic  
16 consequences of giving a live as opposed to an  
17 inactivated virus vaccine from the standpoint of what  
18 is known as quote, unquote "original antigenic sin",  
19 also know as quote, unquote, "the Hoskins effect",  
20 which was described by a physician in England some  
21 number of years ago which basically suggested that if  
22 you repeatedly vaccinate someone that basically what  
23 might be involved is you simply reinforce the antibody  
24 response that they had to some previous infection and  
25 you don't really update their antibody very well.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Now, this issue has, in fact, been  
2 considered at great length by a working group by the  
3 ACIP and at least in the opinion of that group, this  
4 is only a theoretical concern and it not likely to be  
5 a real concern. The other issue with repeated annual  
6 dosing pertains primarily to seropositive persons and  
7 especially adults and specifically as you will hear  
8 later on, this virus replicates very poorly or even  
9 not at all in persons who have previous immunity. So  
10 the question here becomes bang for the buck. If you  
11 vaccinate people annually, if they really don't need  
12 it, and there's no way of knowing whether they need  
13 it, there will be some proportion of people then who  
14 will not have essentially any benefit but who might  
15 also have some risk associated with that. So this is  
16 also a general concern.

17 Two other concerns, first, as you will  
18 hear later, although the vaccine, the indication for  
19 the vaccine is for children as young as one year of  
20 age, Aviron does not yet have information on the  
21 responses when the vaccine is administered with other  
22 childhood vaccines nor to they have information on co-  
23 administration of this vaccine for travelers.  
24 Travelers is one of the indications they've asked for.  
25 Traveler, foreign travelers often receive other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 vaccines but there is no information about that at the  
2 moment that has been submitted to the FDA.

3 And then finally, an important  
4 consideration as you will hear later, is that there's  
5 very limited experience in high risk groups. You will  
6 hear some information on HIV-infected persons. You  
7 will hear some information about small groups of  
8 asthmatics but in general there's a very limited  
9 experience in people with pre-existing medical  
10 conditions who might be more susceptible to the  
11 effects of this attenuated virus than would the normal  
12 host.

13 So in summary, I hope I've made it clear  
14 that the task before the committee is going to be very  
15 difficult, that this vaccine poses very complex  
16 benefit/risk considerations. These complex  
17 considerations apply not only to this committee and to  
18 us at the FDA, but also recommending bodies, the ACIP,  
19 that AAP and so on. Secondly, just to emphasize that  
20 the principal focus of today's discussion is going to  
21 involve clinical considerations and virtually all of  
22 the presentations you'll hear this afternoon will  
23 pertain to that.

24 I just want to re-emphasize that there are  
25 other important issues pertaining to this vaccine.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 There are some manufacturing issues which cannot be  
2 discussed during this session, which are still under  
3 review and in consultation with Aviron. There's the  
4 attenuation reversion problem which Dr. Murphy will  
5 cover in great detail. I presume that he will also  
6 talk about potential for transmission and also  
7 reassortment with other viruses in nature. And then  
8 finally, just to emphasize this again, that there's  
9 very little information about the use of this vaccine  
10 in populations that are medically high risk.

11 Now, what I wanted to do, what I've been  
12 asked to do by Dr. Midthun is before I end, is to  
13 review the questions that the committee will hear  
14 tomorrow if that's okay, unless you want to ask me  
15 questions now and then present the questions after  
16 that.

17 CHAIRMAN DAUM: I think it might be nice  
18 to hear if the committee would like clarification of  
19 points that you made first, if that's okay.

20 DR. PATRIARCA: Okay.

21 CHAIRMAN DAUM: So why don't we open up  
22 your presentation to the committee at this point for  
23 clarifying questions, concerns, comments? Dr. Katz?

24 DR. KATZ: I don't know if this question  
25 is best addressed to Dr. Patriarca or perhaps to Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Arcuri. And you've referred several times to the fact  
2 that you're concerned about allantoic fluid which is  
3 used as a diluent. Why it is used as a diluent? Is  
4 it a stabilizer or does it in some way adjuvant? What  
5 is the choice of allantoic fluid as the diluent?

6 DR. PATRIARCA: I'd like to refer that to  
7 Aviron.

8 CHAIRMAN DAUM: If we can get a response  
9 to it, that would be great. If not, we'll defer to  
10 this afternoon.

11 DR. ARCURI: It will be a very brief  
12 response. Basically, allantoic fluid was used as a  
13 diluent to assure a constant level of background  
14 protein so that you didn't have variation from virus  
15 formulation to virus formulation because as I said,  
16 potencies can vary, so the amount of virus you add  
17 will vary depending on the potency of the strain.

18 CHAIRMAN DAUM: Thank you.

19 DR. SCHILD: I have a question. In your  
20 presentation, you're making the assumption that every  
21 time you need to change the composition of the  
22 inactivated vaccine it will be necessary also to  
23 change the composition of the any live future live  
24 vaccine. I mean, that could be a point of discussion,  
25 whether the immunological properties of a live vaccine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 differ significantly from those of an inactivated  
2 vaccine.

3 DR. PATRIARCA: Yes.

4 DR. SCHILD: Which suggests that you might  
5 change less frequently or more frequently or what?

6 DR. PATRIARCA: Yeah, your point is very  
7 well taken, Dr. Schild. Yeah, that's absolutely  
8 right. I don't think we know whether it will, in  
9 fact, in practice be necessary to change this vaccine  
10 just as we do the inactivated vaccine, a point well  
11 taken.

12 CHAIRMAN DAUM: I'd like to ask, some of  
13 the issues you raised, Peter, go to the need for  
14 ongoing interaction with the agency, the vaccine, the  
15 general public, ongoing issues but efficacy was not  
16 one of the things that you mentioned and I've actually  
17 been on my high horse a long time about what I feel is  
18 a need to have ongoing monitoring of the current  
19 influenza vaccines in terms of their annual efficacy.  
20 How do you see this new vaccine potentially as being  
21 monitored in that regard? Are there any concerns?

22 DR. PATRIARCA: I'll try to answer that  
23 although perhaps Nancy Cox or Dixie Snider might also  
24 want to answer that because I think this is primarily  
25 an issue which pertains mainly to CDC but the way that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we've worked that with inactivated vaccine is we now  
2 have this historical data base where there have been  
3 a number of primarily ad hoc vaccine efficacy studies  
4 that have been done over time and also a number of  
5 retrospective analyses and these analyses do give us  
6 a lot of confidence that when there is a good match  
7 between the wild strain and the vaccine strain, that  
8 the vaccine -- the inactivated vaccine has a very  
9 reproducible rate of efficacy.

10 The question might then be raised should  
11 another system be in place for this vaccine? Is it  
12 considered sufficiently different, how would that be  
13 done? Whose responsibility would it be to set up  
14 those studies and personally, I believe that those are  
15 issues that should be discussed by this committee.

16 CHAIRMAN DAUM: I would agree and maybe I  
17 will pick up the theme again when we have more general  
18 discussion. Dr. Kohl, please.

19 DR. KOHL: I would strongly agree with  
20 that and in terms of this committee picking up that  
21 issue and this vaccine in particular, regarding the  
22 other flu vaccines in which the indication age-wise is  
23 much broader and every year when we discuss flu  
24 vaccine we talk about the lack of good studies in  
25 children, the question of efficacy in children and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 immunogenicity and it seems to me with essentially  
2 starting a new ball game here, that it's critical that  
3 we bring some of that stuff in at the beginning  
4 instead of next year and 10 years from not saying,  
5 "Gee, wouldn't it be nice if we had studies in  
6 pediatric populations and other high risk populations  
7 as well".

8 CHAIRMAN DAUM: Thank you, Dr. Kohl.  
9 Other committee comments regarding clarifying points  
10 with Dr. Patriarca's presentation? One last, Dr.  
11 Edwards.

12 DR. EDWARDS: The license application,  
13 does it state that the strain collection will be  
14 comparable to what is used in the inactivated vaccine  
15 or is that not stated in the license application in  
16 reference to your -- in reference to the comment  
17 earlier?

18 DR. PATRIARCA: I believe it says that the  
19 strains are going to depend on what this committee  
20 decides but I'll ask Roland Levandowski to be sure  
21 about that.

22 DR. LEVANDOWSKI: That's my understanding,  
23 too. I can't quote you what it says exactly in the  
24 BLA but the intent has always been that the strains  
25 that are used in the live attenuated vaccine will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reflect the hemagglutinin and the neuraminidase of the  
2 current circulating strains and that's been the  
3 strategy that's been used in producing vaccines to  
4 this point. It doesn't necessarily mean that the  
5 strain would be identical to the one that's in the  
6 inactivated vaccine, because it wouldn't need to be  
7 but would be consistent with what the recommendations  
8 would be generally for currently circulating viruses.

9 CHAIRMAN DAUM: Very interesting potential  
10 consideration as to what this committee's discussion  
11 would look like in a live attenuated vaccine era.  
12 Thank you very much, Dr. Patriarca, for your usual  
13 crystallizing and focusing comments. And we'll move  
14 on now to hear from Dr. Murphy.

15 DR. PATRIARCA: Well, actually --

16 CHAIRMAN DAUM: Oh, I'm sorry.

17 DR. PATRIARCA: -- yeah, Dr. Midthun  
18 wanted to briefly go over the questions.

19 CHAIRMAN DAUM: Would you please do that?

20 DR. PATRIARCA: Just so everyone will keep  
21 these in mind as the presentations go forward.

22 CHAIRMAN DAUM: My mistake.

23 DR. PATRIARCA: And I'm hoping that AV  
24 guys realize that this is going to happen or supposed  
25 to happen.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CHAIRMAN DAUM: That will quickly be  
2 apparent.

3 DR. PATRIARCA: Okay, so, let's see; we're  
4 still 15 minutes behind. Sorry about that.

5 CHAIRMAN DAUM: That's okay. It was very  
6 helpful.

7 DR. PATRIARCA: I actually don't have --  
8 maybe I could start reading the questions until the  
9 slides come up. I don't have a -- okay. So what I'd  
10 like to do is just briefly go over the questions.  
11 These have been, right, Nancy, distributed as part of  
12 the package that's available to everyone, is that  
13 correct, including the people in the audience? Is  
14 that correct? Okay.

15 We're going to have two questions for the  
16 committee tomorrow and we're also going to have two  
17 discussion points. Oops, here we go. Okay, the first  
18 question pertains to efficacy; "Are the data adequate  
19 to support efficacy of FluMist™ in pediatric and  
20 adolescent populations, that is to say 1 to 17 years  
21 of age? If so, please discuss the appropriate  
22 schedule, i.e., one dose versus two doses. If two  
23 doses are recommended, please discuss the age range  
24 for this regiment and the recommended timing of the  
25 doses. That is to say the interval between doses".

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1                   And then secondly, "The adult population  
2 defined here as age 18 to 64. In your discussion,  
3 please address the adequacy of the challenge data  
4 submitted in support of efficacy against H1N1". As  
5 you will hear later on this afternoon, there is no  
6 clinical data pertaining to the efficacy of H1N1 and  
7 so, you'll be hearing about some challenge data later  
8 today.

9                   "If the data are not adequate for specific  
10 age ranges, please discuss what additional data should  
11 be requested". The second question pertains to  
12 safety. "Are the data adequate to support the safety  
13 of FluMist™ in the population in which an indication  
14 is being sought, namely 1 through 64 years of age?  
15 Please discuss the adequacy of the data in subjects  
16 less than two years of age in the overall pediatric  
17 population, in adolescents and in adults, specifically  
18 adults greater than age 50. If the data are not  
19 adequate for specific age ranges, please discuss what  
20 additional data should be requested".

21                   The third item is a discussion point not  
22 a voting point. "Please discuss the need for data on  
23 concurrent immunizations, for example, in children and  
24 in travelers". And then finally the fourth point,  
25 "Please discuss any additional concerns and/or data

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that should be requested; for example, use of this  
2 vaccine in high-risk subjects; secondly, annual re-  
3 vaccination in adults; thirdly, the assessment of  
4 attenuation; fourthly, the potential for transmission  
5 or reversion of vaccine strains and then finally, the  
6 potential for reassortment of vaccine strains with  
7 wild-type influenza viruses".

8 CHAIRMAN DAUM: Now we'll say thank you  
9 and ask Dr. Brian Murphy if NIH to provide us with an  
10 overview of development of cold-adapted, live  
11 attenuated influenza vaccines, the basis for  
12 attenuation, potential for reassortment with wild-type  
13 viruses in nature. Welcome, Dr. Murphy.

14 DR. MURPHY: Can you hear me? Is this on?  
15 Can you turn this on? Okay, there we go. I want to  
16 thank Peter for asking me to come and speak today.  
17 I've worked on live attenuated influenza virus  
18 vaccines from 1970 through 1985 -- up through 1995.  
19 I hope the rest of the talk is clearer than that  
20 particular statement. And it's based on this  
21 experience and a lot of personal experience with the  
22 cold-adapted viruses that I'm talking today.

23 My current position is, I'm the Co-chief  
24 of the Laboratory of Infectious Diseases at NIH and we  
25 are -- we're working on other vaccines currently.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Now, this talk is going to be in three  
2 parts. The first part is about a five-minute overview  
3 of the salient points of the biochemistry and biology  
4 and epidemiology of influenza viruses. The second is  
5 I'm going to talk about the cold-adapted viruses and  
6 the third part of the talk is to address the concerns  
7 of what are the potential for reassortment of the cold-  
8 adapted virus genes with wild-type viruses, what are  
9 the potential complications of such interactions.

10 So to start off with the introduction of  
11 the biology of this virus. Influenza virus has a  
12 segmented genome. It codes 10 proteins. There are  
13 the polymerase proteins that are involved in  
14 transcription and replication. Hemagglutinin protein  
15 is responsible for a binding infusion. The NA protein  
16 is responsible for -- probably for a little bit of  
17 penetration but clearly for release. This is part of  
18 the transcription complex and is a structural protein.

19 The M1 is a membrane protein, structural  
20 protein. The M2 is also a structural protein. It's  
21 the ion channel that's required for successful  
22 penetration and initiation of infection. NS1 is an  
23 interferon antagonist. NS2 also known as the NEP or  
24 nuclear export protein, is a structural protein that's  
25 involved in getting the influenza virus replication

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 complex out of the nucleus and into the plasma  
2 membrane where these viruses undergo assembly.

3 Okay, the major property, one of the  
4 reasons we're here today, is that this virus undergoes  
5 genetic reassortment and two viruses infect a cell, it  
6 replicates and then viruses bud and they can have  
7 genes from either parent. This is the genetic basis  
8 of the generation of the new pandemic influenza  
9 viruses. It's also the genetic basis for the  
10 techniques that's used to make the live attenuated  
11 virus vaccines. The influenza viruses are acute  
12 infections of man. They grow to a pretty high titer.  
13 We've seen titers as high as  $10^7$ , in the  
14 nasopharyngeal specimen as it comes down and antibody  
15 response, but the important thing is it's an acute  
16 infection. It doesn't stay around very long and after  
17 day 10 to day 15, the virus is really gone from the  
18 body in contrast to herpes and HIV which have a long  
19 lasting association with humans.

20 Now, this is really a crucial slide  
21 because based on a tremendous amount of work that's  
22 been done, that quantitates the amount of virus  
23 replication and the illness that's associated with  
24 that. You can make a curve that shows that the amount  
25 of virus replication, high levels of virus

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 replication, and here if you can't see this, this  
2 level of virus replication is  $10^6$ . People who have  
3 this amount of virus will have high fever, signs of  
4 lower and upper respiratory tract illness.

5 Okay, this is very important. The sickest  
6 I've ever been in my life is when I had the Hong Kong  
7 flu in 1969. I was normal at 10:00 o'clock in the  
8 morning, had a 105 fever that evening. I would have  
9 had this amount of virus present in my nasopharynx.  
10 Now, very importantly for the live virus vaccine is  
11 the fact that low levels of virus replication are  
12 associated with attenuation. You can nicely infect  
13 individuals without the occurrence of significant  
14 infections. As you'll see, the cold-adapted virus  
15 we're talking about and all the reassortants generated  
16 from it replicate in this range.

17 Wild-type viruses generally have a pattern  
18 of replication like this. The cold-adapted vaccine in  
19 seronegative children replicates around three lives,  
20 up to around 10 days of replication. The vaccine in  
21 seronegative adults replicates to a lower peak titer  
22 and for a shorter duration, indicating the effect of  
23 immunity, even if these volunteers are selected for  
24 lack of antibody to the hemagglutinin and they'll  
25 still have a decreased level of replication. The CA

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 vaccine in the elderly, this particular set of  
2 patterns of replication to some extent dictate how  
3 these vaccines can be most efficiently used, very  
4 highly immunogenic, much more so than inactivated  
5 vaccine in the seronegative child. In the elderly  
6 they'll definitely have a role, I'll discuss that  
7 subsequently, in seronegative. It also grows in  
8 seropositive adults and immunized seropositive adults.  
9 We'll talk about some of the results of efficacy  
10 later.

11 Now, the virus undergoes two types of  
12 antigenic changes, antigenic shifts, antigenic drifts.  
13 The antigenic shift is simply picking up a novel  
14 hemagglutinin gene from the population of birds,  
15 generally and it becomes substituted for the current  
16 influenza virus strain. Antigenic drift is just a  
17 change in various antigenic sites that dot the  
18 hemagglutinin and generally two or three of these  
19 sites are changed every two or three years. That's  
20 why you have the need to update the vaccine.

21 The influenza vaccine takes advantage of  
22 the -- of this ability to undergo gene reassortment,  
23 make two viruses and you isolate what we call the 6:2  
24 reassortant. All the genes from the attenuated donor  
25 virus, the new altered either antigenic shifted or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE, N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 antigenic drifted glycoproteins from the drift virus.  
2 Now, the immunity to influenza virus is basically  
3 pretty straightforward. It's generally antibody-  
4 mediated. Resistance to infections antibody-mediated.  
5 Antibodies that are -- the virus stays pretty much  
6 confined to the epithelial cells of the respiratory  
7 tract. Antibodies have to be operative in the  
8 tracheal and lumer of respiratory tract and the role  
9 of the antibodies is to prevent infection, try and  
10 keep down the number of cells that are infected.  
11 Antibodies that diffuse from the serum or those that  
12 are produced locally either IgG or IgA participate in  
13 this resistance.

14 Extensive studies that look at it have  
15 clearly demonstrated the role for protective antibody,  
16 a protective role for antibodies directed at the HA  
17 serum, both IgA and IgG nasal washes can independently  
18 contribute to resistance NA as well, clearly a role  
19 for serum antibodies, much less is known about this.  
20 The importance of this is that this very complicated  
21 two antigen nature of protective immunity, multiple  
22 compartments, multiple isotypes within compartments,  
23 it's almost impossible to predict by doing a simple  
24 serologic assay what factor is going to be associated  
25 with immunity.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Now, what I'd like to do now is to talk  
2                   about the cold-adapted virus, major concepts  
3                   underlying the development of this cold-adapted A or  
4                   B virus. And what I'm going to be talking about is  
5                   the demonstration of the transfer of the six internal  
6                   segments from the cold-adaptive virus to each new  
7                   epidemic virus confirms the properties of cold-  
8                   adaptation, temperature sensitivity and attenuation of  
9                   genotypes, that this specifies a satisfactory level of  
10                  attenuation in humans and it provides the efficacy  
11                  that is necessary.

12                  I believe that this -- that the amount of  
13                  information that exists, the extensive study that is  
14                  done with this indicates that there's enough  
15                  information that's available to preclude much testing  
16                  on an annual basis and that what we're really looking  
17                  at is whether the licensure for this should very  
18                  seriously consider licensing the process, not just the  
19                  vaccines that are ultimately made.

20                  Now, the derivation of the cold-adapted  
21                  Ann Arbor, the A component, this was done by Dr. John  
22                  Maassab and he passed the viruses at gradually lower  
23                  temperatures in primary kidney (phonetic) and derived  
24                  a virus, cloned it, and by passage at 25 degrees and  
25                  isolated a donor virus. This passage history about 30

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 passages in PCK, and it is shown to have a ts  
2 phenotype, a ca phenotype and an attenuation phenotype  
3 in ferrets. This virus has been sequenced and  
4 compared to the sequence of the Ann Arbor 6/60 donor  
5 virus. There's one mutation in the PB2 gene, four  
6 mutations in PB 1, three in PA, several in NP, one in  
7 the M2 gene and one in the MS1. These are the genes  
8 that are thought to be associated with attenuation of  
9 this virus.

10 I'll provide more information on the three  
11 polymerase proteins and at least the M2 protein as  
12 well. Now, the ts phenotype of the Ann Arbor A, HAH2  
13 donor virus, these are the phenotypes. If you look at  
14 the level of replication of -- level of replication at  
15 25 degrees, the CA virus grows very efficiently at 25  
16 degrees, wild-type virus don't. Thirty-three degrees  
17 both viruses grow well. The CA virus is restricted in  
18 its replication at 39 degrees. This is the  
19 temperature sensitive phenotype.

20 The B donor virus was derived in pretty  
21 much a very similar way, passing it, lower  
22 temperatures deriving a virus at the ts/ca and  
23 attenuation phenotypes. This virus has been  
24 sequenced. There were an enormous number of  
25 differences between the CA and the wild-type virus

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 from which it was derived. So then they just compared  
2 what were the differences of the sequence of the B,  
3 the cold-adapted virus from other -- all the other  
4 wild-type B viruses that were analyzed at the time and  
5 it has a similar pattern of sequence changes.

6 This is the gene, only gene, that's  
7 unequivocally been identified to be associated with  
8 attenuation as far as I know at this point in time.  
9 Almost certainly these other genes are involved as  
10 well. This virus has a very similar spectrum of  
11 phenotypes as the Ann Arbor donor virus. It is -- the  
12 wild-type virus is restricted replication at 25  
13 degrees. The CA virus replicates efficiently at 25  
14 degrees, again replicating at 33, highly restricted  
15 replication of this at the -- at a restrictive  
16 temperature.

17 Now, here is some of the data from  
18 ferrets, just to give you an idea. Here's the wild-  
19 type virus. Here's the CA derivative of this. It  
20 grows very nicely in the nasal turbinates, it's  
21 definitely less than the wild-type but still there,  
22 highly restricted in the lungs. The B/Texas wild-type  
23 virus, again, replicating very nicely in the nasal  
24 turbinates, growing in the lower respiratory tract.  
25 Here was have the CS donor virus containing the six

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 genes from the cold-adaptive virus, glycoproteins from  
2 this, very restrictive in replication in the lungs and  
3 replicates about  $10^{100}$  fold less in the upper  
4 respiratory tract.

5 Now the B virus has also been studied in  
6 hamsters and chimpanzees. The virus is highly  
7 restrictive in replication of the lower respiratory  
8 tract of chimpanzees. This is the B/Ann Arbor. This  
9 is a reassortant virus. This is very important.  
10 People are always concerned about what will these  
11 viruses do in the lower respiratory tract of humans,  
12 et cetera. The people have not done pulmonary lavages  
13 on individuals who have been administered the live  
14 attenuated virus vaccines, but we put both the  
15 Influenza A and the Influenza B reassortant into  
16 chimpanzees and the replication to lower respiratory  
17 tract of chimpanzees is very restricted and it's just  
18 -- it's very restricted, almost not recoverable on  
19 most of the days that were sampled.

20 This is the level of attenuation of the  
21 B/Ann Arbor virus. Oh, I think I actually -- this is  
22 a mistake. This should be that A/Ann Arbor/6/60  
23 viruses. It's basically identical. The level of  
24 replications of the -- okay, I don't have the data on  
25 the -- I don't have the data on the ferret data with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the A/Ann Arbor/6/60 viruses but it's identical to  
2 that what I've showed you for the B/Ann Arbor virus.

3 What I want to do now is review with you  
4 the properties of the CA reassortants in humans and  
5 the properties that have been systematically examined  
6 over a very prolonged period of time with the level of  
7 attenuation, its infectivity for humans, genetic  
8 stability, transmissibility, and efficacy. Efficacy's  
9 been studied in individuals of different ages. We're  
10 talking now mostly about monovalent vaccines. None of  
11 the studies I'm going to be talking about are studies  
12 that are the formulation of the trivalent vaccine that  
13 you're considering.

14 Attenuation, the summary of the results,  
15 these are highly attenuated for seronegative and  
16 seropositive individuals, you need  $10^7$  viruses to be  
17 infectious. The ts and ca phenotypes are very stable.  
18 The virus is poorly transmissible. It's very  
19 immunogenic in infants and young children, much more  
20 so than the inactivated vaccine. Young adults, live  
21 and activated vaccines look alike. Elderly, the live  
22 is weakly antigenic but the live plus the activated is  
23 more efficacious and I'll show you some data  
24 suggesting that.

25 Now, this is how we did the -- how we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 looked at these viruses. We did challenge studies in  
2 adults who were selected for seronegativity to the  
3 hemagglutinin present in the cold-adapted virus. You  
4 might not be able to see this but we looked at two  
5 influenza H3N2, two viruses and two influenza H1N1.  
6 We looked at the wild-type virus which is this bar or  
7 the CA virus and this is the percent of the systemic  
8 illness and I think you can see in each case we were  
9 very nicely able to reduce the illness that's  
10 associated with wild-type influenza viruses by  
11 generating reassortants that contain the six  
12 transferrable genes from the A/Ann Arbor/6/60 donor  
13 virus.

14 Level of replications are very similar to  
15 what I've described earlier. Each of the wild type  
16 viruses grow four or five logs, the live attenuated,  
17 mean peak titer in seronegative adults around 1 to 2  
18 logs. Okay, this is really the basis of attenuation  
19 of these viruses. They replicate less well in the  
20 respiratory tract of humans. Similar analysis has  
21 been done for the B/Ann Arbor CA reassortment viruses.  
22 The -- we looked at the mean peak titer replication in  
23 adults and children but the variety of the B/Texas  
24 virus, the B/Ann Arbor/60, A virus or the B/Yamagato  
25 (phonetic), these are all 6:2 B reassortants. In each

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE. N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 case the wild-type virus was replicated much more  
2 efficiently in the upper respiratory tract of adults  
3 and the wild-type viruses were not put in children but  
4 these levels of replication of the viruses of the  
5 cold-adapted viruses, cold-adapted B viruses that have  
6 been evaluated in children have been to the same  
7 levels of those that we've seen with the cold-adaptive  
8 influenza A virus, same levels of replication.

9 Now, what are the genes of this virus that  
10 are associated with attenuation? The way we went  
11 about and the way this has been studied by others is  
12 you take a wild-type virus, you mate it with your  
13 cold-adapted donor virus and then you isolate  
14 reassortants. We isolated reassortants that derived  
15 one gene from this virus, from the donor virus and the  
16 contacts of wild-type genes from the -- and this way  
17 you could describe the phenotypes of this virus to the  
18 gene derived from your cold-adapted virus. We did  
19 this, we actually made volunteer pools up of each one  
20 of these -- each one of these preparations, put them  
21 in humans and did very careful comparisons with the  
22 wild-type virus to try and get an idea of which of the  
23 genes are associated with attenuation.

24 From this analysis, first of all we were  
25 interested in determining which of the genes were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 associated with ts and ca phenotypes. And this  
2 actually -- this actually -- we got a very clean  
3 reading based on this. The cold-adapted phenotype is  
4 specified by a single gene, a PA gene. We will see  
5 subsequently that this also an attenuating gene. The  
6 ts phenotype is independently specified by the PB2  
7 gene and the PB1 gene. Okay, so this is the genetic  
8 basis of two phenotypes which are associated with the  
9 attenuation phenotype.

10 Now, these six single gene reassortments  
11 were also looked at for their level of replication in  
12 ferrets, hamsters and humans. The level of  
13 replication in ferrets and hamsters of the two viruses  
14 bearing the ts mutations were clearly significantly  
15 restricted here. We had a difficult time testing for  
16 significant restriction in humans but these were both  
17 lower in their level of replication. P18 was clearly  
18 attenuated as a single gene in both the ferrets and  
19 humans. And then the M gene was attenuated in ferrets  
20 and humans. I think that this virus has a -- at least  
21 four genes that are associated with attenuation in  
22 humans, the three polymerase proteins and the N  
23 proteins.

24 We also had the opportunity to investigate  
25 in humans a reassortment that just had this gene and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this gene. It was a non-temperature sensitive virus  
2 but it is just as attenuated as the six gene  
3 reassortant virus. I think this virus has redundant  
4 mechanisms of attenuation that are conferred by the --  
5 this set of ts genes and then non-ts mutations. I  
6 think this is very important because the high level of  
7 genetic stability that's exhibited by this virus. I  
8 don't think we've ever seen a revertant in testing  
9 this over a very long period of time attests to the  
10 fact that it has multiple genes contributing to  
11 attenuation. When you test a virus that's just  
12 temperature sensitive, it reverts very readily.

13 It's the combination of the ts and then  
14 the non-ts mutations that contributes to the high  
15 level of genetic stability of this particular virus.  
16 And this is true for other viruses that have been  
17 evaluated in this way. I don't think we'll -- this  
18 just gives you a schematic look at -- you take the NR  
19 donor virus and you look at what -- these are the  
20 mutations that are present in the PB2 gene, the PB1  
21 gene and the PA gene and the attenuations. I also  
22 have the M gene on this. It has mutation at M2, so  
23 there are at least four independent mutations on  
24 different genes that contribute to the attenuation  
25 phenotype for humans.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   Which of these mutations or whether more  
2                   than one or two contribute to the attenuation  
3                   phenotype, which of these contribute to the CA  
4                   phenotype remains to be determined. Now, the property  
5                   of infectivity, what's known about that, infectivity,  
6                   you simply take a influenza virus, you dilute it out  
7                   and you test the frequency of infection looking at  
8                   either the shedding a virus or the antibody response.  
9                   You determine this. You determine a human infectious  
10                  dose 50 and you look at the large set of data that's  
11                  been derived doing this for both the Ann Arbor/6 --  
12                  the H1N1 virus, the H3N2 and this, of course, is the  
13                  A group we're looking at here.

14                  In adults, the mean infectious dose 50 is  
15                  around  $10^{3.5/5.7}$ . When you give  $10^7$  of this virus which  
16                  is generally the amount of virus that's given in the  
17                  vaccines, you're giving approximately  $10^{100}$  human  
18                  infectious dose 50 of the virus. The infectious dose  
19                  50 in children is a little bit less and therefore  $10^7$   
20                  of the virus is -- represents around 100 to 500 human  
21                  infectious dose 50's. The same type of information  
22                  exists for B CA reassortments, the adults, the human  
23                  infectious dose 50. Two studies have been done 55,  
24                  65, which is around  $10^6$ . In children it's around  
25                   $10^{3.5}$ .

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Safety and level of virus replication,  
2 I've talked about the level of virus replication data  
3 on genetic stability. There are various ways of  
4 determining genetic stability. One is you can  
5 determine the genetic basis of attenuation. I've  
6 described some efforts to do that which has been  
7 pretty successful for the sub-group A viruses. You  
8 can identify surrogate markers of attenuation, the ts  
9 phenotype and ca phenotype. This has been done and  
10 this is predominantly the method that's been used to  
11 look for the viruses and characterize the viruses that  
12 have come out of infected vaccinees.

13 Let me just go back here. Excuse me for  
14 a second. You can also do other things. If a vaccine  
15 actually has an altered phenotype, you can take that  
16 virus out, do some clonal analysis of it and do a  
17 variety of things to determine whether the phenotype  
18 is associated with -- has been altered following  
19 replication. I can tell you right now that all the  
20 work that's been done and we did a lot of this, and  
21 this is actually -- this particular virus was a  
22 competitor for viruses that I was working on at the  
23 time and I'm doing all these studies with this  
24 particular virus and I've never seen an unequivocal  
25 loss of the ts and ca phenotype that went to wild-type

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 level and any virus that has shown an alteration in  
2 its level of temperature sensitivity, when it was put  
3 back into the ferret, it maintained -- this study was  
4 done by John Maassab, it always maintained the  
5 attenuation phenotype.

6 So there's never been a situation where  
7 the virus that's been given to a human has been  
8 carefully analyzed, the virus coming out that's been  
9 associated with the loss of attenuation phenotype, I  
10 believe that's the result of the fact that it has four  
11 genes independently contributing to this particular  
12 phenotype.

13 The genetic stability, many studies have  
14 been done, as I say the ca and the ts phenotype is  
15 maintained in the majority of these. This has been  
16 looked at for both H3N2, H1N1 viruses. It's actually  
17 been done in a large number of viruses, even more than  
18 looked at here. A similar type of analysis has been  
19 done for the 6:2 reassortants of the B/Ann Arbor/6/60  
20 virus. These are the reassortants and these are the  
21 numbers of isolates that have been looked at or  
22 original nasopharyngeal washes that have been  
23 characterized. And again, a large number show the  
24 maintenance of the property of the ts and ca  
25 phenotypes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   Since there was less information really  
2 available on the B/Texas virus, we did a study where  
3 we took the B/Ann Arbor 184 CA virus, put it into  
4 immune suppressed hamsters, isolated a virus that we  
5 got 15 days later and we made -- from six different  
6 animals and we put it back into hamsters. I was  
7 working very hard to see if we could get revertants to  
8 do this particular study and the viruses that -- and  
9 we evaluated the passaged virus versus the unpassaged  
10 virus in the nasal turbinates and lungs of hamsters.  
11 Wild-type virus grew well. Attenuated unpassaged CA  
12 donor virus was restricted, as we've seen. All of the  
13 viruses, despite extensive replication, showed and  
14 maintained the attenuation phenotype. Again, it  
15 really gave a lot of -- it gave us a lot of assurance  
16 that this virus was -- this particular virus was  
17 highly stable.

18                   The conclusions on genetic stability, we  
19 think that these viruses are both phenotypically  
20 stable and that this is not a major problem, lack of  
21 genetic stability. We don't think this will be a  
22 major problem. Now, there have been a large number of  
23 studies that have been done, and these studies were  
24 generally done by Peter Wright. He had three or four  
25 vaccinees, a susceptible placebo, all housed together

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in a little playroom setting and he looked at the  
2 ability of the viruses to spread from the vaccinees to  
3 the contacts. In all of those studies we never saw  
4 the -- and these are the number of infected vaccinees.  
5 These are the number of contacts. We never saw the  
6 virus transmit to the contacts.

7 That does not -- and the importance of  
8 this is several fold. First of all, these are  
9 seronegative vaccinees. They're growing the virus,  
10 they're growing the virus to the highest level.  
11 They're growing the virus at  $10^{3.5}$ , the virus is not  
12 transmitting. We were very interested in why. The  
13 reason I think it's not transmitting is of you know  
14 the human infectious dose 50 for this virus, for these  
15 particular seronegative contacts, you need around, as  
16 we saw, about four, five logs approximately  $10^{4.5}$  logs  
17 of virus to infect 50 percent of them. These  
18 volunteer generally shed around three logs. So we  
19 think that they're shedding less than the human  
20 infectious dose 50. That's one.

21 These vaccinees rarely have symptoms, so  
22 they're not showing -- we think these two factors add  
23 up to a very poor and low level of transmissibility of  
24 this particular vaccine for humans.

25 Efficacy, there have been efficacy where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 monovalent vaccines have been studied extensively in  
2 adult wild-type challenges, pediatric subjects and  
3 then been studied also in seronegative individuals and  
4 also seropositive individuals. And I'm not going to  
5 go through the extensive data on this. I just wanted  
6 to indicate that these are studies that have been done  
7 with challenges of H1N1 viruses and H3N2 viruses in  
8 adult volunteers screened for susceptibility. The  
9 wild-type virus is generally, as you saw earlier, made  
10 these volunteer sick. Attenuated viruses infected  
11 them. When they were challenged, I think you can see  
12 there's a high level of protection against systemic  
13 illness in the volunteers. Also, this was associated  
14 with clear and unequivocal restriction of virus  
15 application in the vaccinees, decreased number and  
16 decreased quantity of virus, the decreased number of  
17 challenged individuals infected with the wild-type,  
18 decreased number of -- decreased quantity of virus  
19 shedding.

20 The same type of thing occurs if you  
21 challenged seronegative individuals, not with the  
22 wild-type virus but with the attenuated virus. You  
23 see a high level of protection and you see protection  
24 after a very long period of time. Short periods of  
25 time, you have a high -- a year later you maintain at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 least 54 percent of the individuals are still  
2 resistant to replication of the challenge virus.

3 I'm just showing this. I know that the  
4 Aviron will discuss this, their efficacy trial. The  
5 important point I want to make here is, is that this  
6 efficacy that they're seeing in this natural infection  
7 is very similar to what was saw in all of these  
8 challenge studies that we've done in experimental  
9 settings and not in the natural setting. The  
10 importance of that is, is that there are multiple  
11 times when we've demonstrated efficacy against H1N1  
12 viruses.

13 I know there is some limited data with  
14 H1N1 viruses natural settings but we've seen it every  
15 time that we've looked for it in our challenge  
16 studies. Now, here's another very interesting point  
17 and these are studies that were done by John Teraanor,  
18 three separate challenges, three separate studies that  
19 were done where they compared the efficacy in this  
20 case, against natural infection with the monovalent  
21 cold-adapted H3N2 Influenza A virus and he compared an  
22 activated group versus an activated live. And this  
23 was the efficacy that was in addition to that  
24 conferred by the inactivated vaccine.

25 And I think what we saw in this case, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there was approximately -- you can measure an efficacy  
2 of 65 -- 60 percent approximately. That's above and  
3 beyond, this is in elderly individuals above and  
4 beyond the protection that was afforded by the  
5 inactivated vaccine. This was a very important lesson  
6 because what it taught was that there was certainly  
7 room for improvement of the activated vaccines.  
8 Everybody who's used them, knows that's the case.

9 This documents a way to improve that  
10 efficacy. Although you're not considering using this  
11 -- the indication for the licensure is not for people  
12 who are older, this really indicates that the live  
13 attenuated virus vaccines will be able to supplement  
14 the efficacy of the inactivated vaccines. And we  
15 think the reason that it does this to -- first of all  
16 the activated vaccine is much more efficient in  
17 introducing serum antibodies than the live.

18 The live, in contrast, is better in  
19 stimulating local antibody. The sum of these two  
20 positive properties is responsible for this higher  
21 level of efficacy that is seen. Okay. Now, these are  
22 the properties we've talked about. These are the  
23 number of reassortant vaccines that we studied or  
24 studied as a part of the whole NIAID, including the  
25 intramural, extramural branches. These are the number

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 evaluated for safety and the properties were  
2 demonstrated.

3 The important thing here were that there  
4 were seven or eight other vaccines that were developed  
5 during this period of time and each one of them fell  
6 off. Some of them were not safe. They produced a  
7 febrile illness or too much -- most of them were  
8 satisfactorily infectious. Some -- most of them --  
9 most of the problems really were safety or genetic  
10 stability. The virus with just its mutations were not  
11 genetically stable. The viruses that were -- we had  
12 problems with avian and human reassortants of --  
13 viruses H3N2 viruses not being very satisfactorily  
14 attenuated, even in kids, but H1N1 viruses were not.

15 So this set of properties -- the cold-  
16 adapted virus is like the energizer bunny. It just  
17 kept going through each one of these things and doing  
18 extremely well and it went through every one of these  
19 tests and survived whereas all of the other vaccines  
20 that we tried fell off this pathway. And that's all  
21 I wanted to say except just summarizing the possible  
22 uses. Live virus vaccines will be very useful in  
23 seronegative individuals. In the individuals who are  
24 between the young infants and children the elderly the  
25 live virus vaccine and the inactivated vaccine both

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 work and they're good. I think a lot of people would  
2 much rather have something put in their nose than a  
3 shot in their arm.

4 The elderly, I think that you're going to  
5 -- if you want to get optimum protection in this age  
6 group, you're going to have to give both. They both  
7 give you different -- stimulates different parts of  
8 the immune system. Those are just some thoughts for  
9 you to consider.

10 Now, I want to just address in the last  
11 five or six slides some of the problems that are  
12 associated with one of the issues regarding the  
13 introduction of the cold-adapted virus into the  
14 general population, what does this mean for a  
15 generation of reassortant viruses and what does it  
16 mean for the issues regarding unknown generation of  
17 reassortant viruses. Now to do that, I'm just going  
18 to review briefly some of the properties of the  
19 pandemic influenza virus since 1918.

20 We had one in 1918, 1957 and 1968. These  
21 viruses have been very carefully characterized. In  
22 1957 when the H2N2 virus came along, it picked up PB1  
23 NA from an avian virus. The reason I'm pointing this  
24 out right now is the cold-adapted virus is derived  
25 from this particular virus. In 1968, the new virus

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE, N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 picked up a new -- the H3N2 virus picked up a new  
2 hemagglutinin and kept the neuraminidase, picked up a  
3 new PB1. The other virus -- this virus is circulating  
4 today. The H1N1 virus was very similar to a strain  
5 that existed in 1950 -- the H1N1 virus present around  
6 1950 appeared back in the population in 1970. These  
7 two viruses circulate today.

8 We'll revisit some of the issues regarding  
9 this. What are the other examples of the introduction  
10 of animal or avian influenza viruses into the human  
11 population? The H1N1 virus is from swine -- you all  
12 remember the swine flu. That got into the human  
13 population and caused some problems. The H1N1 virus  
14 from birds seems to have gotten into pigs, reassorted  
15 with pigs, generated a virus and picked up an H3N2  
16 code, got back into humans. This is documented in  
17 1993. H7N7 avian virus is from pet ducks, gotten into  
18 the humans and have caused infections.

19 H5N1, we know all about the avian bird flu  
20 from 1997. H9N2, from market birds in 1999. There's  
21 been a lot of introduction of genes, much more  
22 different from the genes that are present in the cold-  
23 adapted viruses. The humans are constantly being  
24 probed by these viruses to see if they can -- just to  
25 see if the viruses can take off. What happens is --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 what's happened in these cases -- okay, this is just  
2 some of the specific examples. The H1N1 swine flu is  
3 a introduction of the swine virus. This is some type  
4 of reassortment with some avian genes and some human  
5 genes, got into the human population.

6 The H7N7 virus from birds, H5 from birds,  
7 H9, these are really problems -- humans are going to  
8 have problems with genes from avian viruses. They're  
9 going to come from wild-type viruses. They're going  
10 to come from viruses in the circuit. They're not  
11 going to come from out cold-adapted virus and I'll  
12 give you the reasons why I believe that. The  
13 consequences of these viruses, as you know, the 1918,  
14 '57 and '68 viruses are severe pandemic viruses. The  
15 swine virus caused severe infections in humans but did  
16 not cause a pandemic. Okay, this was also true of the  
17 H5N1 virus, severe infections in individuals, abortive  
18 infections in humans.

19 The H1N1 virus became epidemic. The H7,  
20 again, was abortive, only seen in one individual.  
21 Now, the -- the third source of these influenza genes  
22 come from laboratory studies or experimental studies.  
23 I think many people believe the H1 virus was a 1957  
24 strain, somebody was working with it. It got into a  
25 laboratory worker, got into the population. That's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 one scenario that's reasonable, makes sense based on  
2 the information that exists.

3 H7N7, avian virus that was present in  
4 seals, got into a human, it caused conjunctivitis.  
5 The direct inoculation, people have been administering  
6 various avian viruses to humans from time to time for  
7 the purpose of making experimental vaccines. Now,  
8 what is known now about the interaction of viruses.  
9 The current trivalent vaccine will have an H3N2 and an  
10 H1N1 component. What's known about the mixture of  
11 genes from H1N1 and H3N2 virus is what has been  
12 circulating in the population together since 1957 --  
13 1977.

14 The various combinations have been  
15 documented by investigators and you can get  
16 reassortments. These reassortments can be -- contain  
17 H1N1 virus, all the other genes from H3N2, various  
18 mixtures. In fact, there are a lot of mixtures that  
19 have been identified. You can find a variety of  
20 H1N1's, antigenic mixtures, et cetera. The important  
21 point of this is that first of all these infections,  
22 these transfer of genes between H1N1 viruses and H3N2  
23 viruses are going to continue as long as these viruses  
24 circulate between wild-type viruses.

25 Okay. The important point is, is that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 these viruses that were identified, they cause  
2 transient circulations of the viruses in the  
3 population. These viruses will not persist. They  
4 will always be replaced by the H1N1 or H3N2 parent  
5 viruses. They do not seem to be a strong selective  
6 pressure on them. They seem to just sort of cause a  
7 little epidemic here or there and then were replaced  
8 by the other viruses. The illnesses that were seen in  
9 individuals from whom these viruses were isolated were  
10 identical to the -- either the H3N2 or H1N1 wild-type  
11 virus.

12 Okay, I've just got one or two more  
13 slides. Okay, so what that meant is, is that there  
14 are probably no significant consequences of mixing the  
15 H1N1 and H3N2 viruses and this will occur in the  
16 vaccinees. I would imagine almost very vaccinee that  
17 you give an H3N3 and H1N1 component vaccine together  
18 will generate reassortant viruses.

19 They all share the same six internal genes  
20 so that can undergo a significant exchange. Now, one  
21 of the consequences of introduction of genes present  
22 in the ca virus into the human population, but can  
23 these genes -- are there any threat of getting the  
24 internal genes into the population and what are the  
25 consequences, possible consequences of reassortant of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 genes present in the cold-adaptive virus with those  
2 present in animal or avian viruses?

3 Okay, now just to review again, the 1960 -  
4 - the vaccine virus, the ca donor virus was derived  
5 from this H3N2 virus. It contains genes related to  
6 the H1N1 virus as does both the H3N2 and H1N1,  
7 currently circulating H1N1 virus. We think there are  
8 no -- you know, these are just different versions of  
9 the same gene, the same is true of the PA and the PB2  
10 except that these genes all have attenuating mutations  
11 or most of them have attenuating mutations.

12 Only the PB1 gene differs from the  
13 currently circulating virus. Okay, this is actually -  
14 - the ca reassortant viruses have this gene. The  
15 currently circulating viruses have different PB1's.  
16 So this is the only sort of novel gene, but this is  
17 not really a novel gene. It is 97 percent related by  
18 amino acid sequence to the genes that are present in  
19 the H1N1 or the H3N2 virus. So when you look at this,  
20 the high degree of genetic relatedness of the internal  
21 genes that are present in the ca donor virus, we not  
22 think that there should be any problem of those  
23 particular genes getting into the population, the same  
24 problem of those genes getting into the population  
25 shared by the wild-type viruses.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Now, this is the main -- are there unique  
2 consequences of reassortant between genes bearing new  
3 HA or NA genes from avian or animal viruses and one or  
4 more genes from the ca. What's the chance of the ca  
5 virus picking up novel HA's or NA's and how does this  
6 differ from the wild-type virus? We think that it  
7 will be very -- okay, I'll just -- we think it will be  
8 very unlikely for this to be a significant problem.  
9 The ca virus is poorly transmissible. It's almost  
10 certainly not going to get into an animal virus or a  
11 bird enabling it to undergo a combination in that  
12 host. Therefore, there is certain -- it will likely  
13 occur in humans.

14           The ca virus is present in lower titer and  
15 for a shorter duration in vaccinees and this would  
16 decrease the opportunity with which a reassortant  
17 could occur. At least 50 percent or even more than  
18 that of any kind of reassortant virus between a ca  
19 virus and the wild-type avian or animal virus will  
20 have ca reassortant genes.

21           This is my last slide. This is actually  
22 a lot of -- there's a lot of information in here. The  
23 ca vaccine at the time of the possible pandemic, if  
24 you have a ca virus vaccine available at the time of  
25 a pandemic vaccine, you'll be very lucky. Generally,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 it's very difficult to isolate a new pandemic virus,  
2 make a reassortant, generate clinical lots and do  
3 studies. So we think that this is really not an  
4 issue. It's certainly not an issue for inter-pandemic  
5 influenza viruses.

6           Clearly, you would not -- okay, now if a -  
7 - if you did, if you were very wise and had made a  
8 whole variety of ca reassortant viruses bearing H4,  
9 H5, H6 genes and had them all ready at the time a new  
10 virus appears, you have a new virus in Asia, wants to  
11 come to the United States. Should you be able to  
12 introduce that virus because it could undergo the  
13 combination with the H1N1 circulating viruses in the  
14 United States at the time and generate a wild-type  
15 virus. The answer is, yes, you would probably go  
16 ahead and use it when the certainty of a pandemic  
17 virus arriving in the United States is 100 percent.

18           In the case of an abortive infection, you  
19 would never use this virus, okay? You would never use  
20 this virus and introduce it into the population where  
21 there are -- a virus bearing novel glycoproteins, ca  
22 donor viruses into an open population where wild-type  
23 viruses are circulating unless that virus -- unless  
24 the virus was -- unless the virus was a pandemic  
25 virus. Abortive infections that occurred in 1977 and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the swine -- and the swine flu or the bird flu, you  
2 would not use a cold-adaptive virus vaccine bearing  
3 those glycoproteins unless those viruses became  
4 pandemic.

5 So I don't think that people would use  
6 these viruses or consider using them at a time of a  
7 pandemic -- I think you would -- I don't think that  
8 they'll be available for the time of the pandemic in  
9 the next 10 years but if it were available, you would  
10 use them to prevent the spread but you would never use  
11 them and introduce them into a population to -- in a  
12 situation where you have an abortive epidemic.

13 That's it.

14 CHAIRMAN DAUM: Thank you very kindly, Dr.  
15 Murphy. I hate to not provide the committee an  
16 opportunity to ask a couple questions that might be  
17 clarified regarding your presentation. Are there a  
18 few questions from committee? Ms. Fisher, Dr. Myers,  
19 Dr. Schild.

20 MS. FISHER: If up to 20 percent of the  
21 population every year get the flu, I believe that's  
22 the estimate, up to 20 percent of all people get the  
23 flu every year, what are the potential long term  
24 epidemiological consequences of targeting every baby,  
25 child and adult for exposure to these flu viruses

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       albeit an attenuated form? In other words, have you  
2       looked at the potential for mutation of the viruses  
3       into vaccine resistant forms leaving a majority of the  
4       population without true immunological memory and more  
5       vulnerable to future possibly more virulent flu  
6       pandemics and has there been any assessment or  
7       evaluation of the long term immunological integrity  
8       and overall health of those who are vaccinated every  
9       year with these live viruses versus those who are not?

10               DR. MURPHY: I think that's an extremely  
11       complicated question. The important relevant  
12       experience is the experience that has been gained with  
13       a lot of live virus vaccines that have been utilized  
14       to date and generally the information from using these  
15       virus vaccines has been that the consequences of the  
16       infection fall within the range of what you'd expect  
17       with a wild-type virus except that they're highly  
18       attenuated compared to the wild-type virus. So that  
19       you can -- if there are specific problems associated  
20       with the wild-type virus in terms of altered  
21       immunological consequences, you might see those with  
22       these live attenuated viruses but they would always be  
23       much, much less frequent because the virus is going  
24       1,000 fold less well, et cetera.

25               The -- although 20 percent of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 population develops illness, a lot more become  
2 infected. A lot of the population becomes infected  
3 with these viruses, has illnesses that don't bring  
4 them into the physician with wild-type viruses. We  
5 don't think that there should be anything different  
6 about these live attenuated, cold-adapted virus  
7 vaccines used as an immunogen, versus what occurs with  
8 the wild-type virus. The wild-type virus infects a  
9 large percentage of the kids on an annual -- more than  
10 20 percent.

11 CHAIRMAN DAUM: Three more crisp question  
12 askers and crisp answers. Dr. Myers, please.

13 DR. MYERS: Would you build on your last  
14 comment and tell us about concern or your thoughts  
15 about the use of cold-adapted vaccine in travelers to,  
16 for example, Asia, and then just something that I  
17 wasn't clear from what you said is does productive  
18 chick embryo productive infection imply virulence for  
19 flocks?

20 DR. MURPHY: Say that once again.

21 DR. MYERS: Yeah, does the enhanced  
22 productive infection that occurs in eggs imply a  
23 virulence for flocks?

24 DR. MURPHY: No. I mean, these viruses  
25 are -- would basically be apathogenic for a livestock,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 bird, et cetera. Human virus is really -- you could -  
2 - you can't find hardly any information or literature  
3 about human viruses going into livestock and  
4 associated with lots of problems. There's some H3N2  
5 viruses that have been in pigs, et cetera, but if  
6 these viruses can to into livestock the wild-type  
7 viruses will be there, okay, before these cold-adapted  
8 viruses would ever be there.

9 And your first question was?

10 DR. MYERS: Using the vaccine for  
11 travelers to Asia for example.

12 DR. MURPHY: Well, I don't think that  
13 would be a problem. I mean, there shouldn't be any  
14 inherent problems of using this vaccine in travelers.  
15 I think the concerns that were raised about their  
16 compatibility with other vaccines would be an issue,  
17 a separate issue, but in terms of generating  
18 reassortant viruses, you have to remember that the  
19 H3N2 and H1N1 viruses are widely distributed  
20 throughout the world, okay, so that, you know, they're  
21 there. There won't be anything unique occurring, any  
22 specific problems associated with the cold-adapted  
23 vaccine.

24 DR. MYERS: I was trying to ask the  
25 question about the point that you were making at the

1 end about never utilizing in the setting of --

2 DR. MURPHY: Let me --

3 DR. MYERS: --circulating other strains.

4 DR. MURPHY: Right, let me clarify that  
5 point. The ca recombinants, you would not use them,  
6 you would not make a ca recombinant virus and  
7 introduce it into the human population in the setting  
8 of two -- in the settings we know about. You would  
9 not have done that back in 1977 when the swine flu  
10 virus came along because it caused an abortive  
11 epidemic. You would not have done this when the H5N1  
12 virus came from birds to pigs. You would not make an  
13 H5N1 cold-adapted virus and introduce it into the  
14 population.

15 You would only use the cold-adapted virus  
16 during novel glycoprotein genes and the threat of a  
17 bona fide pandemic virus and you'd have to have a very  
18 special group of individuals who would say, yes, this  
19 is a bona fide epidemic. That's what I was trying to  
20 make -- that point I was trying to make.

21 CHAIRMAN DAUM: Thank you. Dr. Schild,  
22 then Dr. Griffin.

23 DR. SCHILD: Very masterly review of a  
24 very complex subject. I believe the reappearance  
25 after 25 years of an H1N1 virus that continues to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 spread in co-donors is still an enigma that requires  
2 and explanation in relationship to what we do when we  
3 work influenza viruses. Have you any reasonable  
4 hypothesis explaining how it hid for 25 years and --

5 DR. MURPHY: Well, I think it was -- I  
6 mean, I would say -- this is a little bit off the  
7 topic, but I think the virus, somebody was working  
8 with it on the bench top, somebody who was not immune  
9 to H1N1, got it in their nose, went home, brought it  
10 home to their kids and the epidemic started from that,  
11 in that way. That to me, makes the most sense.

12 And I would share with you, and as Peter  
13 had indicated on the slide, working with viruses such  
14 as the H2N2 virus, everybody who has been born after  
15 1968, okay, you be very careful and reassortants with  
16 this virus have to be done under -- where you can  
17 generate an H2N2 wild-type virus. So if you're  
18 working with an H2N2 wild-type virus, I think that you  
19 have to show considerable caution in that and maybe  
20 learn from the H1N1 experience. But I don't think  
21 that has anything to do with using the cold-adapted  
22 virus in an open population.

23 CHAIRMAN DAUM: Dr. Griffin?

24 DR. GRIFFIN: My understanding of your  
25 data comparing both replication and infectivity in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 adults versus children was that, first of all, it's  
2 more infectious for children than it is for adults and  
3 second of all, it replicates better and by a log  
4 approximately for each of those two. So question  
5 number 1 is what were the ages of the children that  
6 those graphs were generally taken from? Were those  
7 one year olds, or four year olds or eight year olds?

8 DR. MURPHY: No, no, no, the seronegative  
9 kids are all -- would generally be between six months  
10 and 36 months of age.

11 DR. GRIFFIN: Okay, then the second  
12 question was, what do we know about those same  
13 parameters, infectivity and replication, in immuno  
14 compromised -- I know you won't have any data on  
15 immuno compromised children probably, but in immuno  
16 compromised animals, I don't know, ferrets or  
17 hamsters? You had a little data on prolonged  
18 replication, but --

19 DR. MURPHY: We just did that one  
20 experiment with hamster with the B donor virus. I  
21 have not looked at the prolonged replication of these  
22 viruses in humans. The interesting thing about --

23 DR. GRIFFIN: And level --

24 DR. MURPHY: The interesting thing about  
25 influenza viruses in general is, is that individuals

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 with AIDS, et cetera, they don't stand out or  
2 influenza -- or in people who are undergoing bone  
3 marrow transplant. They don't stand out as the major  
4 problems in those settings. RSV, PRV are the major  
5 problems in those settings indicating that there is  
6 not a lot of information out there that would suggest  
7 that influenza viruses in general or these viruses in  
8 particular would be especially problematic in immuno  
9 suppressed individuals, such as AIDS who have partial  
10 immuno suppression.

11 Does that answer your questions, Diane?

12 DR. GRIFFIN: Well, I have a more  
13 elaborate -- but yeah, that gives an approximate  
14 answer.

15 CHAIRMAN DAUM: Thank you very much, Dr.  
16 Murphy. And given that it's not an airplane day for  
17 committee members, I think we'll take a lunch break.  
18 It's 12:35 here in the Eastern Time Zone and we'll  
19 reconvene at 1:35, one hour from now. Thank you.

20 (Whereupon, at 12:35 p.m., a luncheon  
21 recess was taken.)  
22  
23  
24  
25

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:39 p.m.)

1  
2  
3 CHAIRMAN DAUM: Good afternoon. Welcome  
4 back from lunch. The FDA staff outside the room has  
5 been kind enough to take messages for people that need  
6 to be reached here within the conference room. You  
7 might want to check out there with the staff if you're  
8 expecting something. We're looking for a Dr. Dominick  
9 who people apparently are trying to find and have left  
10 a message out there from this morning. I'm sorry,  
11 Dominick Iacuzio, thank you, Dr. Bleshe.

12 We move to the afternoon's agenda now  
13 which are presentations from the sponsor and from the  
14 FDA. Dr. Greenberg will begin the presentation and --  
15 I did good -- and what we're going to do is to have  
16 the first two sponsor's presentations and have  
17 committee input after the second one. We will -- the  
18 sponsor's presentation, I'm told is 90 minutes long  
19 and we will not charge them the time for the committee  
20 discussion. So those are the ground rules that have  
21 been negotiated and so we'll have two presentations in  
22 a row and then committee discussion and then the other  
23 three, with committee discussion in between. Without  
24 further ado, Dr. Greenberg.

25 DR. GREENBERG: Thank you, Mr. Chairman,

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 members of the committee. I'd like to make a brief  
2 remark before I go on and that is that this morning  
3 you heard that there are ongoing discussions between  
4 the FDA and Aviron from Dr. Patriarca and this is true  
5 and they are highly collegial and ongoing and we  
6 expect them to continue in the future and we're very  
7 appreciative of the FDA for interacting with us.

8 So this afternoon you're going to hear  
9 about the clinical spectrum with FluMist™, the  
10 influenza vaccine for use in healthy children and  
11 adults, ages 1 to 64. The proposed indications for  
12 this vaccine are annual immunization for prevention of  
13 influenza in healthy children 1 to 17 years of age;  
14 two doses one month apart for previously unvaccinated  
15 children less than 9 years of age and one dose for  
16 previously vaccinated children and children greater  
17 than 9 years of age, for healthy adults, 18 to 64, one  
18 dose.

19 Not proposed for use in high risk children  
20 and adults, people with a history of allergy to  
21 chicken eggs, children or adolescents receiving  
22 aspirin, women who are pregnant or people concurrently  
23 being vaccinated. Well, you've had a very brief  
24 discussion of why we're looking at vaccines for  
25 influenza and I actually mentioned this previously,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 but I want to bring home the message again, and that  
2 is that influenza is an equal opportunity package and  
3 by that I mean, it effects all age spectrum of the  
4 population, from our very youngest children to our  
5 elderly and it takes a big toll across the population.

6 So this is data from Dr. Glezen, who may  
7 or may not be in the audience, I haven't seen him but  
8 I thought he would be here but I could have taken it  
9 from any number of people, indicating, as you heard  
10 that in the area of mortality the effect is really  
11 greatest in our elderly. Hospitalizations, however,  
12 a type of morbidity occurs both in our elderly and in  
13 our young, children under 5 being most effected and  
14 then medically attended illness, illness that probably  
15 took Dr. Murphy to a doctor back then when he got the  
16 pandemic flu, really occurs across the population with  
17 its greatest effect on our young people.

18 There are limitations with the current  
19 influenza vaccine program. There are 150 million  
20 healthy people currently not vaccinated. Vaccination  
21 rates in healthy children are less than 10 percent  
22 despite this very big burden of disease. Vaccination  
23 rates in healthy adults are less than 30 percent. The  
24 need, current need, already outstrips supply and an  
25 annual injection is required currently and the vaccine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that is currently given is not delivered at a mucosal  
2 surface where virus replicates.

3 Next slide. So the vaccine that we're  
4 talking about and you've heard this from both Dr.  
5 Levandowski and from Dr. Murphy, is a live virus that  
6 contains that hemagglutinin and neuraminidase gene  
7 segment of the current epidemic influenza viruses and  
8 the remaining segments from the attenuated Master  
9 Donor Strain. It's manufactured in specific pathogen-  
10 free eggs. There's no preservative in the vaccine, no  
11 thimersol and it's stored frozen. It's trivalent  
12 containing  $10^7$  tissue culture infectious doses of each  
13 of the strains in a half an mL and it's administered  
14 by a nasal sprayer as a large particle mist, 60 micron  
15 in average diameter and it's a quarter of an mL per  
16 nostril.

17 Well, you've all gotten vaccinated and I  
18 won't belabor you with showing you what a shot looks  
19 like, but you've heard some talk about nasal sprayers  
20 and I think it would be good for you to see what  
21 vaccine administration by a nasal sprayer is. So this  
22 is a young girl being administered a dose of  
23 FluMist™. As you can see FluMist™ administration is  
24 easy and well tolerated. Next slide, please.

25 You also heard about the substantial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 history of the investigation of this vaccine and I  
2 wanted to bring home this message, so this vaccine,  
3 live influenza vaccine milestones over the first three  
4 decades and I've given you for a reference point the  
5 presidents who were in office during that period of  
6 time. John Maassab, who has been sick and  
7 unfortunately cannot be here today but he was the  
8 inventor of this vaccine -- the first published cold-  
9 adaptation back in 1967. Actually, I was just leaving  
10 college at this time.

11 The first human studies carried out with  
12 6:2 reassortants that you've heard about were in 1976,  
13 over 25 years ago. There were lots of studies and  
14 I've only put on one here, the four -- it's actually  
15 five years, but four years of data because of wild-  
16 type circulation, the Vanderbilt Study was one of the  
17 big studies showing this vaccine, a bivalent  
18 formulation and how it worked and how safe it was and  
19 that was in the late '80's. And Aviron entered the  
20 picture in 1995.

21 By 1995 and that's when you're going to  
22 start hearing the story after I get off the podium, 19  
23 separate influenza strains in over 8,000 people had  
24 been tested and generally, they were shown to be safe  
25 and effective. Next slide, please.

1                   There are however, I just want to bring  
2 home to you some differences between the studies  
3 you're about to hear about and that historical record  
4 that is being covered. The studies that are going to  
5 be described this afternoon are all carried out with  
6 trivalent composition vaccines. The vaccines have  
7 been annually updated to as best as could be  
8 predicted, meet what was circulating in the community.  
9 That's not always possible, as you'll see. Consistent  
10 doses have been given to children and adults. A  
11 consistent 6:2 genotype has been used in all of these  
12 studies and the vaccine is administered by large  
13 particle mist. In most of the previous studies it was  
14 administered by nasal drops.

15                   And finally, the data that you're going to  
16 see presented are really relatively large clinical  
17 trials and much of the historical record is smaller  
18 clinical trials. Next slide, please. So the way  
19 we're going to do this now is, you're going to first  
20 hear about safety and you're going to hear about a  
21 large scale safety trial carried out in Northern  
22 California Kaiser by Dr. Steve Black and that will be  
23 followed directly by safety in children by Dr. Paul  
24 Mendelman which will join all the other safety in  
25 children with the Kaiser study. I want to remind you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and the point with these safety studies is that the  
2 safety of this vaccine should be discernible to you in  
3 this very large safety data base that we're going to  
4 present in over 9,000 -- in over 18,000 healthy  
5 children, and in this Kaiser study, in over 9,000  
6 total enrollees.

7           Following the discussion of safety in  
8 children, we'll have safety in adults. Then we'll  
9 move onto efficacy and effectiveness in adults and  
10 that will be given by Dr. Kristin Nichol from the  
11 University of Minnesota and the Minneapolis VA; then  
12 efficacy and effectiveness in children, Dr. Robert  
13 Belshe from St. Louis University medical center and I  
14 will give some brief concluding remarks. Thank you.  
15 Dr. Black.

16           DR. BLACK: Good afternoon. I have the  
17 privilege now of describing to you a clinical trial  
18 that we had the opportunity to conduct in our  
19 population to evaluate the safety of the Aviron  
20 FluMist™ vaccine through medical utilization in t he  
21 clinic emergency room and hospital to assess possible  
22 occurrence of rare adverse events. The first slide,  
23 please.

24           This study was a randomized, double blind,  
25 placebo controlled clinical trial and employed a two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 to one randomization with twice as many children  
2 receiving the FluMist™ vaccine as placebo and  
3 occurred at essentially all of the sites within  
4 Northern California Kaiser Permanente. The dosage  
5 scheme that we employed was similar to what was just  
6 described for two doses for healthy children 1 to 8  
7 years of age, given at least one month apart and a  
8 single dose for healthy children 9 to 17 years of age.

9 Next slide, please. The primary objective  
10 of this study was to evaluate the safety of FluMist™  
11 in this large cohort of children by comparing within  
12 a 42-day observation window following receipt of  
13 either FluMist™ or placebo the rates of medically  
14 attendant adverse events, in other words, clinic  
15 visits, hospitalizations, or what we'll call ED visits  
16 or emergency room visits, the rates of those events  
17 for all observed diagnosis, that is without a priori  
18 hypothesis as well as for pre-specified group  
19 diagnoses that we'll talk about a little bit later.

20 We're also comparing the occurrence of  
21 serious adverse events in the two groups as well.  
22 Next slide, please. The analysis format, I think, as  
23 you'll see, is this -- as we present this, but it's  
24 important to bear in mind employs a lot of different  
25 comparisons being made. We analyzed the data by site

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of care, emergency, clinic, hospital or anywhere  
2 combined analysis by dose, Dose 1 or Dose 2 or  
3 regardless of dose and by as you can see, multiple age  
4 groups and for each diagnosis that was observed in  
5 each one of these analysis.

6 Overall, there are more than 1,500  
7 different comparisons being made without statistical  
8 adjustment for the multiplicity of comparisons here  
9 and that has implications that I'll elude to as we go  
10 on. Next slide, please. This gives you an idea of  
11 the enrollment in the study. There are 9,689  
12 participants, again, to remind you of the two to one  
13 randomization. Here there was slightly more children  
14 in the younger age group by design than in the older  
15 age group.

16 Next slide, please. What I'm reporting on  
17 today is an interim analysis that was done as of data  
18 that was complete through the end of last year. The  
19 study began in the fall of last year and by the end of  
20 December of 2000 enrollment was complete. All  
21 participants had received one dose and 88 percent of  
22 the total Dose 1 follow-up time was complete. Sixty-  
23 four percent of second doses had been administered and  
24 43 percent of Dose 2 follow-up was complete as of the  
25 data that I'll be presenting. And overall, 72 percent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the total follow-up time was complete.

2 Next slide, please. To give you an idea,  
3 these are all of the diagnoses that we did observe in  
4 the study. So there really are a lot of different  
5 diagnostic comparisons that were being made. Next  
6 slide, please. And another way of giving you an idea  
7 of the lay of the landscape here overall is that you  
8 can see that .4 percent of FluMist™ participants and  
9 .4 percent of placebo participants experienced a  
10 hospitalization during the 42-day window. ED visits,  
11 as you might expect, were more common, at about two  
12 percent and clinic visits and children during the  
13 winter season as all pediatricians in the audience  
14 will know, are quite a common event but there's 28  
15 percent in each case.

16 Next slide, please. These are the four  
17 pre-specified diagnostic groups that were analyzed;  
18 acute respiratory tract events, systemic bacterial  
19 infections, acute GI tract events and rare --  
20 potentially rare events that have been -- that are  
21 known to be potentially related to influenza infection  
22 and to summarize these results here, there is no  
23 significant risk difference in the rates between the  
24 two groups. This shows the rate per 1,000 person  
25 months and this is the relatively risk and P-value.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Next slide, please. When we look at the  
2 diagnostic categories where we did not pre-specify the  
3 outcomes, this table summarizes the results that were  
4 observed and I should comment this is using a one-  
5 sided test and a P-value of .1 for significance, so  
6 it's quite conservative in terms of trying to identify  
7 events that might be associated with vaccination.  
8 There are, as you can see here, roughly an equal  
9 number of categories of events that have decreased  
10 relative risks that are significant and those that  
11 have an increased relative risk and we're going to  
12 focus on the left-hand side of this chart for a moment  
13 and especially on the first four events where we felt,  
14 based upon what we knew about the way the vaccine was  
15 administered and influenza that these had biologic  
16 plausibility although we'll address all of these as  
17 you'll see.

18                   Next slide, please. Now, in order to look  
19 at these further based upon the initial analysis, we  
20 did several things. We did -- looked at the time  
21 course of these events, what was the time relationship  
22 between receipt of vaccine and the onset of the  
23 events. We would anticipate for any physiologic  
24 phenomenon that there is some defined time interval  
25 between vaccination and onset. We also looked at

1 review of prior history which included determining  
2 whether the onset of the event pre-dated receipt of  
3 vaccine.

4 We did descriptive review of individual  
5 cases from medical records to try and characterize the  
6 event and finally, for two of the outcomes where we  
7 were trying to characterize them further, we did  
8 parental interviews to determine the nature and  
9 character of these events for abdominal pain and  
10 conjunctivitis.

11 Next slide, please. The first of these  
12 outcomes we're going to talk about is conjunctivitis.  
13 We observed 96 events in 90 patients. The incidents,  
14 as you can see here, was relatively uncommon with 1.1  
15 of FluMist™ participants, .7 percent in placebo.  
16 However, we did observe this in multiple utilization  
17 settings, multiple age group analysis and multiple  
18 dose comparisons and the fact that we observed these  
19 in multiple comparisons to our mind makes us think of  
20 them as it would be more likely to be a real  
21 phenomenon. So these are the settings where we  
22 observed them and these are descriptive factors that  
23 we obtained in talking to the parents and reviewing  
24 the chart. That was a concomitant diagnosis in about  
25 two-thirds of the children in both groups and prior

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 history in about 12 to 19 percent.

2 Eye discharge appeared to be more common  
3 in the placebo group than in the FluMist™  
4 participants and pain was quite uncommon in either  
5 group. Next slide. This gives you an idea of the  
6 range of the relative risk that we did observe and as  
7 you can see, these range roughly between 1.6 and  
8 almost 3 fold increase in relative risk but remember  
9 the incidents is still relatively uncommon. You can  
10 see this occurred in both the youngest children and  
11 the middle aged children, if you will, and then the  
12 overall age group as well.

13 Next slide, please. This graph -- let me  
14 orient you to this because we'll be looking at more of  
15 these. This shows the number of FluMist™  
16 participants on this side and the number of placebo  
17 participants on this side and the scale is different  
18 to try and correct for the fact as you look for this  
19 visual aide that there are twice as many FluMist™  
20 participants as there are placebo. And in looking at  
21 this, we see that there's a clustering of these  
22 conjunctivitis events toward the beginning of the  
23 observation window. Again, our interpretation would  
24 be giving this higher physiologic plausibility.

25 Next slide, please. So in summary, we saw

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 a temporal association with vaccination. These were  
2 mild and self-limited, I should say, in review of the  
3 medical records. There was no specialty referral or  
4 any sequelae observed at all and the attributable risk  
5 depending on the setting that you look at, is  
6 somewhere between 2.8 and 11.6 cases per thousand  
7 person months. So our conclusion, our interpretation  
8 is that there was an apparent low level increased risk  
9 of conjunctivitis with receipt of FluMist™ vaccine.

10 Next slide, please. Another outcome that  
11 we observed at increased risk as asthma. Asthma was -  
12 - a history of asthma, according to the parent, was an  
13 exclusion criteria from participating in the trial.  
14 Nonetheless, we observed that asthma was observed in  
15 the combined setting only for this age group only,  
16 following Dose 1 only, a very different situation than  
17 what we observed for the conjunctivitis. There were  
18 six cases in the FluMist™ group, zero in the placebo  
19 group and the P-value here is .04.

20 Next slide. If we'd look at the time  
21 course of these events, you can see they range from 12  
22 to 41 days, so there isn't really any consistent time  
23 relationship. Next slide, please. And of these six  
24 patients with asthma, four actually had a prior  
25 history, prior to participating in the trial, two had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had a history of many URIs but no prior asthma  
2 diagnosis and the onset of these two children were at  
3 the two extremes of the time window that I talked to  
4 you about.

5 So our interpretation here is that the  
6 lack of a consistent temporal relationship suggests  
7 that the increased relative risk for asthma in these  
8 young children was not related to vaccination. Next  
9 slide. Another outcome we observed was otitis medial  
10 with effusion. It was also observed with increased  
11 risk. For the non-clinicians in the audience and not  
12 to insult the clinicians, otitis media with effusion  
13 is not the same as what we normally call an ear  
14 infection. The children, although it can be  
15 associated with ear pain, but is more of a chronic  
16 inflammatory process associated with fluid and is a  
17 chronic condition.

18 We observed this to be elevated in the  
19 clinic setting in the young children 1 to 8 years old  
20 and only after the second dose of vaccine with a 21 to  
21 4 case point estimate for the relative risk of 2.6 and  
22 a P-value of .03. Next slide, please. If we look at  
23 the time course of events here, you can see that this  
24 is quite spread out. However, we feel you'd need to  
25 interpret this with -- somewhat with caution, since

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 this is a chronic condition and the date of onset,  
2 really is difficult to determine.

3 Next slide, please. But in summarizing  
4 this, we did not observe a consistent temporal  
5 association. Medical record review revealed a prior  
6 diagnosis of otitis media with effusion in three-  
7 quarters of these children roughly, whether they were  
8 in the FluMist™ or in the placebo group, so the  
9 majority these children had this prior to receipt of  
10 vaccine. So the nature of a relationship, if any,  
11 between otitis media with effusion visits that we saw  
12 and FluMist™ following Dose 2 really we cannot  
13 determine from these data.

14 Abdominal pain was also observed in one  
15 analysis to be elevated and the emergency department  
16 only in 1 to 17 year old children for combined doses.  
17 You can see the case split here is 11 to 1 and the  
18 ratio is 5-1/2 which is statistically significant. So  
19 we evaluated this further again by looking at these  
20 graphs which you're probably now tired of looking at,  
21 but again, there's no consistent time association here  
22 at all, not clustering toward the vaccine or further  
23 away. It's completely spread out.

24 Next slide, please. And of the 11 cases  
25 and the FluMist™ recipients, specific etiology was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 subsequently assigned in 4 and actually confirmed in  
2 2. One child had pneumonia with a positive x-ray.  
3 One child had a urinary tract infection with at  
4 positive urine culture. The diagnosis in one child  
5 was felt to be pain secondary to ovulation due to the  
6 timing and localization and character of the pain and  
7 one was felt to be due to stress in the family, due to  
8 what was going on in the family and that child was  
9 referred to psychiatry.

10 Next slide. Additionally, if we look at  
11 abdominal pain in other settings, the clinic or  
12 combined settings, we actually see statistically  
13 significantly decreased risks of abdominal pain  
14 occurring with ratios here, as you can see varying  
15 between .33 and 4 in the clinic or combined setting in  
16 1 to 8 year olds. Next slide, please. And if we look  
17 at all settings after all doses combined, all settings  
18 could include hospitalizations but there weren't any.  
19 You can see here again that there's no statistically  
20 significant -- there's no evidence of any association  
21 between abdominal pain and receipt of FluMist™  
22 vaccine.

23 Next slide. If we look further, since we  
24 know that abdominal pain has been something that's  
25 been of interest to the committee recently, we look

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 further at other events that might be associated with  
2 abdominal pain including, as you can see here,  
3 appendicitis, gastroenteritis, and rare events which  
4 include intestinal obstruction and intussusception.  
5 There was one -- in the data that I'm reporting to you  
6 here, there was one case of appendicitis in a child in  
7 the FluMist™ group, zero in the control group. This  
8 is slightly different than what's in the briefing book  
9 because we've had an opportunity now to include the  
10 pathology data which confirmed this child was actually  
11 having appendicitis and another child actually which  
12 was included as appendicitis is having negative  
13 pathology.

14 That's this child here and really for the  
15 other events, there was no data that would support any  
16 level for concern, vis-a-vis, these events. Next  
17 slide, please. So in summary for abdominal pain, in  
18 this study we saw no consistent clinical presentation  
19 or temporal relationship to vaccine. When we  
20 interviewed the parents some of the pain was diffuse,  
21 some was dull, some was sharp, some of the pain  
22 disappeared between when the child registered in the  
23 emergency room and by the time they were seen it was  
24 gone. Some of it lasted for days longer. There was  
25 no consistent localization.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           There was no evidence of an association  
2 with potentially serious consequences. The relative  
3 risks were inconsistent as I pointed out to you.  
4 However, as Dr. Mendelman will mention in the talk  
5 that follows me, there was an increased risk of  
6 abdominal pain as ascertained through parental diaries  
7 observed in another study. So we feel that the lack  
8 of consistent clinical presentation or temporal  
9 relationship observed in this study again suggests  
10 that abdominal pain observed in the emergency  
11 department was unrelated to FluMist™.

12           Next slide. These are the other outcomes  
13 that we observed with increase risk and let me just  
14 point out a couple things. One of them, speech delay  
15 was observed. However, six of the seven children  
16 observed with this had speech delay identified prior  
17 to participation in the trial. Enuresis, similarly  
18 again, all these children had this prior to the trial.  
19 Benign lesion and cellulitis were observed. These  
20 were a lot of contributing diagnoses and no consistent  
21 body site. And the cellulitis was, again, all over  
22 the map in location in terms of where this was as  
23 well.

24           Next slide, please. I'd like to make the  
25 committee aware, we've had an opportunity -- what I've

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reported to you on here is this analysis through  
2 December. We've had an opportunity now to look over  
3 the last week at the final data set and I'd like to  
4 highlight the differences which are quite few, between  
5 the final data set and what we observed in the interim  
6 analysis. Overall, the results are really quite  
7 consistent. There were two diagnostic categories that  
8 were observed with an increased relative risk,  
9 elective procedures and warts, neither one of which  
10 caused us much concern because we didn't feel that  
11 there really is any possible physiologic mechanism for  
12 this. And two, medically attended adverse events that  
13 were previously observed with an increase relative  
14 risk were no longer statistically significant, benign  
15 lesion and cellulitis, which as I pointed out, we were  
16 not very concerned about before that anyway.

17 And to highlight the influence of multiple  
18 comparisons here there were eight new diagnostic  
19 categories with decreased relative risk were  
20 identified. Next slide. So overall we concluded that  
21 FluMist™ in this large cohort appear to be well  
22 tolerated. There was no increased risk in the  
23 FluMist™ recipients for any of the pre-identified  
24 diagnostic groups. Serious adverse events occurred at  
25 a low rate in both groups and in blind did an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 evaluation by the investigators. None were felt to be  
2 vaccine related.

3 Several outcomes observed with elevated  
4 risk and biologic plausibility were evaluated further,  
5 as I've highlighted to you here. Abdominal pain was  
6 not consistently observed or associated with serious  
7 sequelae. Muscle aches and ear or eye symptoms,  
8 although we highlight them, were observed but had been  
9 reported in prior studies and are known to be  
10 associated with vaccine. And conjunctivitis we felt  
11 was associated with receipt of vaccination and  
12 although the risk is low.

13 And finally, several biologically  
14 plausible outcomes had reduced risk, including  
15 interestingly acute GI tract events, cough, febrile  
16 illness, tonsillitis, viral syndrome, wheezing and  
17 shortness of breath. Thank you very much.

18 CHAIRMAN DAUM: Thank you, Steve. We'll  
19 move right onto Dr. Mendelman's presentation and then  
20 have some committee discussion.

21 DR. MENDELMAN: Good afternoon. My name  
22 is Paul Mendelman. I'm Vice President of Clinical  
23 Research at Aviron. I'm honored to be here and I'd  
24 like to thank the FDA and the committee and legion of  
25 individuals for their individual efforts and their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 collective efforts over the past 25 years to bring  
2 this day to fruition. And I'd particularly like to  
3 thank Dr. Maassab and the National Institute of  
4 Health. One housekeeping issue is that in order to  
5 stay within time and keep it off of our clock, some of  
6 the slides you got yesterday I'm not going to present  
7 but I'll take questions.

8 The first slide presents the data from '99  
9 peer review journal articles prior to the Aviron  
10 experience. In those articles, the 6:2 reassortant  
11 cold-adapted vaccine were studied in over 8,000  
12 individuals and 2,743 of these individuals were  
13 children. These were primarily monovalent or bivalent  
14 formulations and these vaccines were found to be safe  
15 and well tolerated. The next slide presents the  
16 Aviron experience; 18,390 healthy children which  
17 includes the vaccinees in the Kaiser trial that you  
18 just heard about from Dr. Black, in 1 to 8 year olds,  
19 12,069 in the 9 to 17 year olds, 6,321 children.  
20 There were high risk populations that were studied and  
21 the total is 1,317. So the overall number of children  
22 dosed with FluMist™ is 19,707.

23 The next slide shows the collection of  
24 safety data in the various trials. The methods  
25 included a symptom diary card. This was completed by

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the parent or guardian in the children trials, the  
2 monitoring of medical records by the contract research  
3 organizations and co-monitoring with Aviron personnel,  
4 telephone calls from the investigators, the  
5 investigators' staff to the participants' parents or  
6 guardians as well as health maintenance organization  
7 data base review.

8 The types of events included serious  
9 adverse events. In children these were collected from  
10 day zero to day 42 and also post-vaccination  
11 reactogenicity events which in some early studies were  
12 day zero to 7 and in later studies were day zero to  
13 10. These were events that might be expected to be  
14 observed with viral replication or with wild-type  
15 influenza and a parent or guardian had to check a box,  
16 was it present or was it absent or did it exist. And  
17 these were pre-specified events, nine events, which  
18 I'll go over with you shortly as well as temperature  
19 documentation with a thermometer on each of those  
20 days.

21 The parent also reported on the diary card  
22 any event that occurred within that post-vaccination  
23 period that was not pre-specified. And they also  
24 recorded the medication use that was taken during that  
25 time interval. The next slide presents the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 demographic characteristics for the children 1 to 17  
2 years of age broken by the younger age group 1 to 8  
3 and the older age group, 9 to 17. On average the  
4 younger age group was 4 year of age and the older age  
5 group was 12 years of age. There was a balance in  
6 gender between the two age groups and there was a  
7 balance in race ethnicity and the race ethnicity was  
8 similar to the general U.S. population overall.

9 The next slide presents the serious  
10 adverse events in the clinical trials by age and  
11 population. In the healthy children 1 to 8 the  
12 incidents rate was .4 percent; in the placebo  
13 recipients it was .5 percent; in the healthy 9 to 17  
14 year olds, .3 percent in the FluMist™ recipients and  
15 .1 percent in the placebo recipients. In general the  
16 serious adverse events were low and nearly all of  
17 these were considered by the investigator and reviewed  
18 by Aviron as being not vaccine related. I will  
19 present in a subsequent slide the vaccine related  
20 serious adverse events that have been observed to  
21 date.

22 The next slide presents the mortality in  
23 children in these over 19,000 children that have been  
24 dosed. There was one death due to bronchopneumonia 27  
25 days after the second dose. This child was in a trial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 conducted in South Africa by Wyeth Lederle vaccines.  
2 This child on the diary card, after the first dose,  
3 had no temperature recording on a digital thermometer  
4 that was recorded as febrile on each of those 10 days  
5 and the child did not have any other reactogenicity  
6 events which were systematically recorded. They were  
7 all noted as not present by the parent.

8 Six weeks later the child got the second  
9 dose and again the child remained afebrile after the  
10 second dose, all 10 days of the recording, and had no  
11 events other than a runny nose on day zero and day 3.  
12 Unfortunately, approximately 24 days after the dose,  
13 the second dose, the child had an episode of vomiting,  
14 sought medical care, got penicillin for a couple of  
15 days and had a rapid respiratory death, very soon  
16 after hospitalization.

17 The other death was a child with a brain  
18 tumor and complication of malignant hyperthermia, 145  
19 days after dosing in a second season. This was also  
20 not considered vaccine related. The next slide  
21 presents the vaccine related serious adverse events.  
22 There were two in vaccinees. This was in a study in  
23 children initially enrolled at 12 to 15 months of age.  
24 A child came in what wheezing six days following the  
25 second dose. The investigator felt it was medically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 important and therefore, was serious. And another  
2 child 14 months of age with bronchiolitis 21 days  
3 following the second dose. We've had a third vaccine  
4 related serious adverse event that we were told about  
5 yesterday after you got your briefing document slides.

6 This child was also in this study, was 16  
7 months of age. The child had gastroenteritis and  
8 croup 10 days after receipt of the second dose and was  
9 hospitalized overnight. There actually were two  
10 vaccine related serious adverse events prior to  
11 unblinding. The investigators determined this and  
12 then subsequent unblinding they were noted to be  
13 placebo recipients. One was a case of laryngitis in  
14 an 18-month old three days following the first dose  
15 and the other was a case of croup four days following  
16 the first dose in a 21-month old child.

17 The next slide presents the review of the  
18 systematically collected reactogenicity events  
19 following the first dose and this varies somewhat from  
20 your briefing document that we provided in that in the  
21 briefing document we provided all children integrated,  
22 regardless of whether they were placebo controlled  
23 trials or not and in the data I will go through with  
24 you here these are in placebo controlled trials so we  
25 can provide statistical analysis on which events were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 statistically significant in a correct statistical  
2 fashion.

3 This slide shows after Dose 1 the nine  
4 events that were systematically collected as well as  
5 temperature and what is observed that runny nose,  
6 nasal congestion is higher in the FluMist™ recipients  
7 than in the placebo recipients. Muscle aches was also  
8 increased and the low grade temperature of an oral  
9 temperature of 100 degrees Fahrenheit was increased  
10 statistically over the placebo recipients looking at  
11 a temperature of 102 there was no difference  
12 statistically between the two treatment groups.

13 The one event, just to highlight to you  
14 here, vomiting, is our GI event or gastrointestinal  
15 event within the reactogenicity period and you can see  
16 there's no difference looking at all the placebo  
17 controlled trials and that may be seen somewhat as a  
18 surrogate for abdominal pain. The next slide presents  
19 the by day of occurrence analysis for the temperature  
20 analysis. And there's a peak on day 2 and there's a  
21 statistical significant increase on day 2 and day 3 in  
22 the FluMist™ recipients compared to the placebo  
23 recipients.

24 The next slide presents the data after  
25 dose 2 in the same season and for all of the events,