

1 there's no statistical difference between FluMist™
2 and placebo following the second dose of FluMist™.
3 The next slide presents an analysis that we conducted
4 with a complex of these illness events. The analysis
5 uses the Center for Disease Control influenza like
6 illness definition which is published in the
7 literature in the MMWR and other publications and used
8 the definition of a temperature of greater than or
9 equal to 100 degrees Fahrenheit with cough or sore
10 throat.

11 And there was no difference after dose 1
12 or dose 2 in the CDCILI definition. As we know, fever
13 is the hallmark of influenza and systematically based
14 on the temperature recordings by the parent/guardians,
15 we evaluated these temperatures and the one
16 temperature that's statistically significant higher in
17 the FluMist™ recipients which is about four percent
18 significant after the first dose, not after the second
19 dose, and the higher temperatures are not
20 significantly -- there's on significant difference
21 after dose 1 or dose 2 in the higher temperatures
22 evaluated.

23 The next slide presents the medication use
24 during the reactogenicity period are recorded by the
25 parent/guardian. There was one event or one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 medication used category antipyretics/analgesics which
2 is statistically significant, the difference of about
3 five percent between FluMist™ and placebo recipients.
4 It's not significantly different after the second
5 dose. The other three categories, antibiotics,
6 antihistamines, beta agonist use, whether it was dose
7 1 or dose 2, there is no significant difference.

8 The next slide presents the data for
9 numbers of children dosed after and/or repetitive
10 dosing and subsequent seasons; 4,771 children had been
11 dosed for a second season, nearly 2,000 for a third
12 season and 549 children have been evaluated over four
13 consecutive seasons showed under initially in the
14 AV006 efficacy trial. It was a two-year trial and
15 then followed for an open label study in year 3,
16 revaccination and 4 purposely to evaluate safety on
17 repetitive dosing.

18 The next slide presents the reactogenicity
19 profile across the four years. This is runny nose,
20 nasal congestion which was collected in children as a
21 single event. This is dose 1 and year 1 followed by
22 the subsequent seasons where it's reduced. There was
23 no pattern of increasing reactogenicity for any of the
24 events evaluated in the subsequent seasons in these
25 children.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The next slide presents selected events
2 based on what you've heard from Dr. Black in the
3 Kaiser trial and the conducted with Dr. Shinefield and
4 also our own evaluations. These are placebo
5 controlled trials that did not include the Kaiser
6 trial. The Kaiser trial was medically attended events
7 within 42 days. So they had to seek medical attention
8 to be on the data base tapes. These are what the
9 parent dealt with in the reactogenicity period and
10 then recorded by them.

11 For conjunctivitis, the incidents rate is
12 low and similar between the FluMist™ and the placebo
13 recipients after dose 1 or dose 2 in the placebo
14 controlled trials. For abdominal pain, in the
15 FluMist™ recipients, 1.5 percent compared to .7
16 percent in the placebo recipients and .8 versus .4
17 percent after the second dose. I'll discuss this
18 further on a subsequent slide but let me just note,
19 lower respiratory illness, similar incidents after
20 either dose in the two treatment groups which were not
21 significant and otitis media, which was similar and
22 not significant after either dose.

23 For lower respiratory illness, the
24 categories that were included in there were pneumonia,
25 bronchitis, bronchiolitis, asthma, wheezing, croup, et

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cetera. I will note that on abdominal pain, there was
2 one study noted here, Study AV006 where we did have
3 higher incidents of abdominal pain in the vaccinees
4 compared to the placebo recipients. Next slide,
5 please.

6 Shown here is the 1.5 versus .7 percent.
7 The age in these children with abdominal pain is
8 identical to what you'd expect for the children
9 enrolled in this age group. They were approximately
10 four to five years of age. The abdominal pain was two
11 to three days. Three of the 25 children's parents
12 sought medical attention for the abdominal pain.
13 Where severity was measured, most were mild. One was
14 noted to be severe and this was a serious adverse
15 event. The child developed the abdominal pain on day
16 9 after vaccination, was admitted for an overnight
17 stay in the hospital and was discharged the next day
18 without abdominal pain.

19 Most of these abdominal pains are recorded
20 on the diary card as tummy ache or stomach ache by the
21 parent. In evaluating the data that you heard from
22 Dr. Black on the next slide we wanted to do an
23 analysis of appendicitis. As you heard from Dr. Black
24 there were two cases that went to appendectomy of the
25 6,473 vaccinees. That incident is 1.5 per 10,000

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 person months. It's lower if we remove the child who
2 had a histologically normal appendix and it's even
3 lower than that if we remove the child who had
4 appendiceal abscess who had abdominal pain prior to
5 being dosed with FluMist™.

6 But we also looked at our other large HMO
7 trial being conducted by the Baylor College of
8 Medicine group under an NIH grant which has currently
9 been a three-year trial. There are no cases of
10 appendicitis among over 4,000 individuals in the first
11 year of that trial. There was one in the over 5,000
12 vaccinated children in the second year of that trial,
13 but there were three in the third year of that trial
14 in 5,000 vaccinees. If we total those numbers at six,
15 then we see that the incidents rate here is 1.3. Just
16 to note the time sequence on these cases, that the
17 appendiceal abscess which the dosing was on day -- the
18 diagnosis and hospitalization was day 11 after dosing.

19 The event in year 1 in Texas on day 12.
20 The other four events that occurred in the Texas trial
21 of appendicitis occurred on day 30 or beyond after
22 vaccination which would be outside biologic
23 plausibility and again this trial is not a placebo-
24 controlled trial, it's open-label. So we took
25 published literature that we could find and local data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 from both Northern California Kaiser and Scott & White
2 HMO controlled communities in Temple, Texas. You can
3 see the rate incidents is between .6 and 1.2 which is
4 consistent with the overall 1.3 including all six
5 cases.

6 The next slide presents data specifically
7 on pneumonia in the Kaiser trial -- no, sorry, I'm off
8 a slide. This is data on AV006, the two years of the
9 trial on pneumonia within 42 days of vaccination and
10 presents those pneumonia reported when -- day 0 to 10
11 based on the AE case report form from the parent
12 filling out the diary. There were four cases in
13 FluMist™ and none in the placebo group. These were
14 not statistically different. In the second dose, the
15 split was 01 but then the 11 to 42 days on these
16 illness events report forms which you'll hear from Dr.
17 Belshe is how we surveyed the children for influenza
18 like illness to obtain a culture. There were eight
19 events and three events after the first dose in the
20 placebo group. The total 1.1 percent and .6 percent
21 and .4 versus .7 percent after the second dose.

22 The next slide, please. Another comment
23 on the pneumonia, that several of these pneumonia
24 events upon review of the case records and noted in
25 the briefing package from the FDA are still under

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 review by the FDA, several of these children, three,
2 had pre-existing symptoms, including cough prior to
3 vaccination with FluMist™. The data from the Kaiser
4 trial on pneumonia and it wasn't presented to you by
5 Dr. Black, is it wasn't increased and it wasn't
6 decreased, but we can look at this placebo controlled
7 trial to look at the incidents rate; 14 cases of
8 pneumonia among the 6,000 vaccinees, 10 among the
9 3,000 placebo recipients for a relative risk that's
10 .7, that's not statistically different and based on
11 the final data analysis set just recently conducted,
12 these numbers are 28 and 17, so the increase is
13 concordant with the -- in both groups the relative
14 risk is .82 and therefore, remains non-significant.

15 The next slide is a change from talking
16 about these post-vaccination events that have occurred
17 to data that has been generated on transmission, which
18 is important to understand and has been noted in the
19 briefing document. In November 1998 Dr. Peter Wright
20 presented data different than what Dr. Murphy
21 presented on the published data with Dr. Wright and
22 himself. In the day care setting where transmission
23 didn't occur, Dr. Wright noted to the committee that
24 there were two children among 40 placebo recipients
25 that he noted to the committee were potential placebo

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 recipients that had received vaccine virus and were
2 shedding that virus. He also noted that these two
3 children, based on their serology did not seroconvert
4 to serum HAI and that these 40 children were exposed
5 to over 100 children who were vaccinees and
6 approximately 80 percent of those vaccinees shed in
7 that daycare setting at Vanderbilt.

8 Now, as was noted earlier by Dr. Murphy,
9 transmission may be expected to occur at a very low
10 rate. In the trial conducted by Wyeth-Lederle
11 vaccines is noted here. These are children 8 to 36
12 months of age, which would be very young seronegative
13 children in a daycare setting in daycare groups. This
14 was a double blind, placebo controlled trial,
15 randomized one to one. Ninety-eight children received
16 FluMist™ and 99 who were atomized received placebo
17 and nasal cultures obtained systematically three times
18 per week for the following three weeks.

19 And the next slide presents the data from
20 this study. Eighty percent of the vaccinees showed
21 vaccine virus. One placebo child showed the Type B
22 vaccine virus on a signal day, day 15, during the 21-
23 day period and this child was exposed to two vaccinees
24 in their daycare group who shed vaccine virus Type B
25 on day 7. The symptoms in this child were similar to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 other participants in the trial regardless of whether
2 they were FluMist™ or placebo mist recipients and the
3 vaccine virus retained the cold-adapted and the
4 temperature sensitive phenotype.

5 The calculated transmission attack rate is
6 1.75 percent and with an upper bound on the confidence
7 interval of 8 percent and the transmission probability
8 is .9 percent. In conclusion on the next slide,
9 FluMist™ was safe and well tolerated in children 1 to
10 17 years of age, over 30,000 doses have been
11 administered to over 18,000 healthy children. There
12 were mild self-limited reactogenicity events observed
13 and a low risk of other adverse events.

14 Thank you.

15 CHAIRMAN DAUM: Thank you very much, Dr.
16 Mendelman. We will take advantage of this opportunity
17 now to invite committee discussion of the data you've
18 been hearing for the last 49 minutes. Dr. Kohl?

19 DR. KOHL: A question and a comment, Steve
20 -- first of all, I enjoyed the presentations from
21 everybody. Steve, in any of the children with
22 conjunctivitis, were viral cultures obtained by
23 chance?

24 DR. BLACK: No.

25 DR. KOHL: No. So we don't know if that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 an irritative phenomenon or an infectious phenomenon.

2 DR. BLACK: No, because that was not a
3 prospectively identified outcome and wasn't identified
4 till we looked at the data and by that time the events
5 were passed, so we didn't have the opportunity to
6 collect a --

7 DR. KOHL: I'm shocked that you guys at
8 Kaiser don't get viral cultures on your kids with
9 conjunctivitis. One concern I have and I'm not
10 exactly sure how we're going to address it as a
11 committee, but where all the adverse events results
12 are compared to placebo and this was raised before but
13 I want to reinforce that question again. Since the
14 placebo was a very proteinaceous material, one would
15 wonder if the baseline adverse events in the placebo
16 group were really adverse events and weren't what we
17 think of as placebo nothings sort of.

18 And that's just something I think we're
19 going to have to deal with and I'm not exactly sure
20 how we're going to deal with that.

21 DR. BLACK: Let me just make one comment,
22 is we did note for conjunctivitis where we
23 hypothesized this is by topical inoculation of rubbing
24 the nose and then the eye which adults do often enough
25 but kids surely do more often, that there was a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clustering of those events in the placebo group as
2 well toward the front of the time window. So I think
3 for that event, as distinct from the other events that
4 we're talking about in terms of rare events, we could
5 hypothesize that there was an irritant effect in both
6 groups.

7 CHAIRMAN DAUM: Dr. Snider and Ms. Fisher
8 and Dr. Edwards.

9 DR. SNIDER: Yes, in looking at the data
10 the way you have which is appropriate, I didn't get a
11 hint from the slide presentations of how many children
12 actually had events, because you're, you know,
13 counting events independently. So were there children
14 that -- I mean, did a lot of these events tend to
15 cluster in a smaller group of children or not?

16 DR. BLACK: We did not report here, but we
17 looked at these results in two ways, the results that
18 I reported but the binomial relative risk actually
19 count the first event per child, so that each child
20 only contributes one event per diagnosis to each
21 analysis. We did also do this in another comparison
22 using a passon (phonetic) regression that did account
23 multiple events per child and that's in the briefing
24 book. It really did not identify different events but
25 the time graphs that I showed you do not account for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 more than one event per child because we think that
2 with the relatively small numbers we have here, one
3 child with four or five visits to the doctor; could
4 basically dominate the entire analysis and make it
5 difficult to interpret.

6 CHAIRMAN DAUM: Thank you. Ms. Fisher,
7 Dr. Edwards, Dr. Steinhoff.

8 MS. FISHER: One would perhaps expect that
9 in the vaccinated group there would be a much lower
10 rate of pneumonia and in fact, the rate was somewhat
11 elevated in the vaccinated group but not statistically
12 significant. But I'm interested in your dismissal of
13 the several cases of pneumonia that occurred in the
14 vaccinated group in children who you said had a cough
15 or symptoms prior to vaccination when I noted that in
16 the exclusion criteria no children with upper
17 respiratory symptoms within one week were supposed to
18 be vaccinated and I was wondering in the trials was it
19 kind of not -- was the criteria not exactly adhered to
20 or -- I mean, because writing those cases off as not
21 related because they had symptoms prior seems to be
22 somewhat cavalier.

23 DR. MENDELMAN: In general, the trials
24 were that an acute respiratory infection within 72
25 hours was an exclusion criteria. The two children

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 that had cough, one had a cough for a month and one
2 had a cough for a week and so those were more chronic
3 conditions so they met the inclusion criteria because
4 they didn't have an acute febrileness and I think we
5 followed in general, in our protocols the
6 recommendations for vaccinating healthy children and
7 many children have runny nose and a cough. And we
8 wanted to make sure there wasn't a new febrile illness
9 at the time that they were being administered
10 FluMist™ or placebo.

11 MS. FISHER: Well, in the FDA summary,
12 though, it says that the exclusion was specifically
13 upper respiratory symptoms within one week. So in
14 other words, it was within 72 hours and it had to be
15 febrile was actually the exclusion criteria?

16 DR. MENDELMAN: It's -- we'd have to do it
17 per protocol, but in general it was within 72 hours,
18 correct.

19 CHAIRMAN DAUM: Thank you very much. Dr.
20 Edwards and Dr. Steinhoff.

21 DR. EDWARDS: Did you have the opportunity
22 to measure serologic responses in the single patient
23 who shed Flu B and did you think it was interesting
24 that they only shed for a single day or was that just
25 that they weren't shedding when they were checked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 again in three days?

2 DR. MENDELMAN: The answer is that we did
3 not get serology on the child and the answer is also
4 that the next culture date, which would have been
5 three days hence, day 18, the child was not shedding
6 virus.

7 CHAIRMAN DAUM: Dr. Steinhoff?

8 DR. STEINHOFF: I was going to get back to
9 the conjunctivitis question because in the two studies
10 we heard, there was an association in one study, one
11 apparent association, and not in the other. And it
12 occurs to me we've already asked about other causes of
13 conjunctivitis, the conjunctivitis can either be
14 caused directly by the virus administered to the
15 children or it could be irritative which you've talked
16 about or it could be another virus and this virus
17 somehow working together. And I don't know if you've
18 looked at that or looked at patterns of what was
19 circulating at the time you did the study in the two
20 different sites. It's a complex question but --

21 DR. MENDELMAN: Steve, that question is
22 for you.

23 DR. BLACK: Yeah, I had trouble hearing
24 what you were saying but I'll try and answer what I
25 heard.

1 DR. STEINHOFF: The real question is if
2 you have any knowledge about other circulating causes
3 of conjunctivitis at the same time.

4 DR. BLACK: Yeah, we did look and there's
5 a backup slide on conjunctivitis I can look for here.
6 But some of these children did have antibiotics.
7 Yeah, let's see, it's number -- starting with number
8 9, I think, Yeah, okay. This is our attempt to
9 further characterize this. Again, we -- because we
10 were doing this after the fact, we don't -- if
11 cultures would have been done we could have looked at
12 them, but since there weren't we really can't but this
13 sort of characterizes the two groups of children in
14 terms of other diagnoses that were present, whether
15 they had cough, whether they had other conditions.

16 Next slide, if I could. Yeah, and this,
17 again, in terms of clinical features, you can see that
18 some of the children had the conjunctivitis or
19 evidence of conjunctivitis prior to vaccination or at
20 least had a history of a visit within several weeks
21 prior. They may not have had it at the time that they
22 were vaccinated. And you can see that whether topical
23 or systemic therapy was prescribed, was pretty similar
24 in the two groups. I think that's really all I can
25 say. We don't have any other information.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. STEINHOFF: I presume you did these
2 studies in the summer. The immunization took place in
3 the summer or not?

4 DR. BLACK: This follow-up time that we're
5 -- you can turn that slide off. The follow-up time
6 reporting on took place between October and the end of
7 the year, so it's the fall.

8 CHAIRMAN DAUM: Thank you, Dr. Eickhoff,
9 please and then perhaps we'll go on and hear the rest
10 of the sponsor's presentation.

11 DR. EICKHOFF: A question for Dr.
12 Mendelman; I believe it was page 4 or your fourth
13 slide, you outlined the methods used to collect safety
14 data on these 19,000 and some children. Could you
15 expand on those methods just a little bit? How often
16 were diary cards collected and filled out and how
17 often were telephone cards made to participants and
18 did all of the studies utilize those same identical
19 methods of safety data collection?

20 DR. MENDELMAN: It varied by study. For
21 example, in the large trial that was conducted at
22 Northern Kaiser in 9,000 individuals, it was based
23 solely on data base review of the Kaiser health care
24 records, so there was no diary card. In the large
25 study conducted by Dr. Glezen and his colleagues, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the first year of that trial in the Scott & White
2 Health Plan, the children are insured, everybody got
3 a phone call on day 42 or thereafter that non-insured
4 health plan members who came into the Scott & White
5 Clinic and enrolled in the trial, got a postcard.
6 They then returned the postcard. They got a
7 registered letter.

8 In the second year, the health plan was
9 very solid in terms of being able to get that data out
10 of the data base so all the non-health plan members
11 got a phone call and not a postcard in the year 2 of
12 that trial. In the other trials, in general the
13 placebo controlled all had a symptom diary card that
14 was taken by the parent and then brought into our own
15 data base, including the efficacy trial you'll hear
16 about.

17 CHAIRMAN DAUM: Thank you very much.
18 Okay, Dr. Cox, then Dr. Kohl, but then we're really
19 going to go on.

20 DR. COX: I'd just like to go back to the
21 pneumonia cases reported within 42 days. You have
22 that broken down after dose 1 by says zero to 10 and
23 11 to 42. Was there any temporal clustering, in other
24 words, for most of the cases around two weeks or --

25 DR. MENDELMAN: Okay, I think the easy one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to go to, Dr. Cox, is the backup slide from the Kaiser
2 trial, which is slide number 15, slide number 15,
3 slide 15, 1-5 in the back-up children's safety.

4 DR. BLACK: Sometimes the technology
5 overwhelms you. This is a similar graph to the ones we
6 talked about before and looks at the distribution of
7 pneumonia in the two groups, the FluMist™ again in
8 blue and the placebo in gray or whatever color that
9 appears to you at the back of the room. As you can
10 see, there really is not again, a consistent
11 association or a clustering here. Perhaps there --
12 well, we haven't analyzed this statistically for trend
13 but there's no evident clustering of pneumonia events.

14 CHAIRMAN DAUM: Thank you. Dr. Kohl.

15 DR. KOHL: Can you show us specific data
16 on safety -- adverse events in the first year age, 12
17 months to 24 months and was there an increase in any
18 events in that time period and how many children
19 received vaccine at 12 to 24 months?

20 DR. MENDELMAN: Medically attended events?

21 DR. KOHL: Anything, anything you've got
22 on that age group.

23 DR. MENDELMAN: In the briefing document
24 that we provided there is a reactogenicity by age,
25 broken by year and what you can see going from 12 to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 23 months to the end of that spectrum is that
2 decreased activity and irritability tend to decrease
3 and then as the children maybe become more verbal, the
4 incidents of headache and sore throat tends to
5 increase. That's also true in the placebo group as
6 well.

7 CHAIRMAN DAUM: Thank you very much. I'd
8 like to ask the sponsor's presentation to give us the
9 slightly less than second half of their performance
10 and then we'll hear some more committee input. Dr.
11 Goldberg, there are others that wish to speak and I'd
12 really like to go on now. Is this urgent and quick.

13 DR. GOLDBERG: You described on the Kaiser
14 trial and if I heard you correctly, that the
15 surveillance for the non-insured subjects was
16 different than for the insured members of the plan.

17 DR. MENDELMAN: Not in the Kaiser trial.
18 In the Texas Scott & White trial.

19 DR. GOLDBERG: Did you analyze the data or
20 just look at it descriptively to see whether there was
21 any influence on the reporting whether you -- did you
22 analyze it in the two stratas then of insured and non-
23 insured patients?

24 DR. MENDELMAN: Right. There are analyses
25 ongoing between the health plan and the overall clinic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 population. Primarily this is a large scale trial and
2 to evaluate serious adverse events but the
3 investigators and Dr. Glezen is in the audience; maybe
4 later can comment about the other analyses as they are
5 ongoing.

6 CHAIRMAN DAUM: Thank you. Now we'd like
7 to sort of go on. Dr. Mendelman, please.

8 DR. MENDELMAN: Thank you. The first
9 slide again shows the historical experience; 5,348
10 adults were dosed with previous formulations of the
11 6:2 reassortants in the peer review journal articles
12 that we reviewed, and the following slide presents the
13 Aviron experience with FluMist™. Three thousand, nine
14 hundred and forty-seven healthy adults received
15 FluMist™ and 1,303 high risk adults received
16 FluMist™. The total is 5,250, thus over 10,000
17 adults have received vaccine derived from the Mr.
18 Maassab Master Donor Virus Strains.

19 The next slide is similar to the
20 collection of safety for the pediatric trials except
21 that the serious adverse events in adults were
22 collected for day zero to 28 and in the children it
23 was day zero to 42 and the post-vaccination
24 reactogenicity events were collected for day zero to
25 7, in contrast to the children day zero to 10.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The next slide, please. The serious
2 adverse events in healthy adults are shown on this
3 slide, a one percent incidents in the FluMist™
4 recipients and a 1.4 percent incidents in the placebo
5 recipients. I will go over some of these other events
6 in the high risk population as we move forward in the
7 remaining slides. Next slide, please. These were
8 balanced as noted on that prior slide.

9 This slide shows the all-cause mortality
10 in adults. There's one healthy adult who died 16 days
11 after administration of FluMist™ from an accidental
12 drowning. The alcohol level was .32. There were 64
13 deaths in adults in the VA cooperative studies program
14 trial. All of these adults had to have chronic
15 obstructive pulmonary disease. They all received
16 licensed inactivated trivalent vaccine on the same
17 day. Eleven hundred and seven received FluMist™ and
18 1108 received placebo. There were 34 FluMist™
19 recipients, 3.1 percent and 30 placebo recipients, 2.7
20 percent who died some time during this trial. Three
21 deaths occurred within 28 days in the FluMist™
22 recipients; five deaths occurred within 28 days in the
23 placebo recipients.

24 There was one considered vaccine related
25 because of the lack of causality being provided by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 investigator. Therefore, it's considered unknown and
2 defaults to related. that occurred 218 days after
3 vaccination. And the three deaths that were
4 considered vaccine related, the two at day 78 and day
5 158 also did not have causality, were considered
6 unknown and were put in this category and the one on
7 day 3 -- and all of these adults with chronic
8 obstructive pulmonary disease obviously had
9 respiratory events.

10 The next slide presents the vaccine
11 related serious adverse events in the healthy adults.
12 There have been one reported and again, in the VA
13 trial there were nine for a .8 percent incidents in
14 the vaccinees, 22 in the placebo recipients for an
15 incident of 2 percent, these being given by the
16 investigator prior to unblinding.

17 The next slide presents the demographic
18 characteristics of adults and this is study AV009
19 which you'll hear the effectiveness data from Dr.
20 Nichol. The reason to present this study is a single
21 study, again, it's placebo controlled and provides the
22 proper statistics and 3,041 of the 3,947 adults that
23 received FluMist™ were in this study. The average
24 age is 38. It's well-balanced in gender and race and
25 ethnicity between the two treatment groups.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And the next slide presents the day zero
2 to seven reactogenicity events, these seven events
3 plus temperature taken. Pre-specified in the protocol
4 was an event that was clinically significant,
5 considered greater than 10 percent difference between
6 FluMist™ and placebo mist. That was met for sore
7 throat and for runny nose. Other events that were
8 statistically significant during that time were cough,
9 chills and tired/weak and these differences because of
10 the large size of the trial, were statistically
11 significant but the difference between FluMist™ and
12 placebo were 2 to 4 percent.

13 The next slide presents the data for the
14 CDC influenza-like illness definition. No difference
15 and also temperature greater than 100 an equal number
16 presenting with fever in the seven-day period after
17 being dosed with FluMist™ or placebo mist in the
18 healthy adult trial. The next slide presents the
19 analysis on medication use. None of the four
20 categories had a statistically significant difference,
21 antibiotics, analgesics, antihistamines or beta
22 agonist use.

23 The next slide, again to look at some of
24 the events that we talked about in children,
25 conjunctivitis, abdominal pain, lower respiratory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 illness, these are sub-sets of lower respiratory
2 illness as the wheezing and pneumonia and none of
3 these are statistically significant between the two
4 groups and neither was otitis media within that week
5 following dosing.

6 The next slide in conclusion, FluMist™
7 was safe and well-tolerated in healthy adults 18 to 64
8 years of age. Three thousand nine hundred and forty-
9 seven healthy adults have received FluMist™. There
10 were mild self-limited reactogenicity events observed
11 and a low risk of other adverse events. Thank you.

12 CHAIRMAN DAUM: How would it be if we
13 heard from Dr. Nicol next and then had committee input
14 after the two?

15 DR. NICHOL: Good afternoon. Can people
16 hear me? I'll take that as a yes. In the next few
17 minutes, I'm going to be presenting data on the
18 efficacy and clinical effectiveness of Aviron's live
19 attenuated influenza virus vaccine in healthy adults.
20 I will be reviewing data from two trials, AV003, a
21 trial conducted by Dr. John Treanor and colleagues
22 that assessed the efficacy of this vaccine in a wild-
23 type virus challenge trial. I will also discuss data
24 from a large field trial, AV009, that was designed to
25 assess the clinical effectiveness of this vaccine in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 healthy adults.

2 First, AV003, the challenge trial; this
3 was a randomized double blind placebo controlled
4 trial. Participants were between the ages of 18 and
5 41 years. All had to be serosusceptible, that is have
6 a serum HAI titer less than or equal to 1 to 8 to at
7 least one of the wild-type viral antigens included in
8 the challenge study, that is either to H1N1, H3N2 or
9 to the B strain.

10 Randomization occurred in equal
11 proportions; FluMist™ the trivalent inactivated
12 vaccine or placebo. All participants received both an
13 intranasal mist as well as an inter-muscular
14 injection. On day 28 participants were challenged
15 with a well-matched wild-type virus. Next slide. The
16 primary end point for this trial was protection
17 against laboratory documented illness after challenge.
18 Laboratory documentation was defined either as
19 evidence of viral shedding or evidence of a four-fold
20 serum antibody rise.

21 Next slide. In this schematic, we have
22 summarized the dosing schedule for this trial. I'll
23 figure this out soon here. Here we go. Three
24 hundred and eighty-two healthy adults were screen for
25 serosusceptibility. Of these 382 volunteers, 135 were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 found to be serosusceptible to at least one of the
2 three viral antigens; 70 to H1N1, 54 to H3N2 and 32 to
3 the B virus. One hundred and three of these
4 volunteers were actually randomized to be immunized
5 and 92 of them remained in the trial and were
6 challenged with either the H1N1, H3N2 or B viruses.

7 As I mentioned the primary end point was
8 protection against laboratory documented illness.
9 Forty-five percent of placebo recipients developed
10 laboratory documented influenza illness; 7 percent of
11 the FluMist™ recipients and 13 percent of the
12 inactivative vaccine recipients developed laboratory
13 documented illness. This was consistent with an 85
14 percent efficacy for FluMist™ and a 71 percent
15 efficacy for the inactivated vaccine. These levels of
16 efficacy were not different between the two vaccines.

17 In addition to collecting data on the
18 primary end point, the investigators also collected
19 data on the immunogenicity of the vaccines as well as
20 strain-specific efficacy. I've summarized on this
21 slide data for both for you. As you can see,
22 immunogenicity as defined by evidence of a four-fold
23 serum antibody response, was modest in the FluMist™
24 group, with 10 percent of participants mounting a
25 four-fold antibody rise to the B strain, 29 percent to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the H1N1, 39 percent to the H3N2.

2 In contrast, the serum antibody response
3 to the inactivated vaccine was demonstrated by more
4 than 90 percent of the participants in each group.
5 Despite the relatively modest serum antibody response,
6 efficacy as defined by protection against clinical
7 illness, was very high in the FluMist™ group as well,
8 as in the activated vaccine group, and therefore,
9 there was very little correlation for recipients of
10 the FluMist™ vaccine between the serum antibody
11 response and efficacy, again, with very high levels of
12 efficacy being observed despite the low serum antibody
13 response in each of the strains.

14 Next slide. In conclusion, therefore,
15 from the challenge trial, AV003, FluMist™ was highly
16 efficacious providing 85 percent protection and
17 prevention against laboratory documented illness in
18 healthy adults when they were challenged with the
19 wild-type viral strains and this efficacy was observed
20 despite the low serum antibody response.

21 I'd now like to move on to the large
22 clinical effectiveness field trial. This was a multi-
23 site trial conducted in 13 centers across the United
24 States during the 1997/'98 season. This was also a
25 randomized double-blind placebo controlled trial.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Participants were between the ages of 18 and 64 years
2 and all of them were working at least 30 hours per
3 week. As has already been mentioned, over 4,000
4 people were randomized in this trial. The
5 randomization scheme was 2 to 1 with twice as many
6 participants receiving live attenuated vaccine as
7 placebo.

8 I will note that during the 1997/'98
9 season the predominant circulating virus, the H3N2
10 A/Sydney virus was poorly matched to the vaccine
11 strain A/Wuhan that was included for that year. This
12 was a single dose regiment as would be the case for
13 adults and we defined the outcome period according to
14 community and national surveillance data that were
15 available. We looked at a variety of effectiveness
16 outcomes in order to achieve a fairly broad assessment
17 of the impact of influenza and its prevention in this
18 population. We looked at the proportion of people
19 with influenza like illness, numbers of illnesses,
20 days of illness, work loss and health care use.

21 We ascertained outcomes through the use of
22 symptom cards that were completed on a daily basis for
23 each month, November through March of the study year.
24 Participants received twice monthly telephone
25 reminders to encourage them to complete and return the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cards. As mentioned previously, the outcome periods
2 were based on local and national influenza
3 surveillance data. We looked at both site-specific
4 peak outbreak periods because these were expected to
5 provide the most specific or precise estimates of
6 vaccine effectiveness. We also looked at a pooled 14-
7 week total outbreak period.

8 The site-specific periods were defined
9 based on a pre-specified computer algorithm centered
10 on the modal week for the local geographic area
11 designed to capture at least 80 percent of influenza
12 activity for that season. The total operating period
13 is defined by an expert panel. As has been mentioned
14 previously, for the study participants in this trial,
15 baseline characteristics were well-balanced between
16 the two groups.

17 In this slide I have summarized for you
18 the influenza surveillance data both for the study
19 sites pool in the red line as well as national data
20 for the season in the vertical bars. As you can see,
21 the experience in the study sites closely mirrored
22 that of the United States for that season. The median
23 duration of the peak outbreak periods was seven weeks.
24 Symptom completion card rates were excellent in this
25 trial. As you can see, they were similar between the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 vaccine and placebo groups across the five months of
2 the outcome data gathering period. Ninety-seven
3 percent of participants returned at least one of the
4 five symptom cards and 88 percent returned four or
5 more.

6 The illness definitions presented
7 something of a challenge for us in this trial. There
8 is no or historically has been no gold standard for
9 the definition of clinical influenza-like illness.
10 Accordingly we considered trade-offs between
11 sensitivity and specificity as we considered illness
12 definitions. A sensitive illness definition was
13 expected to provide us with the most comprehensive
14 assessment of the impact of influenza benefits of
15 vaccination in the population which was particularly
16 relevant from a health economic point of view.

17 On the other hand, a more specific illness
18 definition would be expected to provide perhaps a more
19 accurate estimate of whether or not the vaccine
20 actually works, that is, is it efficacious. For the
21 primary outcome illness definition we selected the
22 most sensitive and least specific outcome illness,
23 that is any febrile illness with a definition listed
24 here, a febrile illness of at least two day's duration
25 with at least two symptoms as listed over here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The more specific illness definitions that
2 we included in our pre-specified data planning
3 included severe febrile illness that is a febrile
4 illness lasting at least three days with symptoms on
5 all three days as well febrile upper respiratory
6 illness, that is febrile illness of at least two day's
7 duration but with the requirement that participants
8 actually have respiratory symptoms during the illness.
9 I would say that this illness definition, febrile
10 upper respiratory illness, most closely mirrors the
11 CDC's surveillance definition for influenza-like
12 illness as can be seen here.

13 And this slide and then the next few
14 slides, I will be summarizing some of the clinical
15 effectiveness results of this trial for the peak
16 outbreak period. Here we have shown the proportion of
17 participants experiencing any illness during the peak
18 outbreak period for the primary end point any febrile
19 illness as well as for severe febrile illness, febrile
20 respiratory illness. We have also included for your
21 information, information on outcomes using the CDC
22 surveillance definition for ILI. As can be seen,
23 there was a reduction in illness events across all the
24 definitions.

25 However, for the primary end point the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 reduction did not reach statistical significance. Not
2 unexpectedly, as we look at the more specific illness
3 definitions, the reduction was larger and we appeared
4 to achieve somewhat more precision in the estimate.
5 Well, in addition to looking at the proportion of
6 participants experiencing an outcome, we also tried to
7 -- we also measured the outcomes looking at event
8 rates. Why did we do that? Because some participants
9 had more than one event and when we looked just a
10 proportions, we actually failed to look at all of the
11 information that was available.

12 For example, if someone had two or three
13 febrile illnesses and with vaccination would have had
14 only one illness, we would have picked that up when
15 looking at event rates, but not when looking a
16 proportions. When looking at event rates, that is the
17 number of illness episodes, one sees somewhat similar
18 kinds of reductions across all of the illness
19 definitions; again, any febrile illness, severe
20 febrile, febrile upper respiratory illness and the CDC
21 surveillance definition. However, again, the
22 estimates appear to have achieved somewhat greater
23 precision.

24 We also looked at numbers of days of
25 illness as another parameter, measuring burden of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 disease. And in each case, there were substantial
2 reductions in the numbers of days of illness. I
3 mentioned that this was a clinical effectiveness
4 trial. We were interested in a very broad assessment
5 on the impact of vaccination or the prevention of
6 influenza, not only on illness but also on other
7 healthy economic parameters including missed work,
8 health care use and I have summarized the data here
9 for any febrile illness. You can see the reductions
10 we've observed. And likewise, reductions with febrile
11 upper respiratory illness somewhat more impressive
12 reductions with more precision and also with CDC's
13 surveillance definition for influenza-like illness.

14 In conclusion, FluMist™ was shown to be
15 highly effective in reducing illness, missed work and
16 health care use and this effectiveness was observed
17 during a year when the predominant circulating virus
18 strain was poorly matched to the vaccine. Thank you.

19 CHAIRMAN DAUM: Thank you, Dr. Nichol.
20 We'd now like to take the two presentations together
21 that dealt with adult issues and have some committee
22 discussion about them. Dr. Katz and then Dr. Schild.

23 DR. KATZ: Kristin, I wondered in the
24 first study, the challenge study with the rather
25 modest antibody increase but the very significant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 protection against illness with challenge. Do you
2 attribute that to local nasal antibody? Did anyone
3 measure that or to cell-mediated immunity? What do
4 you look to as the mechanism there?

5 DR. NICHOL: Local antibody responses in
6 nasal wash were also assayed in the trial. And in
7 both groups of vaccine recipients, there was some
8 evidence of antibody response that was higher than in
9 placebo. There was no significant difference between
10 inactivated vaccine and the live attenuated vaccine.
11 I think it's the million dollar question, how do we
12 identify the immune correlates with protection.
13 Clearly serum antibody response is not sufficient to
14 explain the immune response that people obviously are
15 having.

16 DR. KATZ: My second question, it can go
17 to Harry or Paul or anyone which is obviously, you've
18 excluded the major group for whom influenza vaccine is
19 recommended, people over 65 years of age. Is this
20 because you anticipate the vaccine isn't as effective
21 or your -- what was your reason for using 64 years as
22 your cut-off?

23 DR. MENDELMAN: Mostly Dr. Katz, it's an
24 IRB issue. You're doing an efficacy trial, you can't
25 give placebo to people where the vaccine that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 currently licensed is indicated. And you can do large
2 safety trials comparative to the inactivated vaccine
3 but it won't provide the end point that you need for
4 registration.

5 DR. KATZ: Thank you.

6 CHAIRMAN DAUM: Dr. Schild, please. Dr.
7 Schild, could you press that button for us?

8 DR. SCHILD: Mine is a similar point to Dr.
9 Katz; you're getting better protection in your
10 challenge study with a live vaccine than with an
11 inactivated vaccine and yet you have much lower
12 antibody responses. Therefore HAI antibody is not a
13 very good indicator of protection and whether you
14 attempt to do further studies to really try to find
15 surrogate markers for protection in the live vaccine
16 situation, for example, neutralizing antibody.

17 DR. ARCURI: We did perform nasal wash IgA
18 antibodies on that test and I won't bother to call up
19 the slide but I could if you want to look at it, but
20 it's a negative result in that there was no difference
21 between placebo and FluMist™ recipients or between
22 ITV and placebo in nasal wash IgA titers. Now,
23 remember, this is a small study so we don't have large
24 numbers.

25 DR. SCHILD: Oh, yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ARCURI: One could spend several days
2 on immunity of influenza after TIV and live virus and
3 I think work needs to be ongoing on markers of
4 protection, but it's been a gnarly problem for a long
5 time.

6 DR. SCHILD: Thank you.

7 DR. NICHOL: Might I also just follow up?
8 I showed plate estimates there but if you'll recall
9 confidence interval around the estimates of efficacy
10 actually the live attenuated and inactivated vaccines,
11 we cannot distinguish between those levels of
12 efficacy.

13 DR. SCHILD: They were small numbers, yes.

14 DR. NICHOL: So that we would say they were
15 equivalent in this trial.

16 CHAIRMAN DAUM: Dr. Steinhoff, please.

17 DR. STEINHOFF: This is a question about
18 the challenge model in the adults. I'm just wondering
19 how you would reflect on the issue that in the placebo
20 recipients. 45 percent of the subjects developed your
21 end point that you were measuring. Do you think that
22 if you had a different kind of a challenge with a
23 higher illness rate among the placebo recipients,
24 you'd find a different protective response? You may
25 not be able to answer that, but the -- how would you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reflect on that question?

2 DR. NICHOL: You know, I suspect that if
3 there had been a higher illness rate among the placebo
4 recipients, that we might have seen a higher level of
5 efficacy, but, you know, it's my understanding in
6 challenge trials and this was a study conducted by
7 John Treanor and colleagues, that illness rates of 50
8 to 60 or 70 percent are not uncommonly seen. We saw
9 an illness rate of 45 percent, so whether or not that
10 was much different from what one might expect in other
11 challenge trials, perhaps others would like to
12 comment.

13 CHAIRMAN DAUM: Dr. Goldberg, then Dr.
14 Stephens.

15 DR. GOLDBERG: On the challenge trial, if
16 I understand it correctly from your slides --

17 CHAIRMAN DAUM: Could you speak directly
18 into the mike, Dr. Goldberg?

19 DR. GOLDBERG: If I understand your slides
20 correctly, it looks like you stratified in the
21 randomization by the susceptible strain. When I add
22 back up the numbers, you've lost the most -- you've
23 got 29 subjects who actually did the challenge in the
24 FluMist™ group, 10, 9 and 10, out of the 36, so
25 that's the group where you lost more subjects for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 challenged. Can you explain that? You randomized 33,
2 36 and 34 and you had 32, 29, 31 who actually -- you
3 reporting challenge data on. Could someone address
4 that?

5 DR. MENDELMAN: As an aside, it just
6 showed up. It's really the susceptible B's that drove
7 the sample size because they're multiple -- the
8 adults, you know, are susceptible to, you know, more
9 than one strain, and then at the time of the
10 randomization and the challenge, it was also based on
11 how many people could be housed after the challenge.
12 There were logistical, practical issues.

13 DR. GOLDBERG: I just wanted to -- my
14 question really addresses the fact that it looks a
15 little differential with regard to not being
16 challenged by strain and I wondered if that meant
17 anything here. There's a differential in the
18 challenge group. Do you want to address that?

19 DR. MENDELMAN: I'm not hearing the
20 question or understanding it. Sorry.

21 DR. GOLDBERG: You have 36 subjects in the
22 FluMist™
23 group, but when you do the challenge, you have 29, so
24 you've lost 7 subjects in --

25 DR. MENDELMAN: Right, right, there was --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GOLDBERG: -- and that's more
2 proportionately than in the other groups. Is there
3 anything that went on there? Is there any reason for
4 that or is it related to the susceptibility or the
5 FluMist™ itself?

6 DR. MENDELMAN: No, the reason was
7 practical in how many people could be challenged and
8 housed for the next seven days.

9 DR. GOLDBERG: It was supposedly blinded,
10 I would think. So did they drop out because they
11 didn't want to be on -- you know, because -- was here
12 anything that went on that might make you -- I mean,
13 I don't know if this would effect the result or not,
14 but I think it is a differential.

15 CHAIRMAN DAUM: Did someone on the sponsor
16 group want to make a comment because you can't make it
17 from your seat?

18 DR. ARCURI: The people -- the
19 randomization occurred, a subset were challenged, but
20 the randomization occurred -- was blinded.

21 DR. GOLDBERG: No, I would expect that.
22 I mean, the only question is, is there something
23 associated with the FluMist™ group that led them not
24 to be challenged? That's really the essence of my --

25 DR. MENDELMAN: There has not been any,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dr. Goldberg. We could, if it's okay, Dr. Daum, ask
2 Dr. Wittes to comment, who was involved in the
3 analysis.

4 CHAIRMAN DAUM: Who is Dr. Wittes?

5 DR. MENDELMAN: Dr. Wittes is a consultant
6 and she is the --

7 CHAIRMAN DAUM: Sure.

8 DR. MENDELMAN: -- head of Statistics
9 Collaborative.

10 DR. WITTES: But she doesn't talk very
11 well. Obviously, Judy, we looked at that, I mean,
12 because you wonder when you see something. There was
13 nothing that we could see. It was a small group, so -
14 - okay.

15 CHAIRMAN DAUM: Poor Dr. Wittes. Dr.
16 Goldberg, I think we're done with this issue. We're
17 not going to get any further with it, I guarantee you.
18 Dr. Stephens, Ms. Fisher and someone here, Dr.
19 Steinhoff, thank you, and then Dr. Schild.

20 DR. STEPHENS: The efficacy data you
21 presented, studies, the healthy adult study was
22 effective -- pretty impressive for the H3N2 virus and
23 the challenge study looked like there was protection,
24 albeit without antibody for the H1N1. Do you have any
25 other data on H1N1, which I think is at least a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concern that I have that you're going to share with
2 us? Maybe you're going to share it later.

3 DR. MENDELMAN: George, could you call up
4 slide number 10 and 11 under backup's to Dr. Belshe's
5 presentation? So these are the historical data for
6 trials primarily conducted by National Institute of
7 Health and if we could -- can we focus this, George?
8 You can see the range of efficacy, depending on here's
9 H1N1, 79 percent, 29 percent, H1N1, 100 percent, 67
10 percent, H1N1, 188, H3N2, 172, H3N2, 100/100, H3 --
11 B's those are all 100. So high efficacy, albeit,
12 these are small trials and challenges, high efficacy
13 overall against the challenge.

14 The next slide should be the field trials,
15 whether it's H1 or H3, including Dr. Edwards' trial at
16 Vanderbilt, and the efficacies range from 36 percent
17 to it's hard to see, 76 percent.

18 CHAIRMAN DAUM: Imagine how we feel.

19 DR. STEPHENS: I'm sorry, just to clarify,
20 I'm asking about FluMist™ specifically.

21 DR. MENDELMAN: Well, these are the same
22 Master Donor Viruses, that's either a monovalent or a
23 bivalent, that were derived by Dr. Maassab. They're
24 not Aviron trials. They were conducted prior to
25 Aviron, prior to the current trivalent formulation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that we are proposing for licensure.

2 CHAIRMAN DAUM: Thank you. Ms. Fisher,
3 then Dr. Steinhoff and Schild.

4 MS. FISHER: Okay, I just want to make
5 sure I understand. With FluMist™ you get a between
6 10 percent and approximately 25 percent reduction in
7 any febrile illness, severe febrile illness, febrile
8 upper respiratory illness and days of missed work
9 between 10 and 25 percent on average. It's different
10 for different categories. Were you, perhaps,
11 expecting a larger reduction? I mean, is that a
12 healthy reduction in terms of placebo and --

13 DR. NICHOL: It's not a paltry reduction.
14 It's absolutely what one would expect understanding
15 that even with our more specific illness definitions,
16 not all of the outcomes were influenza related and so
17 to see a 25 percent reduction in febrile respiratory
18 illness, for example, might correlate with if one had
19 laboratory confirmation of only those illnesses that
20 are influenza related, might correlate with an 85 or
21 90 percent reduction in the influenza related illness.

22 I have a slide here. I've been, over
23 about 5 or 6 years experimenting with ways to address
24 that point specifically, the difference between
25 efficacy and clinical effectiveness. These are data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not from the AV009 trial because we didn't have
2 laboratory confirmation of influenza documented
3 illness, but these are data that I have adapted
4 actually from the CDC trial conducted over two seasons
5 in Michigan and from the second year of the trial,
6 they looked at influenza-like illness and had
7 laboratory confirmation for approximately 35 to 40
8 percent of the people who had influenza like illness
9 so only about 30 percent of the influenza like
10 illnesses were actually influenza if you add
11 laboratory confirmation.

12 If you looked at the difference between
13 placebo and vaccine, you saw a 34 percent reduction in
14 all influenza-like illness but if you looked at the
15 subset of laboratory confirmed, you saw an efficacy of
16 89 percent. Does that help? So when we see a 25
17 percent reduction in febrile upper respiratory illness
18 or whatever the numbers exactly are, that perfectly
19 well correlates with some level of efficacy that's the
20 underlying efficacy is much higher.

21 MS. FISHER: So we really don't know in
22 these cases how many of them were actually influenza.

23 DR. NICHOL: That's right, they were only
24 clinically defined. We did not have laboratory
25 confirmation. This was a clinical effectiveness

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 trial. In the challenge trial, we had laboratory
2 confirmation. They were very different kinds of
3 trials, different outcomes and in many ways, different
4 purposes. What the physician sees in the office is
5 influenza-like illness. They see this and when I
6 immunize my population of patients, what I see is in
7 this case, a 34 percent reduction.

8 If I'd only teased out influenza illness
9 by laboratory confirmation, I would have been able to
10 say that there was an 89 percent reduction in this
11 case, using this example in what was only influenza
12 related. Yeah, it's the difference between influenza
13 attributable or caused specific versus all cause
14 outcomes.

15 CHAIRMAN DAUM: Thank you very much. Dr.
16 Steinhoff, then Dr. Schild, then I think Dr. Faggett
17 and then we'll go back and finish the sponsor's
18 presentation.

19 DR. STEINHOFF: This is another question
20 for Kristin on the challenge study. We already talked
21 about the laboratory documented illness rates and they
22 were similar between the two vaccine groups. Could
23 you tell us the infection rates in the subjects in
24 that study?

25 DR. NICHOL: Yes, I believe that I have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those numbers immediately here for you. I do not
2 believe that I have a slide for you but let me read
3 you the wild-type infection rates by strain and
4 vaccine. For placebo, the wild-type infection rates
5 were 58 percent for H1N1, 50 percent for H3N2, 55
6 percent for B. For the live attenuated vaccine, they
7 were respectively 30 percent, 44 percent, 20 percent.
8 For inactivated vaccine, they were respectively H1N1,
9 20 percent, H3N2, 30 percent and B zero percent.

10 CHAIRMAN DAUM: Thank you very much. Dr.
11 Schild, then Dr. Faggett.

12 DR. SCHILD: It's really a technical
13 question. It's been shown that on occasion when you
14 isolate influenza viruses from the human specimen from
15 the throat in eggs, you select variants that are
16 antigenically somewhat different from the actual human
17 virus in the throat. This has been shown in several
18 laboratories. The question I have in relationship to
19 the challenge studies is whether the virus you used in
20 challenge was actually cultivated in eggs, and just to
21 comment it might not effect the issue very much but it
22 has been shown in laboratory studies that it can make
23 a significant difference in terms of protection of
24 immunized animals whether the viruses you're using
25 compared in eggs mammalian cells or whether the virus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is directly from human specimens from the throat.

2 DR. GREENBERG: All the challenge studies
3 that you see -- that you were just presented and that
4 you will be presented the challenge pools were grown
5 in eggs and I think in the historical record that we
6 eluded to previously, that is also the case.

7 CHAIRMAN DAUM: For the last word of this
8 session, we'll go to Dr. Faggett, please.

9 DR. FAGGETT: Just a quick question; it
10 would appear that there's quite a bit of allergic
11 rhinitis present in this population manifest post-
12 FluMist™. Do you have any feel for what the pre-
13 FluMist™ incidents of allergic rhinitis was and would
14 this have any impact in terms of antibody response
15 because of the condition of nasal mucosa?

16 DR. GREENBERG: Dr. Faggett, could you
17 just restate that question.

18 DR. FAGGETT: Well, you know, you talk
19 about a lot of runny noses but that's usually just
20 either perennially or allergic rhinitis but it could
21 have some impact in terms of --

22 DR. GREENBERG: You're talking about in
23 Dr. Mendelman's safety study.

24 DR. FAGGETT: Right, right.

25 DR. GREENBERG: Can we pull up the slide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of --

2 DR. MENDELMAN: Is it no a particular
3 slide or is it a different question?

4 DR. FAGGETT: There was a 20 percent
5 incidents of -- you say runny nose but I'm just trying
6 to get a feel for what the population was -- looked
7 like.

8 DR. MENDELMAN: This is in children or
9 adults?

10 DR. FAGGETT: That was adults.

11 DR. MENDELMAN: That's an 18 percent
12 difference between FluMist™ and placebo recipients,
13 within the seven days, any time within the seven days.
14 We've also done a by day analysis and that's in the
15 slides as well as number of days. Most -- well, more
16 placebo recipients than FluMist™ recipients have no
17 days of runny nose and then there's a distribution on
18 one of those slides that shows that.

19 CHAIRMAN DAUM: Thank you very much.

20 DR. FAGGETT: That's all, thanks.

21 CHAIRMAN DAUM: I'd like to move on now to
22 the last leg of the sponsor's presentation, Dr.
23 Belshe, with a few parting remarks from Dr. Greenberg.

24 While you're setting up, could I ask folks
25 who want to take photographs of the proceedings to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 please not use their flash. It's really somewhat
2 disruptive to the overall proceedings. Photographs
3 are okay, flash is not. Thank you very much.

4 DR. BELSHE: Thank you very much. I'm
5 delighted to have an opportunity to present the
6 effectiveness and efficacy field trial that was
7 jointed conducted by the NIAID and Aviron in
8 children. I'm going to summarize the study in four
9 parts. This was a two-year efficacy field trial. I'm
10 going to first describe the results of year 1 in which
11 both Influenza A and Influenza B circulated and those
12 strains were well matched to the strains contained in
13 the vaccine.

14 Then I'll turn and talk about efficacy in
15 year 2 which was an H3N2 outbreak. This was the first
16 year that Influenza A/Sydney appeared and the vaccine
17 was not well-matched to the epidemic strain. Then
18 I'll turn and talk about the analysis of combined
19 efficacy for both years and then summarize the
20 challenge trial we did with H1N1 vaccine strain to
21 obtain surrogate data on efficacy against this virus.

22 This clinical trial was governed by a
23 steering committee that consisted of the principal
24 investigators shown here on this slide. Many of them
25 are here in the audience today. The steering

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 committee also consisted of representatives from the
2 two sponsors, NIAID and Aviron and we had the benefit
3 of biostatistical expertise from actually four
4 sources. Both sponsors and then contractors to both
5 sponsors provided substantial input into the design
6 conduct and analysis of the trial.

7 Now, this figure summarizes the design of
8 the study. This was a double blind, placebo
9 controlled study, randomized 2 to 1, FluMist™ to
10 placebo. Healthy children were enrolled at age 15 to
11 71 months. The regiment consisted primarily of two
12 doses of vaccine in year 1. At two centers, however,
13 by design only one dose of vaccine or placebo was
14 given and that gave us an opportunity to assess one-
15 dose efficacy as well.

16 In year 2, subjects where not re-
17 randomized. They remained blinded and were
18 revaccinated according to the initial randomization
19 with either the vaccine or placebo with a single dose
20 revaccination. The primary end point of this study
21 was protection against culture confirmed influenza
22 among the children who had received two doses of
23 vaccine.

24 Now, we performed active surveillance
25 during the post-vaccination period for adverse events.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 All parents were called on day 4 and again on day 10
2 after each dose of vaccine and then between the
3 vaccination doses, parents were called at two to three
4 weekly intervals to inquire about the health of the
5 child. And before -- after dose 2 and before
6 influenza season began, parents received additional
7 calls at 2 to 3-week intervals to determine safety
8 information.

9 Now, once influenza season began, which in
10 year 1 was mid-November, we called the families
11 approximately every week, to remind them to report any
12 evidence of influenza and based on what we heard over
13 the phone, we would decide then whether or not to
14 visit the children and culture them for influenza.
15 And we set our sensitivity extremely sensitive, that
16 is a runny nose and a cough was sufficient to trigger
17 a culture for viruses.

18 Enrollment into the trial is summarized on
19 this slid for year 1. One thousand and seventy
20 children were randomized to receive FluMist™ and 532
21 were randomized to receive placebo. Most of the
22 children were randomized to receive two doses of
23 FluMist™ or two doses of placebo. Now, the
24 occurrence of influenza in the total study population,
25 that is both placebo subjects and vaccination

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 subjects, is summarized in this figure. In year 1 we
2 had initially a H3N2 outbreak that was influenza
3 A/Wuhan like and this was followed very shortly by an
4 influenza B outbreak, that was influenza B/Harbin-like
5 and this was similar to what was in the vaccine. And
6 I'll turn and talk about year 2 in a minute.

7 Now, when we analyze the occurrence of
8 influenza according to a study group, there were only
9 14 cases of culture confirmed influenza in the
10 FluMist™ group for an attack rate of just over one
11 percent. Seven of those were influenza A and seven of
12 those were influenza B cases. However, among the 532
13 placebo subjects, there were 63 children with culture
14 confirmed influenza A for an attack rate of almost 12
15 percent, 37 children had influenza B for an attack
16 rate of 7 percent. Now, that's 100 cases.

17 Those 100 cases occurred in 94 children
18 because 6 of the children had two illnesses one with
19 influenza A and another with influenza B. Overall the
20 attack rate in year 1 in the placebo group was 17.7
21 percent. Now, when we do the efficacy calculation
22 against the primary end point, that is children who
23 received 2 doses of vaccine, we get a point estimate
24 of 93 percent efficacy against culture confirmed flu
25 with relatively -- a very narrow confidence intervals.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 One dose was also effective. It was almost 89 percent
2 protective against culture confirmed flu and an intent
3 to treat analysis revealed 92.6 percent efficacy
4 against influenza.

5 Vaccine was also protective against
6 significant clinical illnesses associated with
7 influenza and this particular analysis looks at
8 febrile illnesses and otitis media. For culture
9 confirmed febrile illness, there were only eight cases
10 among the 1,070 FluMist™ recipients. In contrast
11 there were 80 cases among the 532 placebo subjects for
12 95 percent efficacy against febrile influenza.

13 Now otitis media is a common complication
14 of influenza and we observed 20 cases of otitis media
15 among those children in the placebo group who had
16 culture confirmed flu and only one case of otitis
17 media in the children in the vaccinated group with
18 culture confirmed flu and so that's 97-1/2 percent
19 efficacy against influenza associated otitis media.

20 Now, in addition, among the FluMist™
21 recipients who developed breakthrough influenza, the
22 disease appeared to be more mild at least as indicated
23 by duration of fever. As shown here in the footnote,
24 FluMist™ recipients who had flu, had an average or
25 2.4 days of fever. In contrast, placebo recipients

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 who had fever -- who had flu had 4.1 days of fever.

2 Now, in year 2, 85 percent of the children
3 re-enrolled in the study, 917 were in the FluMist™
4 group and 441 were in the placebo group and they
5 received a single re-immunization according to their
6 original randomization. Influenza A occurred in year
7 2. It was the first year that influenza A/Sydney
8 occurred, it's shown here in the red line and there
9 was a single case of influenza B. Now, when we look
10 at the breakdown of those cases according to the
11 treatment group, there were 15 cases among the 917
12 placebo recipients but 56 cases among the 441 placebo
13 recipients.

14 Now, the outbreak of influenza A that year
15 was primarily influenza A/Sydney but there was some
16 influenza A/Wuhan or vaccine-like viruses circulating
17 in the community. So we strain typed each of those
18 viruses and this particular slide illustrates the
19 efficacy according to the strain specificity. So the
20 vaccine contained A/Wuhan and then we had wild-type
21 A/Wuhan occurring in a few of the patients. There
22 were four such cases and then there was a single
23 B/Harbin occurring. So I've lumped together in this
24 analysis the vaccine-like viruses and vaccine then was
25 100 percent effective because these five occurred all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 within the placebo group and there were none in the
2 vaccine group.

3 Most of the viruses were A/Sydney-like, 15
4 of those occurred in the FluMist™ group and 51
5 occurred in the placebo group which gives us a point
6 estimate of about 86 percent efficacy. Overall, for
7 all cases of flu in year 2, vaccine was 87 percent
8 protective. Now, in order to understand this high
9 efficacy of the live attenuated vaccine against a
10 significantly drifted virus, a subset of children who
11 were initially seronegative and received two doses of
12 vaccine in year 1, were analyzed for HAI antibody
13 against the vaccine strain shown here in the first
14 column, which is the percent of children with four-
15 fold rise, and a variety of related H3N2 viruses using
16 antigens provided by the FDA.

17 And so this is the proportion of children
18 with four-fold antibody rise to vaccine and the next
19 column is influenza A/Sydney and then the other
20 viruses here are A/Thessalonika '95, Russia '95 and
21 Johannesburg '94. And so there appeared to be a very
22 broad reacting antibodies directed against H3N2
23 viruses after vaccination with FluMist™.

24 We also had an opportunity to look at
25 protective effect of natural infection in year 1 with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 A/Wuhan upon the A/Sydney outbreak in placebo
2 children. So what I've got here is the 441 placebo
3 recipients broken down by group according to whether
4 or not they had culture confirmed influenza A/Wuhan in
5 year 1. And there were 52 placebo subjects in whom we
6 had isolate A/Wuhan in year 1 and only one of those
7 had A/Sydney in year 2.

8 In contrast, there were 389 placebo
9 children without culture confirmed H3N2 in year 1 and
10 we had 54 had culture confirmed influenza A/Sydney
11 H3N2 in the second year. And so this gives us an
12 efficacy rate then of 86 percent which is the same
13 point estimate we get for vaccine. So to turn that
14 around a little bit, it appears that live attenuated
15 vaccine was just as effective as a recent natural
16 infection with a related but significantly drifted
17 H3N2 virus.

18 In year 2 we also observed significant
19 benefit against clinical disease associated with
20 culture confirmed influenza. This is the same
21 analysis for year 2 as I showed you earlier for year
22 1. Febrile illness associated with culture confirmed
23 flu, there were only 12 cases in the 927 FluMist™
24 recipients, but there were 54 cases among the 441
25 placebo recipients. That's an efficacy of 89 percent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 against febrile influenza and similar to year 1, there
2 were a lot of case of otitis media associated with
3 influenza. In the placebo group there were 17 case,
4 in contrast there were only two cases of influenza
5 associated otitis in the FluMist™ group which is 94
6 percent protective.

7 And finally we also observed in year 2
8 FluMist™ was protective, seemed to reduce the
9 severity of illness against A/Sydney. The duration of
10 fever in the breakthrough cases in the FluMist™ group
11 was only 2.1 days in year 2. In contrast, the
12 duration of fever in the placebo recipients was 4.9
13 days and that was a significant difference.

14 Now, to do an analysis of 2-year efficacy
15 we did a Kaplan-Meier analysis and the display of this
16 data is shown as acquisition of influenza in the
17 placebo group shown in the top part here with 95
18 percent confidence intervals versus the vaccine group
19 and this is over the time in the study. So that
20 initially children start out, they've not had flu and
21 they're being vaccinated and then at this point, we
22 had the H3N2 outbreak in year 1 and these curves very
23 quickly diverge as placebo children acquired H3N2.

24 And at this point, the slope changes and
25 this is the influenza B outbreak in year 1 as children

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 acquire influenza B. And then we get to the
2 intervening summer months when there is no flu and
3 children are revaccinated in the fall and then at this
4 point, there's the outbreak of influenza A, H3N2
5 Sydney and at the end of two years, a third of the
6 children in the placebo group have had culture
7 confirmed flu. In contrast, only about 2-1/2 percent
8 of vaccinated children had culture confirmed flu. And
9 there's a 92 percent reduction then from this point to
10 this point and the 2-year protection against
11 influenza.

12 Vaccine was also protective against lower
13 respiratory disease associated with influenza. And
14 this analysis looks at year 1, year 2, and the 2 years
15 combined. In year 1 there were four cases of lower
16 respiratory disease, 3 of them occurred associated
17 with culture confirmed flu. Three of them occurred in
18 the placebo group, only 1 in the FluMist™ group,
19 that's 83 percent efficacy but the confidence interval
20 includes zero. However, in year 2, influenza A/Sydney
21 was a particular virulent virus. There were eight
22 cases of lower respiratory disease, all of them in the
23 placebo group or 100 percent efficacy. Lower bound on
24 the confidence level is 77 percent.

25 Overall in the two years of study there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was 95 percent protection against lower respiratory
2 illness associated with influenza. Now, this is a
3 similar display as we just discussed for adults which
4 illustrates the difference in efficacy and what we
5 mean by effectiveness. And in this particular data
6 I'm showing you is the -- represents -- the height of
7 the bar represents the attack rate of all febrile
8 illness that we observed in the 2 years of study in
9 this clinical trial, and the left-hand bar is the
10 placebo group, and the right-hand bar is the vaccine
11 group.

12 Now so far we've been talking about
13 efficacy which looks then only at the laboratory
14 documented influenza which is a portion of this bar
15 because clearly there are many other causes of fever
16 and we don't expect the vaccine to have efficacy
17 against other causes of fever and so what we've been
18 looking at is, is this portion of the bar versus this
19 portion of the bar in vaccinated subjects and we get
20 efficacy of 94 percent against febrile influenza.

21 If we now turn and look at what's the
22 benefit of the vaccine on the overall health of the
23 child, we then say, okay, let's look at the total
24 height of the bar in the placebo group versus the
25 total height of the bar in the vaccine group and we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 get effectiveness of 18 percent. So we can expect
2 vaccine to reduce all febrile illness but about 18
3 percent. So with that as an introduction, let's look
4 at several other effectiveness measures from this
5 trial and I'm showing you the overall 2-year
6 effectiveness.

7 The vaccine reduced febrile illness
8 associated with antibiotics by 23 percent and that was
9 statistically significant. Vaccine reduced febrile
10 otitis media with antibiotics by 30 percent and that
11 was statistically significant. Vaccine reduced the
12 days that children missed daycare by 12 percent and
13 similarly parents didn't have to lose as much work by
14 12 percent. Those two measures did not quite achieve
15 statistical significance.

16 However, there was a significant reduction
17 in the number of health care provider visits in the
18 vaccine group. There was an overall 11 percent
19 reduction in visits to the doctor. So by a number of
20 measures, FluMist™ improved the health and well-being
21 of children. Now during the two years of this field
22 trial, H1N1 viruses did not circulate and therefore,
23 the steering committee got together and designed a
24 follow-on study where a subset of children were asked
25 to be challenged with H1N1 vaccine strain. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 challenge was a high dose 10^7 given by the spray
2 device and children were challenged 5 to 8 months
3 following the second year of study.

4 One hundred forty-four children had
5 received prior FluMist™ and 78 children had received
6 prior placebo. The primary end point of this study
7 was protection against viral shedding H1N1 vaccine
8 virus. Now, before we did this challenge, we obtained
9 serum for HAI antibody and nasal washes for IgA so
10 that we could determine correlates of protection and
11 we assessed a viral shedding on days 1 through 4.

12 A summary of the viral shedding is
13 illustrated here. Of 142 prior FluMist™ recipients
14 who had viral shedding tested, only 6 shed virus. In
15 contrast, of 77 tested placebo recipients, 19 shed
16 vaccine virus on days 1 through -- on any day 1
17 through 4 which gives an efficacy, a point estimate of
18 efficacy of about 83 percent. The analysis of the
19 correlates of protection is long and complex and I'd
20 be happy to discuss that with you, but just to
21 summarize those results here, we demonstrated that
22 overall any serum HAI antibody was associated with a
23 93 percent reduction in the attack rate of viral
24 shedding. Any nasal wash IgA antibody was associated
25 with an 85 percent reduction in attack rate of viral

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 shedding. In addition to that, there were a subset of
2 children in the FluMist™ group who had neither HAI
3 antibody nor nasal wash IgA and simply having a
4 history of receiving vaccine correlated with
5 protection against viral shedding.

6 So we conclude then, that FluMist™
7 provided a very high degree of protection against
8 culture confirmed influenza during two seasons of this
9 efficacy field trial. FluMist™ provided a high
10 degree of protection in year 2 against a significantly
11 drifted H3N2 virus and FluMist™ protected against
12 significant influenza associated clinical illnesses,
13 including otitis media febrile illness and lower
14 respiratory infection. Thank you.

15 CHAIRMAN DAUM: Bob, thank you very much
16 for a very clear presentation. I think what I'd like
17 to do is, Dr. Greenberg, it looks like your final
18 comments will be quite brief.

19 DR. GREENBERG: Mercifully.

20 CHAIRMAN DAUM: Mercifully, no, that's
21 your word. And so could we hear them and then have a
22 few minutes of committee discussion. We will then
23 take a short break and then have the FDA presentation.

24 DR. GREENBERG: Just out of curiosity,
25 where are we with our 90 minutes?

1 CHAIRMAN DAUM: You are at 91 minutes, so
2 you're actually at minus 1 but I presume you're only
3 going to take 2 or 3 and we can tolerate that:

4 DR. GREENBERG: Thank you. I'd like to
5 thank all of you for being so attentive and I'm not
6 just going to very briefly summarize what you've
7 heard. I think from the data you've just listened to
8 you would agree with me that FluMist™ is safe, well-
9 tolerated, effective and efficacious in healthy
10 children and adults 1 to 64 years of age. The
11 efficacy was shown in four different trials both
12 challenged trials and field trials and I want to
13 remind you that the efficacy we showed is consistent
14 with the efficacy that Dr. Murphy talked about in all
15 the historical record with multiple trials of this
16 vaccine over many years. So the efficacy really is a
17 continuum and we've shown it again.

18 FluMist™ protected against disease due to
19 antigenically well-matched influenza viruses and
20 against an antigenically drifted strain in both
21 children and adults. And FluMist™ was effective in
22 reducing antibiotic usage, health care provider visits
23 and days of lost work. Next slide, please. Well, so
24 FluMist™ is safe and effective and that's highly
25 important because we're going to prevent a disease

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that causes a lot of problems for everybody and I know
2 I'm boring you but that's the mission of this vaccine.
3 FluMist™ will provide an additional vaccine supply in
4 a situation where vaccine is limited and the need is
5 great. It has an ease of administration which you all
6 are aware of and most importantly, I think prevention
7 of influenza -- it will prevent influenza in healthy
8 children and adults and I want to emphasize the
9 children because as we said at the beginning of this
10 presentation, children are really under-served, vis-a-
11 vis, vaccination at the present time. Less than 10
12 percent of children are vaccinated and there's a
13 tremendous burden of disease on those children and
14 they represent a potential nidus of infection to the
15 rest of the community.

16 Next slide, please. As you are all aware,
17 the story of this vaccine is a very long one and many,
18 many people have contributed to it and I couldn't put
19 all the names on a single slide. I'd like to simply
20 remind you that Dr. John Maassab, who cannot be here
21 today, was the originator of this vaccine and has
22 really been involved with it over a 30-year period.
23 The National Institute of Health and more specifically
24 the National Institute of Allergy and Infectious
25 Disease and even more specifically, that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 intramural, even more specifically the vaccine
2 treatment evaluation units have played a very big role
3 in the development of this vaccine. Numerous clinical
4 investigators outside of those groups have been
5 involved and finally my colleagues at Wyeth Lederle
6 Vaccines have also helped. Thank you.

7 CHAIRMAN DAUM: I'd like to thank the
8 sponsor for essentially adhering to our constraints
9 and providing us with a stimulating set of data to
10 consider and I'd like to have some questions on Dr.
11 Belshe and Dr. Greenberg's presentations before break.
12 Dr. Kohl, Dr. Griffin and Dr. Katz.

13 DR. KOHL: Dr. Belshe, 2 questions. You
14 may not have the numbers because it's a sub-group, but
15 do you have specific protection numbers age 12 to 24
16 months.

17 DR. BELSHE: Yes, that is in fact in the
18 FDA briefing booklet.

19 DR. KOHL: I didn't see it.

20 DR. GEBER: It's 15 months.

21 DR. BELSHE: It's months, yes.

22 DR. KOHL: Okay, 15 to whatever.

23 CHAIRMAN DAUM: It's just being called up.

24 DR. KOHL: Okay, and then while we're
25 calling that up, in the children who were challenged

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the H1N1 challenge of the FluMist™ recipients who
2 did not shed the virus, a fairly large number, did
3 they have an antibody response? Did you get sera on
4 them to see if even though they didn't shed, whether
5 you could boost their immune response with a
6 challenge?

7 DR. BELSHE: We didn't go post-challenge
8 sera in these children. They'd been manipulated a lot
9 in 2 years and in order to be practical and bring this
10 data to an end, we did not do that.

11 DR. KOHL: It's too bad because the
12 question comes up will the immune response be blunted
13 or whatever by multiple episodes of immunization and
14 that would have been a neat chance to see if they
15 continue not to response.

16 CHAIRMAN DAUM: Thank you. Dr. Griffin.

17 DR. GRIFFIN: Since the efficacy was
18 actually pretty similar for whether you got 1 dose or
19 2 doses, I was wondering what the reasoning is behind
20 recommending 2 doses for the youngest children.

21 DR. BELSHE: There's a long history of
22 studying multiple doses by the NIAID as well as more
23 recently by Aviron and what we've seen is that H3N2
24 and B very reliably give a vigorous antibody response
25 it seronegative children and appear to in some -- what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we've called, the investigators have called
2 interference reduce the response anyway to H1N1 with
3 the first dose. And that this is overcome by just
4 repeating the vaccination 30 to 60 days later.

5 DR. GRIFFIN: Is that unique then to the
6 youngest children?

7 DR. BELSHE: Well, it's only observed in
8 triply seronegative individuals and that -- it really
9 means young kids.

10 CHAIRMAN DAUM: Thank you. I think three
11 committee members wanted to ask the same question at
12 once, so we'll go on to Dr. Katz and then Dr. Edwards.

13 DR. KATZ: With permission, my question is
14 for Nancy Cox. Every year you show us studies at ACID
15 of ferret anti-sera and various cross reactivities.
16 What's the difference in your studies between Wuhan
17 and Sydney? I mean, how far apart are they?

18 DR. COX: There were very consistent 4-
19 fold to 8-fold differences using post infection ferret
20 sera and there were -- I don't remember if -- they
21 were in both directions but I can't remember if it was
22 greater in one direction than the other, but there was
23 what we would consider to be a significant difference
24 and the vaccine strain was updated in the next year.

25 DR. KATZ: Thank you.

1 CHAIRMAN DAUM: Dr. Edwards and Dr. Daum.

2 DR. EDWARDS: That actually is a nice
3 prelude to my question. Are you suggesting that the
4 cold-adapted vaccine is unique in it's heterotypic
5 protection compared to the inactivated vaccine from
6 your suggestions?

7 DR. GREENBERG: No.

8 DR. EDWARDS: Thank you.

9 CHAIRMAN DAUM: I'm wondering about
10 children or adults for that matter who fail vaccine
11 and I know that certainly in other settings
12 particularly bacterial infections when you have a
13 child that fails a vaccine during a trial where you
14 sort of run around and study every possible thing
15 about that person. What do we know about the people
16 who fail trials, trials like we've heard today? Have
17 we made any attempt to look and see if there's
18 anything special with their exposure, their clinical
19 situation, their immunity, their ability to be a good
20 host?

21 DR. BELSHE: Well, the problem, of course,
22 with studying a vaccine that has 94 percent efficacy
23 is that you get very few failures and so we just do
24 not have the pre-immunization and post immunization
25 immunologic assessments on enough children to make any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 comments about why is it that small subset fails.

2 CHAIRMAN DAUM: Ms. Fisher and then I
3 think we'll break.

4 MS. FISHER: So if HAI -- serum HAI
5 antibody is not a correlate for immunity then the only
6 way to measure it is through challenge? In other
7 words, you measure efficacy by direct challenge.

8 DR. BELSHE: No, serum HAI antibody is
9 clearly a correlative protection but it's not the only
10 correlative protection. IgA antibody in the nose is
11 also an important correlative protection. So we
12 clearly have shown that in children. We have two good
13 correlative protection but there is something beyond
14 that. There is something beyond that because a subset
15 of children without HAI antibody and without IgA
16 antibody are still protected.

17 MS. FISHER: So you just don't know the
18 mechanism.

19 DR. BELSHE: That's correct, not in that
20 subset.

21 CHAIRMAN DAUM: Okay. Again, we thank the
22 sponsor for a great deal of data and food for thought
23 so to speak. It's now 4:00 o'clock. We will begin
24 the FDA presentation promptly at 4:15.

25 (A brief recess was taken.)

1 CHAIRMAN DAUM: We'll now continue,
2 please, if everyone will take their seats. We'll turn
3 the floor over to Dr. Mink, ChrisAnna Mink, from the
4 FDA to initiate the FDA presentation.

5 DR. MINK: Can you hear me okay? I will
6 present the clinical summary from FDA on the Aviron
7 cold-adapted, live attenuated influenza virus vaccine
8 FluMist™. Let me re-emphasize what Dr. Levandowski
9 stated this morning that this BLA was submitted on
10 October 31st, 2000. Our review is ongoing and many of
11 the data have not been submitted or have not yet been
12 submitted in final format. I also need to give my
13 eternal gratefulness to my clinical review team, and
14 my supervisor, Dr. Geber and our statistician, Dr.
15 Wasima Rida. I hear an echo, do you?

16 CHAIRMAN DAUM: There is an echo in this
17 room. The sound bounces around and comes back. I
18 don't know what to do about it.

19 DR. MINK: That's okay, just so it's inside
20 my head.

21 CHAIRMAN DAUM: Can people in the back --
22 we can't rule that out unfortunately. We can rule
23 that out. Would everybody please turn their cell
24 phones and beepers off. If anyone needs any help, as
25 someone told me this morning, shutting it off, let me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know. Dr. Mink.

2 DR. MINK: The indication sought is active
3 immunization for the prevention of influenza in
4 children, adolescents and adults from 1 to 64 years of
5 age. Two-dose regiment at least 30 days about is
6 being requested for the first 10 years in 1 to 9-year
7 old subjects, less than 9 years and one-dose regiment
8 for those over 9 years to 64 years of age also for
9 immunization of travelers to areas where influenza
10 viruses are circulating.

11 In this section, I will discuss studies in
12 support of efficacy. You've heard a little about most
13 of these already, the pediatric efficacy trial, AV006.
14 AV011 is the efficacy against shedding a vaccine
15 strain H1N1 following -- AV009 is efficacy against
16 illness during influenza outbreak periods in adults 18
17 to 64 and AV003 is efficacy against challenge with
18 wild-type virus. In this section I will also review
19 studies submitted in support of consistency of
20 manufacturing; AV007, which is a lot consistency trial
21 and AV014 which is bridging of FluMist™ blended and
22 filled at 2 different facilities.

23 To begin, I'll start with AV006. As
24 described to you, this is a U.S. multi-center 2-year
25 trial prospective double blind randomized in 2 to 1

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ratio in healthy children 15 to 71 months of age. It
2 was initiated for the 1996/'97 influenza season and
3 evaluated by a 1-dose or a 2-dose regiment which was
4 separated by 60 days. FluMist™ was delivered via
5 AccuSpray™ device of .5 mL dose. The type that's
6 shown here in the year 1 was A/Texas H1N1, year 2 was
7 A/Shenzhen of H1N1 and both years the H3N2 A/Wuhan and
8 the B was Harbin '94-like. As has been said there,
9 the placebo was normal allantoic fluid, which I'll
10 abbreviate as NAF, stabilized with SPG.

11 Monitoring for efficacy was performed by
12 active surveillance with phone calls every two to
13 three weeks starting on day 11 post-vaccination.
14 Calls were increased to every 7 to 10 days with
15 influenza outbreaks. Parents were to call if their
16 child had any illness consistent with influenza. Pre-
17 defined criteria for obtaining influenza cultures or
18 at the investigator's discretion were reasons for the
19 subjects coming in for cultures. This was after day
20 11. Per protocol, to minimize risk of unblinding,
21 cultures of subjects was discouraged in the first 10
22 days post-vaccination.

23 The end points were -- the first episode
24 of culture confirmed influenza illness any time on the
25 day of or after receipt of the second dose of vaccine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was the primary end point. Secondary end points
2 included first episode of first culture confirmed
3 influenza illness occurring at least 15 days after the
4 first dose of vaccine and the subject after receipt of
5 1 or 2 doses both those enrolled to receive 2 doses
6 and those enrolled to receive 1 dose.

7 To briefly review, the enrollment of the
8 subjects for both the 1 and 2-dose regiments were
9 comparable demographics, for mean age, ethnicity,
10 gender and for those subjects about 50 percent of the
11 study group had a primary caretaker who was working
12 outside of the home.

13 The number of cultures obtained is shown
14 in this slide. There were 139 cultures that were
15 positive for an influenza virus out of the 3,127
16 cultures obtained. Of the -- 18 cultures were
17 obtained within the first 14 days, which I will
18 discuss in a moment. Seven cultures are not included
19 because they were lost or could not be confirmed or
20 had other procedural problems. Six placebo subjects
21 had cultures positive for H3N2 and then subsequently
22 for Type B. This left a total of 114 influenza
23 positive cultures from 108 subjects that were included
24 in the efficacy analysis.

25 As has been stated, there was H1N1

1 circulating in year 1 and thus, I do not have field
2 efficacy data to present for this strain. This is a
3 busy slide that shows the efficacy against culture
4 confirmed influenza illness and so this would be those
5 who received 2 doses and those with 1 dose and then
6 all regimentized participants. These are the number
7 of positive subjects and the estimated efficacy was 95
8 percent confidence levels. As stated by the sponsor,
9 a high degree of efficacy was noted for those who
10 received 2 doses which shows the --

11 There was also an efficacy stated for
12 those who received 1 dose but because of the small
13 number confidence levels were wider. And again, for
14 all randomized participants, efficacy was
15 demonstrated. The sponsor also provided efficacy
16 analysis for age, gender and ethnicity. This shows
17 results by age. For those less than 24 months which
18 would be 15 to 24 months, the middle age groups and
19 the highest were those over 60 months to 71 months.
20 As you can see, the numbers are smaller but there's
21 efficacy demonstrated and again some of the confidence
22 levels are wider.

23 On the next slide, it shows efficacy by
24 gender and ethnicity. There was no difference
25 appreciated against any strain for subjects enrolled

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in 2 doses between male and female and between
2 Caucasian and non-Caucasian. As mentioned earlier,
3 culturing in ill subjects was discouraged in the
4 immediate post-vaccination period. However, as shown
5 on this slide, some cultures were obtained. A total
6 of 116 subjects who were ill, had 117 cultures
7 obtained in the first 14 days post-vaccination. Of
8 these 116, 38 were placebo recipients, 16 of those had
9 cultures after day 11 which is per protocol. Twenty-
10 two had cultures between days 2 and 10 and zero of
11 those were positive for an influenza vaccine strain.

12 Seventy-eight of the subjects were
13 FluMist™ recipients, 66 were cultured after day 11
14 and 17 were obtained between days 2 and 10 which grew
15 18 cold-adapted incidents of virus strains. As I
16 mentioned, of these 17 FluMist™ there were 18
17 cultures, they grew 20 CAIV isolates. There were 11
18 that grew Type B, five Type A and two that grew A and
19 B. I do not have the growth of other viruses at this
20 time but I'm sure this analysis is available from the
21 sponsor or will be soon.

22 Of note, 16 of the 17 subjects with
23 positive cultures were from the Houston site. As
24 background for the audience, culturing was performed
25 in a total of 31 out of the 144 of the FluMist™

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recipients, which was 21 percent and 18 percent of the
2 placebo recipients at that site had cultures obtained
3 within the first 14 days. The illness profiles of
4 these subjects is shown on this slide. I have
5 presented here the placebos that were culture negative
6 for CAIV, that's 36. There are two that I'm not sure
7 of the culture results, so it's presumably negative
8 for CAIV. Seventeen who were positive for CAIV and
9 there were 60 subjects that were negative. As you can
10 see, any illness event, a reactogenicity event or
11 adverse events was identified in 100 percent of the
12 subjects who were culture positive.

13 More than three events were identified,
14 which is .6 percent which is compared to 41 percent of
15 the negative subjects for our CAIV strain. Forty-one
16 percent compared to 13 percent of the FluMist™
17 recipients who grew vaccine virus met criteria for CDO
18 influenza-like illness and finally fever occurred in
19 70.6 of these positive subjects compared to 23 percent
20 of the negative and 23 percent of the placebo
21 subjects. Thus, this would suggest that children who
22 grew a cold-adapted influenza virus strain were ill.

23 There is some suggestion that HAI titers
24 greater than 1:32 have been associated with protection
25 after natural influenza and after inactivated vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In AVO06 a subset of subjects had serum HAI and serum
2 IgG and nasal IgA, anti-HA antibodies measured. I
3 will briefly discuss the HAI results. The pre-vaccine
4 titers are shown for this category and in that
5 protocol seronegative was defined as less than 1⁴.
6 For H1N1 the GMT was 5.4. The geometric mean titer
7 for H3N2 was 9.5 and for B it was 4. Post-dose 1 was
8 increased modestly for H1N1 and there was a notable
9 increase for H3N2 and for Type B.

10 Post-dose 2, H1N1 had a rise to 18.8,
11 H3N2, 43.8 and B to 25.8. Please note that the
12 placebo post-dose 2 were comparable to the pre-vaccine
13 titers of the FluMist™ group. This slide presents
14 similar data but shown as geometric mean fold rise.
15 Post dose 1 to H1N1 was an 8.7 rise and there is at
16 least a 4-fold rise for both H3N2 and B and post-dose
17 2, an increase of 3.4 from baseline for H1N1 and
18 increase of up to 6.3 GMFR was noted for Type B.
19 There we go. I'm okay, I think I've got it. Thank
20 you very much.

21 In year 2 approximately 87 percent of the
22 subjects returned for participation. In this protocol
23 the subjects received 1 dose of the same study vaccine
24 that they had received in year 1, that is they were
25 not rerandomized. The primary end point was efficacy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE, N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 against the first episode of culture confirmed
2 influenza illness caused by a sub-type antigenically
3 similar to the vaccine strain. Antigenically similar
4 was not pre-defined in the protocol. The circulating
5 H3N2 as previously described was A/Sydney as was noted
6 to be a variant from the vaccine strain A/Wuhan.
7 Again there was no H1N1 circulating in year 2.

8 The efficacy in year 1 as shown on this
9 slide for all year 2 participants against all
10 community acquired strains, the efficacy was
11 approximately 87 percent with rarely -- fairly narrow
12 confidence intervals. For the missed strain the
13 asterisk as A/Wuhan and B the efficacy was 100 percent
14 but there are a few cases and we have wide confidence
15 intervals. And for the variants, the efficacy was
16 85.9 percent. In the H1N1 challenge study, because
17 there was no field efficacy available for H1N1, a
18 challenge study was performed with the primary
19 objective to compare viral shedding of vaccine strain,
20 cold-adapted influenza monovalent or H1N1, and
21 previous FluMist™ compared to previous placebo
22 recipients.

23 A subset of AV006 subjects approximately
24 220 of them, about 20 per site, were challenged with
25 vaccine strain H1N1 and then viral shedding was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assessed as a surrogate for vaccine efficacy. In this
2 study design on day zero the subjects were challenged
3 with $.5 \times 10^7$ TCID₅₀ of the vaccine monovalent
4 A/Shenzhen. This was the same lot as H1N1 as used in
5 the FluMist™ for the 1997/'98 season, the year 2 of
6 AV006. The challenge was performed about 5 to 8
7 months after the year 2 dose. On days 1 through 4 the
8 subjects had nasopharyngeal cultures obtained.

9 Efficacy against vaccine shedding is shown
10 on this slide. Shedding on any day, frequency denoted
11 by K, occurred in 4 percent of prior FluMist™
12 recipients compared to 25 percent of prior placebo
13 recipients for an efficacy of 82.9 percent against
14 shedding of monovalent vaccine H1N1. Percent shedding
15 is shown on this part of the graph and as you can see,
16 on days 2, 3, and 4, there was significantly more
17 shedding noted in the placebo recipient, prior placebo
18 recipients than in the prior FluMist™ recipients.

19 Please also note that shedding still
20 occurred on day 4 for 8 percent of the subjects in the
21 placebo group. There were no subsequent cultures
22 obtained and the total duration of shedding cannot be
23 determined. For adult experience, an effectiveness
24 trial was performed, AV009, as presented earlier.
25 This study involved healthy working adults from 18 to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 64 years of age, randomized 2 to 1 FluMist™ to
2 placebo. The subjects received one dose of the
3 vaccine for 1997/'98 composition of A/Shenzhen for
4 H1N1, A/Wuhan and B/Harbin-like.

5 In this trial vaccines could be self-
6 administered or delivered by study personnel. The
7 primary objectives were to show safety and
8 tolerability of the FluMist™ and placebo the normal
9 allantoic fluid preparations and to show smaller
10 proportions of FluMist™ recipients had any febrile
11 illness during influenza outbreaks. There were
12 several secondary objectives as described by Dr.
13 Nichol.

14 The effectiveness results are shown in
15 this slide. Any febrile illness occurred in 13.2
16 percent of FluMist™ recipients compared to 14.6
17 percent with a reduction of 9.7 percent, with these
18 CBER-generated confidence intervals provided. The p-
19 value, unadjusted for multiple comparisons was not
20 statistically significant for this primary end point.
21 Effectiveness was demonstrated for severe febrile
22 illness, reduction of 17.4 percent, febrile URI
23 reduction of about 22 percent and for the post-
24 analysis of the CDC influenza-like illness and the
25 Department of Defense influenza-like illness with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these p-values are shown.

2 The rate of AFI or any febrile illness
3 associated events is shown on this slide and as
4 described earlier, these were the number of days or
5 the number of events per 1,000 subjects, per 7-week
6 outbreak period. There were statistically significant
7 decreases for days of over the counter medication use
8 and days of antibiotic use. But the decreases were
9 not statistically significant for days with health
10 care provider visits and missed work days.

11 In the next slide I will describe study
12 AV003 which was presented to you earlier. Though this
13 is a small study and was performed early in the
14 clinical development of FluMist™, it provides useful
15 information and that is the only data available in
16 adults with culture results for influenza virus. The
17 goal of this study was to assess the efficacy post-
18 challenge with wild-type influenza against laboratory
19 documented illness in subjects 18 to 42 years of age.

20 FluMist™ was compared to placebo and
21 FluMist™ was also compared to the trivalent in
22 activated vaccine. The other goal was to assess
23 safety and tolerability of FluMist™ in adults who
24 were serosusceptible to at least one of the strains in
25 the vaccine. Study definitions are reviewed briefly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 here and that symptoms of influenza -- for laboratory
2 documented illness, symptoms of influenza with
3 shedding of wild-type influenza on one or more days
4 and/or a greater than or equal to 4-fold rise in HAI
5 antibody titers to the challenge virus from days 28 to
6 56.

7 Illness was defined as two consecutive
8 days of at least one respiratory symptom of moderate
9 or greater severity or two symptoms of any severity.
10 The strains used were A/Texas for the H1N1, A/Shandong
11 for H3N2 and B/Panama for the Type B. These were
12 contained in the 1994 strains for FluMist™, also in
13 the licensed TIV produced by Evand Medeva and in the
14 challenge strains which were described by Dr.
15 Greenberg.

16 Placebo included an intranasal challenge -
17 - I'm sorry, intranasal dose of normal allantoic
18 fluids with SPG as described earlier and the injection
19 with a saline with .01 percent thimerosal. Efficacy
20 against laboratory documented illness, any strain, is
21 shown here. In the FluMist™ subjects this occurred
22 in 7 percent with compared to placebo an efficacy of
23 85 percent, competence intervals for 28 and 100. For
24 the inactivated licensed vaccine, laboratory
25 documented illness occurred in 13 percent, also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 compared to 45 percent in the placebo group with an
2 efficacy of 71 percent. The efficacy estimates for
3 the FluMist™ and the TIV were not statistically
4 different.

5 Next, I'd like to briefly review the lot
6 consistency trial. This is performed to -- to compare
7 the safety tolerability and immunogenicity of 2 doses
8 given 28 to 60 days part of 3 consistency lots of
9 FluMist™ performed in healthy children 12 to 36
10 months of age. There were approximately 100 subjects
11 per each study group. And lot consistency was to be
12 declared if they could rule out a greater than 4-fold
13 range in post-dose strain specific HAI geometric mean
14 titers across lots with 95 percent confidence.

15 This slide shows the ratio of GMTs were
16 all less than the pre-defined criteria of 4-fold, the
17 largest difference being noted for lot 2 to 3 of 2.12
18 with these confidence intervals. The manufacturing
19 bridging study AV014 was performed as a prospective
20 randomized 3 to 2 ratio of FluMist™ to placebo.
21 Double blind trials compared the safety and
22 tolerability of vaccine blend and filled at two
23 facilities, Medeva and Aviron-PA. The Medeva facility
24 was used for vaccine production and all of the -- for
25 all of the vaccines in clinical trials.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The two primary -- two co-primary
2 objectives are seroconversion rate in seronegative
3 subjects should differ by no more than 20 percent and
4 that 90 percent confidence intervals for the GMT
5 ratios were within 1/4 and 4. In this study, 2 doses
6 28 to 40 days apart were given to healthy children, 12
7 to 42 months of age and this study was performed in
8 Australia, to limit interference by circulated
9 influenza in the Northern Hemisphere.

10 Post-dose 2, the baseline percents for
11 conversion are shown on this slide. For H3N2 and Type
12 B there was zero percent difference and for the H1N1
13 there was 16 percent difference.

14 So in conclusion, for efficacy, efficacy
15 was demonstrated against culture confirmed influenza
16 after 1 or 2 doses in healthy children from 15 to 71
17 months of age in year 1 and again, after revaccination
18 in year 2. Influenza-like illnesses occurred in
19 children who shed CAIV vaccine strain virus post-
20 vaccination. In adults there was no significant
21 decrease in AFI during influenza outbreak periods.
22 And at this time we have no field efficacy data for
23 H1N1.

24 Next I'd like to review the safety
25 summary. Safety monitoring categories generally are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 shown in this slide included reactogenicity events,
2 REs, which were solicited post-vaccination events
3 generally monitored for 10 days in pediatric trials
4 and seven days in the adult trials. The list of these
5 REs were provided by Dr. Mendelman.

6 Other adverse events, abbreviated as other
7 AEs, were unsolicited AEs also captured in the post-
8 vaccination period. Serious adverse events were
9 consistently defined in the protocols with the Code of
10 Federal Regulations. Generally, these were captured
11 for 42 days post-vaccination in the studies where they
12 were monitored. Not all studies had active monitoring
13 for all categories of adverse events.

14 The studies that I will briefly review
15 include the safety data from the efficacy trial AV006
16 and also in pediatric trial of AV012 mentioned this
17 morning, the herd immunity trial performed primarily
18 in an HMO in Texas and then the Kaiser trial AV019
19 where they captured medically attended events and SAEs
20 in children 1 to 17 years of age.

21 Studies submitted in support of safety in
22 adults included effectiveness trial, AV009.
23 Additional studies which I will not discuss today
24 include Phase 1 and Phase 2 trials as well as the
25 safety in AV003. And then because of the chance of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inadvertent exposure for at-risk subjects, studies for
2 safety profiles in asthmatics, 9 to 17 year old, also
3 asthma subjects in AV012 and then an NIH trial which
4 evaluated the safety profiles of FluMist™ and HIV-
5 infected subjects will briefly be reviewed.

6 I mentioned earlier that the review is
7 ongoing. The sponsor has presented larger numbers for
8 total exposure than we have completed review in the
9 FDA data base. And our data base as of April 30th,
10 2001, there are 20,046 subjects who have had their
11 first time exposure to FluMist™. Please note that
12 this includes 511 subjects from 50 to 64.9 years of
13 age and 1254 were those subjects between 1 and 2 years
14 of age. First I will begin with the adult experience
15 with the representative trial being AV009, the healthy
16 adult effectiveness trial.

17 A total of 3,041 FluMist™ recipients and
18 1520 placebo recipients were in this trial. REs and
19 unsolicited adverse events were captured for seven
20 days with 98 percent of subjects returning a diary
21 card. SAEs were actively monitored with a phone call
22 at 28 days post-vaccination. In addition, the sponsor
23 collected any passive reported events after 28 days
24 because, as you recall, there was a 5-month illness
25 surveillance in the study. This shows selected RE

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 events by group, 3041 subjects with this number
2 returning the diary card.

3 Both the FluMist™ and the placebo groups
4 experienced at least one reactogenicity event, 70.9
5 percent in the FluMist™ group and about 62 percent in
6 the normal allantoic fluid recipients. Runny nose and
7 nasal congestion was the most frequent and recorded
8 the greater than 10 percent difference between the
9 groups. Sore throat was next, headache also occurred
10 frequently though it's not on the trial.

11 And please note that the rate of fever was
12 about 1 percent in both groups. Unsolicited or other
13 adverse events are shown on this slide. Again, both
14 the FluMist™ and placebo group were reactogenic
15 with any adverse event being reported in the 30
16 percent of the FluMist™ recipients and about 21.5
17 percent of the normal allantoic fluid recipients.
18 Respiratory events occurred in 18.1 percent of
19 FluMist™ and 7.5 percent of placebo. Allergic
20 reactions, which had been a concern, were infrequently
21 observed in either group.

22 And digestive events were also comparable
23 between the groups. The only statistically
24 significantly difference noted here was the occurrence
25 of any adverse event. In study AV009, there were 46

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 subjects with asthma who were inadvertently enrolled
2 with 23 of them being FluMist™. Though the numbers
3 are small, there was an increase in REs noted in the
4 FluMist™ and the placebo recipients who were
5 asthmatics. For any adverse event for the placebo
6 group, the rate was about 84 percent.

7 There were also 7 pregnancies noted in
8 this trial. Five of them were FluMist™ recipients.
9 There were 5 exposures in the first trimester which
10 all led to full term live births, though I have no
11 additional information for these pregnancies. There
12 were also two spontaneous abortions, one each in the
13 FluMist™ and the placebo group.

14 For the pediatrics safety monitoring I
15 present AV006 years 1, 2 and 3. The REs were captured
16 in this trial on diary cards for 10 days after each
17 vaccination. Other adverse events were also collected
18 for 10 days. SAEs were not actively monitored post-
19 vaccination, though there were illness calls -- there
20 were phone calls performed for illness surveillance.
21 SAEs were not specifically queried. Selected REs are
22 shown by group and by dose on this slide. As
23 described earlier both the FluMist™ and placebo
24 recipients experienced significant adverse -- any
25 reactogenicity event occurring 74 percent of FluMist™

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and 66 in placebo and 69 and 62 post-dose 2. Both of
2 these were statistically significant with a p-value of
3 less than .05.

4 Runny nose and nasal congestion was still
5 the most frequent followed by vomiting and also we
6 have myalgias and fever greater than 100.6 rectally.
7 The sponsor presented 100 today but that was orally.
8 This is the same fever categories. This was
9 statistically different after dose 1 but not after
10 dose 2.

11 This slide shows selected other adverse
12 events by group and by dose. And adverse event was
13 experienced by 18 percent of FluMist™ and 15 percent
14 of placebo and a comparable rate after dose 1 and dose
15 2 and there was no difference between the study
16 groups. Statistical difference were noted with
17 abdominal pain occurring more frequently in the
18 FluMist™ group after dose 1 and this was not seen
19 after dose 2. Rash described as macular papular rash
20 occurred more in the placebo recipients after dose 1
21 and it was infrequent after dose 2.

22 Again, allergic reactions was recorded and
23 occurred infrequently after all doses in both groups.
24 And respiratory events, which Dr. Mendelman listed
25 today including pneumonia, bronchitis, sinusitis,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 otitis, et cetera, were comparable between the groups
2 in this display. In year 2 the reactogenicity events
3 were shown to be similar in the subjects who had
4 received 1 or 2 doses in year 1 after their re-
5 vaccination in this year. Also there was no
6 statistically significant difference for REs between
7 the FluMist™ and normal allantoic fluid recipients.
8 Both groups experienced -- 58 percent of both groups
9 experienced at least one RE. And again, runny nose
10 and nasal congestion was the most frequent, occurring
11 in about 42 percent of both groups, followed by cough
12 occurring in about one-quarter of both groups.

13 Of note, one 6-year old with a history of
14 allergic reactions had hives and angioedema 30 minutes
15 post-receipt of normal allantoic fluid, the placebo
16 vaccine.

17 Safety monitoring was performed in the
18 third year for these subjects called AV015. The
19 subject who had completed years 1 and 2 were eligible
20 for year 3 participation which was open-labeled
21 administration of FluMist™. The subjects could have
22 also participated in AV001, which means they may have
23 previously received 1 to 4 doses of FluMist™. In
24 this year the prior FluMist™ recipients were given
25 one dose of vaccine and prior placebo recipients could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 receive 1 or 2 doses separated by 28 to 60 days.
2 There was day 42 phone calls to collect SAEs in this
3 trial.

4 The reactogenicity events between the
5 groups again, for runny nose and nasal congestion have
6 the largest difference and as you can see, this
7 occurred in 49 percent of prior placebo recipients
8 compared to 37 percent of prior FluMist™ recipients
9 after dose 1, which is essentially their first dose of
10 receiving FluMist™ vaccine. No other differences and
11 REs rates were greater than 10 percent. In looking at
12 REs across 3 years of FluMist™, in year 1
13 approximately 73 percent of subjects experienced any
14 RE. This decreased to approximately 56 to 58 percent
15 in year 2 and year 3. Thus, there was no increase in
16 REs observed with subsequent doses.

17 The sponsor also has continued to follow
18 these subjects for a fourth year and those data are to
19 be submitted to the BLA. During CBER's review of this
20 file, pneumonia cases were identified and, thus, a
21 search of all available data was performed. In year
22 1 looking at pneumonia within 21 days of vaccination,
23 there were 6 FluMist™ and 1 placebo recipient which
24 led to a relative risk of 2.98 with confidence
25 intervals of .36 and 24.72.

1 For all cases not temporally limited,
2 there were 8 FluMist™ and 2 placebo recipient for a
3 relative risk of 1.99, confidence intervals of .42 and
4 93. Please note that one subject at Houston, who was
5 diagnosed with pneumonia also had a culture positive
6 for CAIV strain. In year 2, there were 2 FluMist™
7 subjects with pneumonia and these cases occurred at 15
8 and 68 days post-vaccination.

9 In the next study for safety, I will
10 review the Kaiser trial briefly because it was
11 presented in detail this morning. I will again
12 emphasize that this is an ongoing review. In this
13 trial SAEs and MAEs were monitored in 9689 healthy
14 children from 1 to 17 years of age. The trial began
15 in October of 2000. FluMist™ versus placebo in a 2
16 to 1 ratio was the design. Two doses separated by 28
17 to 42 days was for children from 1 to less than 9
18 years of age and one dose was for children 9 to 17
19 years of age. The data base was searched for MAEs and
20 SAEs for 42 days after each dose.

21 And the data base was locked on December
22 31st for interim analysis for safety. At that time,
23 which is the data that we have available at the CBER,
24 approximately 89 percent of the 9 to 17-year olds had
25 completed their post-dose monitoring and 68 percent of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the 1 to 8 year olds had completed their monitoring.
2 The data was submitted to CBER on April 30th, 2001.
3 The interim analysis included multiple comparisons as
4 presented by Dr. Black. There were four clinical
5 events that were pre-specified. These included acute
6 respiratory events, systemic bacterial infections,
7 acute gastrointestinal events and rare potential --
8 rare events potentially related to influenza.

9 The utilization setting was hospital,
10 outpatient clinic, emergency department and combined
11 and there was also stratification for age with these
12 age groups of all 9 to 17, 1 to 8, 18 to less than 36
13 months and then 12 to 18 months. As mentioned, a
14 total of 1500 statistical comparisons were performed
15 without adjustments for multiple comparisons. Because
16 of the large number there are some relationships that
17 show differences that could have been due to chance.

18 From the interim analysis, 20 SAEs within
19 42 days were reported through April 15th of 2001.
20 Thirteen of these are included in the FluMist™ group
21 and 4 were within 14 days. This included hemolytic
22 uremic, HUS syndrome in a 12-month old, acute
23 gastroenteritis, AGE in a 14-month old, abdominal
24 gynecological pain in the 16-year old female and
25 appendicitis in a 15-year old male. All if these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 events occurred on day 11.

2 In the placebo group there were 7 SAEs, 3
3 of them within 14 days. There was one croup in a 17-
4 month old female that the sponsor coded as possibly
5 vaccine related. Trauma in a 17-month old and a
6 psychiatric disorder in a 12-year old and all of these
7 occurred on day 4 plus vaccination. For MAEs within
8 42 days as of December 31st, 2000, 50 events were
9 reported. These were not presented to you by study
10 group. There were 20 percent that were coded as well-
11 child or reassurance, 11 percent at URI and 7 percent
12 for otitis media, trauma and psychiatric disorders.
13 These codes are what's provided by the Kaiser provider
14 on the sheets at discharge.

15 For interim analysis of pneumonia in 1 to
16 17-year olds, less than 21 days post-vaccination,
17 interim there were 10 FluMist™ group and 6 in the
18 placebo group for relative risk of .83 with these
19 confidence intervals of .3 and 2.28. For all cases
20 identified the sponsor presented 14 FluMist™ and 10
21 placebo with a relative risk of 0.7 with confidence
22 intervals of 0.3 and 1.57.

23 As you recall in AV006, the subjects were
24 15 to 71 months of age. An analysis in this study by
25 age group is ongoing. The sponsor reviewed these this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 morning so I will briefly point out MAEs that they
2 have assessed as plausibly related biologically to
3 FluMist™ with an increase in the FluMist™ group
4 compared to placebo. Conjunctivitis that has been
5 described increased in 1 to 17-year olds, 1 to 8-year
6 olds and 18 to 36-months old and seem to have a
7 temporal relationship.

8 URIs were also increased from 1 to 17-year
9 olds. Abdominal pain, musculoskeletal pain was also
10 increased in 1 to 8-year olds and the 18 to 36-month
11 old. Asthma in 18 to 36 months was 7.75 events
12 compared to zero events per 1,000 person months in the
13 placebo group and the otitis media with effusion in 1
14 to 8-year olds in the clinical setting post-dose 2 as
15 described by Dr. Black this morning or earlier this
16 afternoon.

17 They have also -- it seems like this
18 morning, I agree. A long day. In the Texas community
19 study, AV012 trial, this is a 1-dose of FluMist™
20 given to children 18 months to 18 years primarily
21 performed in Scott and White HMO in Temple/Belton,
22 Texas to assess effectiveness against medically
23 attended acute respiratory infection. For this BLA,
24 SAEs within 42 days were reported. Reporting methods
25 included postcard reporting with reminder calls and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 also there were data base searches performed for 79
2 percent of the subjects who had their primary health
3 care at Scott and White.

4 There is also a collection of passive
5 reports from the parents of the subjects of any
6 concerning adverse events to them. There were 531 of
7 4298 subjects identified to have asthma, reactive
8 airway disease or wheezing which was not an exclusion
9 in this community trial. The SAEs and captured other
10 AEs are shown on this slide. There were 8 SAEs with
11 6 of them occurring more than 21 days post-
12 vaccination. There were 149 out of the 40,063
13 subjects who had 42 data available that reported onset
14 of at least one new illness.

15 Eighty-seven of these events and 78
16 subjects were judged by study personnel to be
17 clinically significant. After being considered
18 clinically significant they were recorded on a case
19 report form and entered into the data base. On FDA
20 review of these 9 listings 65 were respiratory events
21 and 10 diagnosis of pneumonia and/or bronchitis were
22 identified. This also is an ongoing analysis and the
23 full data set has not yet been presented to CBER,
24 including the analysis on asthmatic subjects.

25 For the subjects that had completed the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 trial, I believe it was 409 asthma subjects, there was
2 not an increase in medically attended respiratory
3 events. These are preliminary data. As I mentioned,
4 because of the risk of inadvertent exposure in high
5 risk subjects, some studies have been done, including
6 AV010, which was the asthmatic trial. Forty-eight
7 subjects with 24 FluMist™ and 24 placebo recipients,
8 9 to 17-years of age with moderate to severe asthma
9 were given one dose of study vaccine. The subjects
10 were monitored for safety, tolerability and asthma
11 stability for 35 days, including 7 days pre-vaccine to
12 establish their baseline and 28 days post-vaccination.

13 This shows the adverse event profiles of
14 the subjects and, again, please note the both the
15 FluMist™ and normal allantoic fluid were
16 reactogenic with 91 percent of both groups
17 experiencing at least one RE. Runny nose was the most
18 common with 75 percent and 56 percent of the groups.
19 Cough occurred in about 40 to 45 percent. Fever was
20 more frequent in the placebo recipients. Two subjects
21 or 8.3 percent experienced an asthma exacerbation
22 meaning a required increase in medication or
23 therapeutic intervention but none of these required
24 hospitalization and there are no SAEs reported in this
25 trial.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Briefly, I will review the HIV trial.
2 There were 57 HIV infected subjects and 54 HIV
3 negative subjects who received FluMist™ or placebo
4 vaccine in a 1 to 1 ratio. There was one HIV subject
5 who shed cold-adapted influenza virus, Type 9 on day
6 5 post-vaccination but was culture negative on day 7.
7 AEs were comparable in the two groups, occurring in 12
8 to 16 percent of HIV positive and HIV negative
9 subjects.

10 CV4 counts decreased 8 percent in the HIV
11 positive FluMist™ recipients transiently at day 28
12 and had increased by day 90. There was no increase in
13 viral load post-vaccination and these subjects were
14 followed for 6 months.

15 A Veterans Administration Study was
16 performed and the study synopsis is provided in the
17 BLA. In this study, they evaluated 22015 adults more
18 than 50 years of age with chronic obstructive
19 pulmonary disease. The subjects received 1 dose of
20 FluMist™ or placebo in a 1 to 1 ratio given
21 concurrently with TIV. Only SAEs were reported in
22 March of 2001 which included 63 deaths in this trial,
23 34 in FluMist™ and 29 in placebo recipients.
24 Approximately 8 deaths, four in each group, occurred
25 within 28 days of vaccination. As I mentioned

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 earlier, an increase in pneumonia was noted in AV006
2 and so we searched all available data at CBER for
3 pneumonia cases. These are CBER generated data and
4 include review of inspection reports, data base, line
5 listings and any SAE reports from the sponsor.

6 I have not totalled this column on purpose
7 because there are varying follow-ups and varying
8 dosing regiments and varying capturing for AEs. To
9 date we have identified 37 pediatric cases after
10 FluMist™ of pneumonia. Actually, we have 2
11 additional ones since I prepared this slide and also
12 12 cases of placebo -- 12 cases of pneumonia
13 identified in placebo. The data that's most useful
14 are in studies AV006 and AV019 where there are
15 denominators. However, AV019 is an interim analysis
16 and the final data set has not yet been analyzed.

17 In AV006, as I presented earlier, for
18 pneumonia less than 21 days the relative risk was 2.98
19 but there was not an increase in relative risk noticed
20 in study AV019 in 1 to 17-year olds. So in CBER
21 review of pneumonia, the things that we would like you
22 to note is that there was one death that occurred in
23 pneumonia as described by Dr. Mendelman. Symptoms
24 began 23 days after the second dose of FluMist™ in an
25 18-month old boy in a live sponsored trial in South

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Africa.

2 There was also one pneumonia case
3 identified by CBER inspectors which occurred 15 days
4 post-dose 3 in a four and a half year old in year 2 of
5 AV006. The parents reported this to the study site a
6 year after it occurred and there was one case of
7 pneumonia that was associated with culture positive
8 CAIV. For deaths, there was 65 deaths reported,
9 actually it was 66 because I have left off the one
10 child who died of a brain tumor as described earlier.
11 The one pneumonia case -- there was one adult who died
12 from accidental drowning associated with alcohol
13 intoxication and there were 63 deaths in the VA study
14 which I cannot describe in more detail.

15 So our conclusions is our review is
16 ongoing. Our review of respiratory events, including
17 pneumonia and search for a diagnosis of bronchitis and
18 bronchiolitis is not complete. FluMist™ and the
19 normal allantoic fluid placebo are reactogenic.
20 Most of the safety data in this BLA have been
21 generated in trials of healthy subject. There have
22 been a few high risk subjects evaluated and there is
23 a suggestion of increased REs and asthmatics. There
24 was no increase in REs noted with annual dosing of
25 children and also there were a few subjects evaluated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 at either end of the age spectrum.

2 Additional concerns are concurrent
3 immunization. At this time, there are no data for
4 safety or efficacy with concomitant immunization,
5 including traveler's vaccine available in any age
6 group. For transmissibility, the Finnish trial has
7 been presented but the full data set has not yet been
8 reviewed but there was note of one subject who was a
9 placebo recipient that shed vaccine virus.

10 And also we have no data for the annual
11 revaccination of adults. Thank you.

12 CHAIRMAN DAUM: Thank you very much, Dr.
13 Mink.

14 DR. MINK: And since it's late, no
15 questions.

16 CHAIRMAN DAUM: And since it's late -- no,
17 wait a minute. I'd like to open the floor now to
18 committee for questions and clarification of Dr.
19 Mink's presentation. Dr. Eickhoff, then Dr. Edwards.

20 DR. EICKHOFF: Dr. Mink, you described
21 very nicely the curious events in Houston with
22 positive cultures for CAIV in those first 10 days with
23 a curious association with illness, both fever and
24 CDC-ILI. But you refrain from speculating as to what
25 might be going on here. Would you speculate at this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com