

1 time?

2 DR. MINK: I'm not allowed to speculate.  
3 Actually, we can ask the sponsor if they'd like to  
4 comment if there was a difference in Houston compared  
5 to the other sites.

6 CHAIRMAN DAUM: Could we get this mike on,  
7 please?

8 DR. BELSHE: I just wanted to comment,  
9 actually, as well, on slide 18, but in that particular  
10 analysis, only ill subjects were cultured, whether  
11 they were in the vaccine or placebo group and then we  
12 divided the ill vaccinated subjects to whether they  
13 had positive cultures or negative cultures and  
14 concluded that the vaccine virus shedding was  
15 associated with illness but that's a self-fulfilling  
16 prophecy, because only ill subjects were cultured.

17 And I think that a better way to -- if you  
18 shed more virus, are you more likely to have illness  
19 associated with FluMist™? We've addressed this  
20 formally in the NIE studies using monovalent vaccine  
21 in which all subjects were cultured on a daily basis  
22 and the virus shedding pattern described and in fact,  
23 in one study, I believe Ed Anderson's study of H3N2  
24 vaccine we can show a decrease in symptoms associated  
25 with a virus setting suggesting that there were inner

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 current things actually suppressing replication of the  
2 vaccine virus. And so I would suggest what this  
3 analysis really needs is to look at the positive  
4 culture rate in a similar cohort because this is only  
5 20 percent of the children shedding and I would expect  
6 on any given day that 50 percent would be shedding  
7 virus in the vaccine group and you're actually showing  
8 a decrease in shedding here.

9 DR. MINK: Actually, I think that's  
10 probably a different analysis. What this was looking  
11 at was the subjects who were cultured who were ill in  
12 the first 14 days, which was not specified in the  
13 protocol. And in these groups what we looked at with  
14 the assistance of the sponsor was of those subjects  
15 who were ill with cold-adapted virus versus subjects  
16 who were ill that were culture negative potentially  
17 due to other viruses, we saw a difference in the  
18 illness profile. These numbers are small and they  
19 haven't been statistically analyzed to see if the  
20 differences are significant.

21 CHAIRMAN DAUM: Thank you very much. Dr.  
22 Eickhoff.

23 DR. MINK: I don't think I answered Dr.  
24 Eickhoff's question about what was unique.

25 CHAIRMAN DAUM: Does anyone want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 attempt to answer that question?

2 DR. MENDELMAN: Paul Mendelman. I think  
3 the -- if we could put a slide up the --

4 DR. MINK: My side?

5 DR. MENDELMAN: One that we have on the  
6 computer here.

7 DR. MINK: Okay.

8 DR. MENDELMAN: The data Dr. Mink is  
9 quoting is correct and we did this analysis at CBER's  
10 request. It's important to note that there may be  
11 case ascertainment bias and it's a non-randomized  
12 comparison because you're looking at children who are  
13 all FluMist™ recipients and a subset who are sick,  
14 cultured and you're looking at the positive versus the  
15 negative. In the randomized comparison shown on this  
16 slide, would be all the 116 plus children in the 2 to  
17 1 randomization, so it's the randomized comparison.

18 Whatever brought them into the clinic at  
19 the investigator sites agreed most of the cultures  
20 were done at Houston but there were other  
21 representative sites in this analysis, so looking at  
22 the 77 FluMist™ recipients on this slide compared to  
23 the 38 placebo recipients and if we look down the case  
24 definitions that CBER asked us to do or looking at the  
25 reactogenicity that were collected, you can see that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the differences here noted on the culture positive  
2 versus culture negative FluMist™ recipients really  
3 are significantly reduced in this analysis which was  
4 also presented to the agency. So I think that's one  
5 way the committee to clarify what was going on in the  
6 14 days after vaccination at these sites.

7 DR. MINK: Again, I think that this is a  
8 little bit different because these are 78 FluMist™  
9 and as I stated at least 66 of those were after day 11  
10 and there's probably a temporal difference and that  
11 the first 14 days post was when there were more  
12 subjects that were culture positive for cold-adapted  
13 influenza. But I will give you that the analysis is  
14 still ongoing.

15 CHAIRMAN DAUM: Dr. Eickhoff, are you  
16 comfortable with the answer here? I don't know if --

17 DR. EICKHOFF: No, but there may not be an  
18 immediate answer.

19 CHAIRMAN DAUM: Okay, thank you. Let's go  
20 on to Dr. Edwards.

21 DR. EDWARDS: I think one of the issues  
22 surrounding the pneumonia question is the very well-  
23 known fact that when you're hurrying to get people  
24 immunized for the influenza season that you also have  
25 a contending problem of RSV that is co-circulating at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that same time. So is there any data from the sponsor  
2 that looks at these children that had respiratory  
3 illnesses, had pneumonia for the presence of RSV?

4 DR. MINK: I can tell you that the AV006  
5 began from August and went through November, so most  
6 of that enrollment and follow-up was before RSV season  
7 although subjects toward the end were entering into  
8 RSV season just, you know, based on usual circulation  
9 of RSV. However, I'm sure the sponsor has this data  
10 about what other viruses were cultured but that's not  
11 yet been submitted either. Do you guys want to  
12 comment?

13 DR. MENDELMAN: Paul Mendelman. The  
14 investigator sites routine cultured on RMK cells and  
15 that would have been grown in order to optimize the  
16 growth of influenza. Certain cell lines that are more  
17 permissive to RSV were not inoculated and there wasn't  
18 a question of this study what other viruses,  
19 respiratory viruses might be present. It was really  
20 trying to answer the question about influenza efficacy  
21 and trying to limit the amount of reagents that the  
22 investigators were needing to do and the number of  
23 cultures they needed to do.

24 DR. MINK: So like we just have  
25 seasonality, I guess.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: Dr. Katz and Ms. Fisher.

2 DR. KATZ: Dr. Mink, you cited one study  
3 of HIV infected adults.

4 DR. MINK: Right.

5 DR. KATZ: And I really had three  
6 questions really. One, how were they selected as far  
7 as where they were in their illness, two, did anyone  
8 study their antibody response and three, you said that  
9 they had no increase in virus load and I wonder when  
10 that was assayed.

11 DR. MINK: Okay, it is actually a very  
12 complex thoughtful study design in that the enrollment  
13 was staged at initially subjects who had CD4 counts  
14 greater than 500 who had been clinically well and  
15 either not on anti-retroviral therapy or on a stable  
16 regiment for at least six weeks were enrolled and the  
17 next phase the CD4 count was lowered to 200. I'm  
18 sorry, I don't remember that ends in each of those  
19 phases.

20 The viral load was followed actually, I  
21 think -- I can't remember and hopefully the sponsor  
22 can help me out, at zero and an interim period of  
23 either 7 or 12 days, at 28 days, at 3 months, at 6  
24 months. Even though it's a small study, it was  
25 comprehensive.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. KATZ: And did they demonstrate any  
2 rise in antibody?

3 DR. MINK: Serology was performed on these  
4 subjects. I don't remember if it's in their briefing  
5 documents. If it's not, if the sponsor can't provide  
6 it to you, I can provide it to you. And actually  
7 there wasn't a noticeable difference between the HIV  
8 positive and the HIV negative subjects for the immune  
9 responses for the different sero-types.

10 DR. KATZ: Thank you.

11 CHAIRMAN DAUM: Ms. Fisher, then Dr.  
12 Edwards.

13 MS. FISHER: Thank you for a very  
14 excellent presentation. You emphasized several times  
15 that the FDA's review is ongoing, particularly for  
16 evaluation of pneumonia and bronchitis after  
17 vaccination, the increased reactions in asthmatics.  
18 Does the FDA staff feel comfortable about the amount  
19 of data they have so far in terms of our voting on  
20 this issue tomorrow, or do you believe we need more  
21 information?

22 CHAIRMAN DAUM: Can I interrupt as a  
23 matter of order here? It seems to me that FDA is  
24 asking our advice on that very question. So to ask  
25 them to advise us to advise them doesn't compute.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. FISHER: No, I think it's very  
2 important when you have a presentation by industry and  
3 presentation by FDA staff and when you have several  
4 times being stated that the FDA review is ongoing,  
5 that we need to know whether or not there's enough  
6 data here in their opinion, in their expert opinion  
7 for us to be voting tomorrow.

8 DR. MINK: I can give you an easy answer.  
9 We are required to discuss and BLAs with the advisory  
10 committee and there on a 10-month clock. So we come  
11 to you in July because of our 10-month clock and we  
12 are mandated to discuss products with you before a  
13 complete response is provided to the sponsor.

14 MS. FISHER: Perhaps I can clarify.

15 CHAIRMAN DAUM: Dr. Geber, do you wish to  
16 comment on this question?

17 DR. GEBER: Yes. I think that we have  
18 received -- we received the BLA in October of last  
19 year and we have by and large completed our review of  
20 those data that were received at that time. The  
21 sponsor has requested that we present that information  
22 to you today and we're doing so. We have subsequently  
23 received additional information from the sponsor.  
24 Some of those data include the Kaiser Permanente study  
25 which Dr. Mink eluded to were submitted to us at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 end of April in an unblinded fashion and so the review  
2 of those data from our perspective are ongoing.

3 And the sponsor has committed to  
4 submitting a complete data set from that study. In  
5 discussing the respiratory events that Dr. Mink has  
6 discussed, what we say about our review being ongoing  
7 that in the study reports that we've received, we did  
8 not have summaries of pneumonias, of bronchitis, of  
9 bronchiolitis. So in order for us to analyze this  
10 data, we've had to go back and search the data bases.

11 And it's a multitude of factors that lead  
12 us to the statement that our review is ongoing. I  
13 think that's where we're at.

14 MS. FISHER: Thank you.

15 CHAIRMAN DAUM: Thank you very much. Dr.  
16 Edwards is next.

17 DR EDWARDS: Could you comment a little  
18 bit on the cultures that were taken within the first  
19 14 days, those were performed at the laboratories of  
20 the investigators and then were the investigators told  
21 what was isolated which would subsequently unblind  
22 them or did they all go to a central place or how was  
23 that managed?

24 DR. MINK: That's correct, they were  
25 performed at the site and then sent off to Aviron labs

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for confirmation.

2 CHAIRMAN DAUM: Dr. Stephens.

3 DR. STEPHENS: This is a specific question  
4 regarding AV014, which is the bridging study. And you  
5 have a statement in your handout that says that the  
6 study did not achieve the goal of demonstrating  
7 equivalence between the two manufacturing facilities.  
8 Can you comment on that statement?

9 DR. MINK: You should have received an  
10 amended handout. Those criteria of 20 percent were  
11 accepted by CBER.

12 DR. GEBER: There was some confusion as to  
13 whether we had accepted the 20 percent point estimate  
14 or whether we accepted the confidence interval but the  
15 sponsor has pointed out to us and correctly so that  
16 what they had proposed was a point estimate and that  
17 apparently is what we accepted.

18 CHAIRMAN DAUM: Dr. Snider?

19 DR. SNIDER: A couple of questions that  
20 perhaps can't be satisfactorily answered but I'll ask  
21 them anyway. One has to do with the fact that this  
22 vaccine, I guess not in trivalent form but in  
23 monovalent and/or bivalent form was administered at  
24 one time as drops as opposed to the nasal spray. And  
25 I was wondering if there had been any observations at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that time of lower respiratory infections and -- in  
2 the same 21-day interval after administration.

3 And the other is whether in earlier  
4 studies of the vaccine, whether there had been a  
5 different control, normal saline or some non-protein  
6 containing substance that was administered at the same  
7 time that would give us a better handle on -- because  
8 as you point out, both the placebo and the vaccine are  
9 reactogenic. I was just wondering what baseline  
10 data there may be for getting some sense of what is  
11 caused by the vaccine with all of its constituents  
12 versus having nothing or having normal saline sprayed  
13 into your nose.

14 DR. MINK: Would you like to answer  
15 Aviron?

16 DR. MENDELMAN: Paul Mendelman. Our  
17 review of all the literature shows that all the prior  
18 studies conducted by the NIH were with egg allantoic  
19 fluid that we could review. Obviously, the importance  
20 of having a placebo controlled that visually and  
21 indistinguishable and taste, smell and every other way  
22 is important to limit a lot of bias that can go into  
23 a randomized placebo controlled trial.

24 So we've also used allantoic fluid for  
25 that reason. I'm sorry, Dr. Snider, what was your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 first question? Oh, I remember. AV001, the very  
2 first trial conducted by Aviron with the NIH in adults  
3 looked at FluMist™ delivered by drops versus FluMist™  
4 delivered by spray and there was no difference in the  
5 safety profile in that study or in the immune  
6 responses. The second trial conducted, AV002, with  
7 the NIH looked at drops versus spray in children, and  
8 again, no difference in the immune response and the  
9 safety profile.

10 And then subsequent, starting with study  
11 AV003, and on all sprayers were used.

12 CHAIRMAN DAUM: Thank you for clarifying.  
13 Dr. Griffin and Dr. Schild.

14 DR. GRIFFIN: I'm not sure who this is a  
15 question for, maybe it's for Brian Murphy or maybe  
16 it's for somebody from Aviron but I was just wondering  
17 if it was -- how much we know about the neurovirulence  
18 of these viruses. Influenza in general is not a  
19 neurovirulent virus but we are giving it intranasally  
20 and I would just be interested in knowing what kind of  
21 information we have on that question.

22 I notice that meningitis and encephalitis,  
23 that sort of thing were not monitored events but I  
24 assume that they would clearly have been picked, you  
25 know, as adverse events in the Kaiser study, et

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cetera.

2 DR. MINK: They were monitored, like in  
3 the Kaiser trial, the CNS was one of the long laundry  
4 list of --

5 DR. GRIFFIN: I just looked through that  
6 laundry list and I didn't find it, but maybe CNS I  
7 looked for, yeah.

8 DR. COELINGH: I don't have much to add.  
9 I would agree with you that influenza is not either  
10 neurovirulent or neurotropic, the wild-type influenza  
11 even and for that reason we have not performed  
12 neurovirulent studies.

13 DR. GRIFFIN: I mean, there are  
14 neurovirulent strains for animals that are well  
15 studied. I just -- I don't have a good idea of how  
16 they fit into these categories.

17 DR. COELINGH: Those strains have -- we've  
18 had to passage those in animal strains multiple times  
19 in order to pick out variants that become  
20 neurovirulent so as far as I'm aware and anyone can  
21 correct me, I'm not aware of any naturally occurring  
22 neurovirulent human strains.

23 CHAIRMAN DAUM: Dr. Schild is next and Dr.  
24 Kohl, Edward and Eickhoff.

25 DR. SCHILD: The clinical effectiveness of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this vaccine is highly dose dependent it seems and I  
2 just wonder, it's well known, of course that  
3 sensitivity of egg assays or cell culture assays vary  
4 from time to time and between laboratories. I just  
5 wonder what is being done to validate and standardize  
6 those assays for infectivity. Is there actually a  
7 reference preparation that you use in every assay?

8 DR. GREENBERG: As was discussed earlier  
9 this morning, there is a very well validated potency  
10 assay that has high levels of reproducibility.

11 DR. SCHILD: But does that include the use  
12 of the same material in every assay so that you could  
13 look at changes in sensitivity? Thank you.

14 CHAIRMAN DAUM: The answer was yes. Let  
15 the record show that Dr. Greenberg nodded his head.  
16 Dr. Kohl.

17 DR. KOHL: FDA, since we've heard data  
18 that there is a limited by finite risk of transmission  
19 of this virus, as shown in both the Peter Wright  
20 studies and the daycare center studies, I'm a little  
21 concerned about transmission of the virus to high risk  
22 hosts who are contacts living in families, HIV  
23 infected people, kids with immuno deficiencies, et  
24 cetera. Other than the HIV data that we've heard  
25 which are fairly healthy HIV folks, are there any

1 other data on high risk hosts or are there any  
2 forthcoming?

3 DR. MINK: For shedding in high risk  
4 subjects?

5 DR. KOHL: No, for serious illness in high  
6 risk subjects or unusual adverse events in high risk  
7 subjects.

8 DR. MINK: I think Aviron wants to answer  
9 this, too. There was also an HIV pediatric trial that  
10 has been performed. I don't know in what stage that  
11 is and there's still some asthma subjects to be  
12 evaluated. What else do you have?

13 DR. MENDELMAN: Paul Mendelman. You're  
14 correct. The pediatric HIV trial also, these are  
15 children infected with HIV, not AIDS subjects and the  
16 control, similar to the adult trial, were non-infected  
17 children with HIV. And those data will be available  
18 for CBER and if there's a particular question, the  
19 lead investigator, Dr. Jim King, is in the room that  
20 can address the question about either the adult HIV or  
21 the pediatric HIV trial, if there's a specific  
22 question that Dr. Daum would like addressed to Dr.  
23 King.

24 CHAIRMAN DAUM: Thank you, Dr. Mendelman.  
25 Dr. Edwards, please.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. EDWARDS: I just did want to add that  
2 there have been increasing reports from Japan about  
3 encephalitis associated with wild-type influenza  
4 strains. So I think that that is a very reasonable  
5 question that Dr. Griffin raised.

6 DR. MINK: In the data base there was one  
7 diagnosis of aseptic meningitis in AV012, the Texas  
8 community trial. In the Kaiser study, I believe it  
9 was on the list of queries and there wasn't an  
10 increase in CNS events to the data base so far. If  
11 you want to add more, go for it.

12 DR. BELSHE: We did, as part of  
13 potentially influenza related rare events look at  
14 encephalitis and aseptic meningitis and did not  
15 identify any case of either in the interim analysis or  
16 in the final data set.

17 CHAIRMAN DAUM: Thank you. We're going to  
18 go on for about five more minutes here. We'll have  
19 time tomorrow morning to return to things that people  
20 would like to reraise and we'll have both FDA and the  
21 sponsor here to provide additional clarification. So  
22 I have Dr. Eickhoff, Steinhoff, Daum and Cox. Dr.  
23 Eickhoff, please.

24 DR. EICKHOFF: My query has been answered.

25 CHAIRMAN DAUM: Dr. Steinhoff.



1 DR. STEINHOFF: One of the things we've  
2 been hearing about and one of the questions we're  
3 supposed to answer is the issue of reassortants and  
4 reversion in these viruses. And listening today we've  
5 seen lots of information about examining phenotype and  
6 genotype of the seed viruses and of the reassortants  
7 that have been tested. I may have missed something  
8 but I don't think I've seen any data on more than  
9 there was some information about phenotyping of the  
10 viruses that come out of the vaccinated kids. We've  
11 seen a fair amount of information. These kids do shed  
12 virus. They shed it up to 7 to 8 days, may or may not  
13 be associated with symptoms.

14 We are usually told that it's  
15 phenotypically stable. But it would seem to me that  
16 if the data is available, we should get that  
17 information on the characterization of the viruses  
18 from vaccinated kids. We've seen some. I'm not  
19 saying I haven't seen any. We've seen some data but  
20 here's what I'm curious about. If you take 100 kids  
21 or 1,000 kids and give them this virus and if half of  
22 them shed, what do we know about the viruses that  
23 they're shedding? I would guess there must be some  
24 finite rate of change. It may be the same as the  
25 master strain, but it would seem to me this would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 important data to have, to be able to characterize the  
2 variability of the vaccine strains.

3 CHAIRMAN DAUM: It's a thoughtful comment,  
4 Mark, and it goes very nicely with what we're going to  
5 be discussing tomorrow morning. We'll see if Dr. Mink  
6 wants to comment on it from the point of view of the  
7 FDA's perspective this afternoon.

8 DR. MINK: Good idea.

9 CHAIRMAN DAUM: Thank you very much.

10 DR. STEINHOFF: The point is though, there  
11 may not be data right now, so that we need to think  
12 about what kind of data do we want or are we  
13 interested in.

14 CHAIRMAN DAUM: And I think I would like  
15 you to reraise this tomorrow at the right moment. I  
16 think it's an important point. Dr. Greenberg.

17 DR. GREENBERG: I think the sponsor will  
18 be able to help in that discussion tomorrow morning.

19 CHAIRMAN DAUM: We will be grateful for  
20 that help. Dr. Mink, I'd like to ask you a question  
21 that shows how little I know about influenza but you  
22 indicate that there were several kinds of adverse  
23 events that were biologically plausible and mentioned  
24 abdominal pain among those. I need a little bit of  
25 help with that. How does influenza cause abdominal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 pain? What kind of abdominal pain are we talking  
2 about?

3 DR. MINK: Actually, the biological  
4 plausibility was assigned by the sponsor for those  
5 categories. You could postulate and this is  
6 postulation, that the virus that's sprayed in the nose  
7 could be swallowed and potentially you could have some  
8 sort of response that way but natural influenza, a lot  
9 of times the kids will have abdominal discomfort or GI  
10 discomfort associated with the illness.

11 CHAIRMAN DAUM: Dr. Greenberg, do you want  
12 to speak to this very issue?

13 DR. GREENBERG: I think the biologic  
14 plausibility was based on the well documented  
15 association of wild-type influenza with abdominal  
16 pain. So it was sort of biologic epidemiologic  
17 plausibility as opposed to strict pathophysiologic  
18 plausibility.

19 CHAIRMAN DAUM: Thank you very much. Dr.  
20 Cox.

21 DR. COX: Yeah, I think this is actually  
22 more of a comment than it is a question. I don't  
23 quite know how to formulate the question. But I keep  
24 going back to the pneumonias that might possibly be  
25 associated with FluMist™ administration and back to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the particle size and knowing that the small particles  
2 could possibly be deposited in the lungs, and  
3 obviously, we know that the live attenuated vaccine  
4 should not replicate in the lungs so therefore, you  
5 should not -- because it's temperature sensitive, so  
6 you should not have disease caused but I'm just  
7 interested in what comments the sponsor might have  
8 with regard to these comments.

9 CHAIRMAN DAUM: All right, it's late in  
10 the day. Let's hear your comments, sir.

11 DR. MENDELMAN: Paul Mendelman. The one  
12 case that Dr. Mink has talked about is when cold-  
13 adapted vaccine was isolated from a child on day 3  
14 after vaccination, a Type A virus vaccine and on day  
15 8 was a Type B virus. On day 3 the child was seen when  
16 a culture was taken and by chest x-ray, and by the  
17 documentation and the records that are under review,  
18 the investigator noted that the chest x-ray showed a  
19 consolidated bacterial process pneumonia.

20 And I think that my estimation on that  
21 one, Dr. Cox, is that the child was dosed with  
22 FluMist™, so likely the child is going to be culture  
23 positive for FluMist™ if you culture the child and  
24 happen to have another co-infection that was brewing  
25 prior to the vaccination, I think that from a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 pediatric infectious disease is to rapid to have a  
2 consolidated pneumonia. If Dr. Daum, I could have a  
3 slide put up to maybe clarify the pneumonia question  
4 for Dr. Cox and for Dr. Snider.

5 The comparison in an open label trial, Dr.  
6 Glezen's trial, a community protection trial in  
7 Temple, Texas and this was brought up by Dr. Mink,  
8 these are data that have not been submitted to the  
9 agency but in part have been presented at national  
10 meetings. And this is year 1 and year 2 of the trial  
11 for the children and in this analysis looking at  
12 medically attended acute respiratory illness, the  
13 child is his or her own control. So this is looking  
14 at 14 days after being vaccinated, compared to the  
15 reference period, which is in the data base states  
16 prior to enrollment in the study, and day 15 and  
17 beyond, so it's a rolling type of analysis that many  
18 of you are aware of, then I guess I would just show  
19 you the last line, LRI on the slide in year 1 and year  
20 2 and the relative risk column on the second to last  
21 column, actually there's a reduction. The relative  
22 risk is .5 and .6.

23 Comparing the child to himself, albeit the  
24 bias is in a non-placebo controlled trial, but given  
25 that we're probing placebo controlled trials for is it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 egg allantoic fluid reactogenic, here is the kind of  
2 trial one could do without a placebo control and there  
3 does not appear to be pneumonia or bronchitis any  
4 other lower respiratory events excluding asthma events  
5 and albeit the agency hasn't seen these for their own  
6 analysis.

7 CHAIRMAN DAUM: Thank you very much, Dr.  
8 Mendelman. Dr. Greenberg, the last word.

9 DR. GREENBERG: I just wanted to clarify  
10 very briefly the issue of lung deposition of  
11 infectious agents and particle size. I think, Dr.  
12 Daum, you probably remember the publications better  
13 than I do but I had forgotten but actually Dr. Gordon  
14 Douglas is in the audience reminded me that the  
15 association of increased infectivity and infection  
16 dose<sub>50</sub> with particle size is really carried out with  
17 a specific nebulizer that made 1 micron particles and  
18 that's what the data is.

19 DR. MINK: Actually, can I have one last  
20 comment?

21 CHAIRMAN DAUM: Yes.

22 DR. MINK: Remember that you get pneumonia  
23 after influenza with it only ever getting in your  
24 nasopharynx.

25 CHAIRMAN DAUM: Okay, now we have Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Geber stepping up. This will be the last.

2 DR. GEBER: You know, I think the FDA has  
3 presented data on pneumonia that we have not -- we've  
4 received case report forms within the last week, so we  
5 haven't reviewed them all. We have some suggestion of  
6 a point estimate relative risk being increased in the  
7 first year of 006 but the confidence interval overlaps  
8 1 and so we are just presenting the data as we know  
9 them now and we have not finished our review of 019,  
10 but you have heard from the sponsor that no increase  
11 was seen in their review of those data and I think  
12 that that's where we're at and that's the only point  
13 we're trying to make.

14 CHAIRMAN DAUM: Thank you, Dr. Geber.  
15 Committee members, we are not at all sure that this  
16 room will be locked overnight, so we ask you to take  
17 your materials with you. We will reassemble promptly  
18 tomorrow morning at 8:30.

19 (Whereupon, at 5:41 p.m. the above-  
20 entitled matter recessed to reconvene at 8:30 a.m. on  
21 July 27, 2001.)  
22  
23  
24  
25

CERTIFICATE

This is to certify that the foregoing transcript  
in the matter of: VACCINES AND RELATED BIOLOGICAL  
PRODUCTS ADVISORY COMMITTEE

Before: CENTER FOR BIOLOGICS AND RESEARCH

Date: THURSDAY, JULY 26, 2001

Place: GAITHERSBURG, MARYLAND

represents the full and complete proceedings of the  
aforementioned matter, as reported and reduced to  
typewriting.

Rebecca Davis