

1 we get local inflammatory reactions and, hopefully,
2 local immune reactions as well as systemic immune
3 reactions. And so we would expect some local
4 reactions as well as some systemic reactions. And so
5 I think, you know, the eye symptoms, perhaps some
6 muscle aches as well as nasal congestion are to be
7 expected.

8 The conjunctivitis is an interesting
9 issue, particularly as it relates to the allantoic
10 fluid versus the vaccine, which is not -- I haven't
11 sorted out completely in my mind and would like to
12 have sorted out.

13 Other people have mentioned the rare
14 events, and the way we're going to find out about that
15 is if we do have larger sample sizes and that becomes
16 a tough issue with regard to whether you require more
17 trials, pre-licensure or post-licensure monitoring.
18 It also impacts on what kinds of recommendations you
19 make down the line and how rapidly you want uptake.
20 And these are some issues that are going to be
21 discussed by other groups, I know, but I'm already
22 beginning to worry about them.

23 And then there are issues around the
24 genetics that have been raised that I won't go into
25 again, but they do concern me. There is the issue of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 transmission, of course. That could be good, that
2 could be bad of transmission of the vaccine virus.
3 But, of course, if there's reassortment, particularly
4 if there's reversion to a virulent strains that
5 obviously is concern from the safety of a population
6 standpoint, as others have already mentioned.

7 So, I guess -- you're going to pin me
8 down, so I'll go ahead and say it's a provisional no
9 we don't have it in hand right now, but I would
10 anticipate that FDA would be able to get these data,
11 that the manufacturer will work to get these data.
12 And I would hope that it would be possible to get
13 enough information to utilize this vaccine in the near
14 future, because I think it does fill a nitch and would
15 be helpful for preventing what is a very significant
16 disease.

17 CHAIRMAN DAUM: Thank you very much,
18 Dixie, and particularly anticipating that I would pin
19 you down. I'm very grateful to not have to do that.

20 I guess I'll finish the discussion by
21 saying that I think Dr. Myers may have been the first
22 one to speak my mind, and that is that there's a great
23 deal of data that bear on safety that are in the
24 process of analysis and being integrated into the
25 database here at the FDA. So, I must join the people

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 who voted not, but with the caveat that it be sort of
2 a work in progress kind of no.

3 I would love to have a chance to revisit
4 this issue when all of the data that are available
5 have been processed by both the sponsor and the FDA.
6 I again believe that a tremendous amount of progress
7 has been made since I last heard about this, and that
8 I'm on the whole, excited about the prospects for this
9 vaccine being part of our armamentarium in the future.

10 A couple of things that I would highlight
11 are (1) I believe that there is a risk for -- at least
12 potential for risk for a flu-like illness in the days
13 following vaccine, and I'd like to hear more about
14 that. I mean, we've sort of heard about it in Houston
15 children when cultures weren't really being encouraged
16 in that period. And I'd like to see that studied in
17 a little more detail.

18 The transmission issue is an important
19 one, although I suspect from what I've heard today
20 it's not going to turn out to be an important clinical
21 problem. But I think in the climate we have today
22 with vaccines and new vaccines we've got to be very
23 sure, perhaps even a priori sure that it's not a
24 problem.

25 The asthma issue, I think, is an important

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 one. I'm not sure that asthmatic children would
2 accept this vaccine if they knew there was a higher
3 risk for an exacerbation following its receipt. And I
4 think that given the data we've had, they may be a tip
5 of the iceberg phenomenon and biased against people
6 who weren't able to report that their child had
7 asthma. And I'd like to see some more systematic
8 study of that.

9 Pneumonia and conjunctivitis and nasal
10 congestion, I think there's more data that's in the
11 mill and being processed. And, again, I'd love to hear
12 those data when FDA and sponsor have finished
13 reviewing them.

14 The annual dosing issue I think really
15 hasn't been addressed and I think is an important
16 safety issue. Although, again, I suspect that when it
17 is addressed, it's going to be a safety concern. But
18 without data, who can say for sure.

19 I feel very confident in the safety data
20 I saw for healthy adults over 50, but many adults are
21 not healthy over 50. And it would be, I think,
22 important although perhaps not directly germane to
23 this indication to have some sense of what happens
24 when you immunize people who are not 100 percent
25 healthy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, that's my view on it.

2 Now, stepping back into the Chair's role,
3 there are four votes yes and 8 votes no. Sorry 9 --
4 10. That can't be. What do you have?

5 MS. CHERRY: I had ten counting you.

6 CHAIRMAN DAUM: I have 9. One second,
7 please. I'm sorry.

8 There are 10 no's. There are 4 yes's and
9 10 no's. Of the 10 no's, 6 people commented that they
10 view this as a work in progress situation and would
11 like to hear and review the data when the processing
12 part has been finished. So, that's an important
13 qualification, I think, on a large part of the no
14 vote. And I think that it's important convey to the
15 sponsor that there is a lot of interest in the
16 progress of this vaccination and that we feel like
17 more data on these safety issues, particularly that
18 have been raised, would be very important.

19 Dr. Midthun is concerned that we really
20 can't accept provisional votes. We do have the
21 provisions that people have raised, not as provisions
22 per se, but everybody's comments have been recorded
23 and notes in the register and available for people who
24 want to review the record of what was said here.

25 So, I'm going to read out the votes that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I have and I'm going to ask each person to at least
2 confirm by nod of the head that they're not
3 provisional, these are your votes. If someone wants
4 to discuss that again, please feel free.

5 DR. EDWARDS: Could we ask a question just
6 about that? If the FDA in their review find something
7 problematic, I think that there are many of us who
8 would be uncomfortable with that. I guess that how
9 are we to interrupt what they find?

10 CHAIRMAN DAUM: Well, I think that's
11 always an issue, right? Because we're looking at one
12 frame of the movie right now.

13 DR. EDWARDS: But we're looking at an
14 earlier frame than we usually see.

15 CHAIRMAN DAUM: Indeed, but that's still
16 the frame we're shown.

17 So, does someone from FDA want to comment
18 on that?

19 DR. MIDTHUN: I think we're voting on the
20 information that we have in front of us today. I think
21 that it's not uncommon for people to say I vote yes or
22 no, I have certain caveats about that. But I think
23 what we do need to have is a yes or a no and, of
24 course, we will note the caveats in the record. And,
25 of course, you know take that under advisement.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Does that help?

2 CHAIRMAN DAUM: So here's what I have. I
3 have starting from your side, Dixie: Dixie no; Kohl
4 no; Goldberg no; Fisher no; Stephens no; Griffin no;
5 Katz yes; Schild yes; Cox no; Eickhoff yes; Myers no;
6 Edwards no; Steinhoff yes; Daum no. That I believe is
7 what you all said.

8 There's your comfort, Dr. Midthun.

9 DR. MIDTHUN: Thank you.

10 CHAIRMAN DAUM: I won't reread the
11 summary, because it's what I said a moment ago, but
12 the Committee would like an opportunity to reconsider
13 this and readdress this when the agency and sponsor
14 working together deem it appropriate to do that.

15 I'd like to, I think probably briefly,
16 because I suspect that things have been said already
17 that deal with discussion points 3 and 4, at least I
18 hope FDA has heard things that deal with discussion
19 points 3 and 4. But what I'd like to do is give each
20 Committee member a chance to speak to these.

21 And with all due respect to the agency, I
22 think I'll take them together and ask people go once
23 around and ask for comments on items 3 and 4.

24 We have item 4 on the board, which is
25 good. Well, we could leave 4 up. And just to remind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you that 3 is Dr. Cox's favorite issue today, please
2 discuss the need for data on concurrent immunizations,
3 for example, in children and travelers.

4 And that's not to single you out, Dr. Cox,
5 I think many of us feel that way, as we'll see in a
6 moment.

7 So, Dr. Snider, would you start us off,
8 please, and we'll try and get this done.

9 DR. SNIDER: Three and 4, or just 4?

10 CHAIRMAN DAUM: Three and 4, please.

11 DR. SNIDER: Well, everyone -- or many
12 people, at least, have mentioned the need for data on
13 concurrent immunizations. And, of course, would agree
14 with that. I think in both populations that have been
15 identified here, children and travelers, but children
16 in particular we know what vaccines are likely to be
17 used concurrently because we know what vaccines are
18 recommended at the particular ages that these children
19 would be vaccinated. So, no need to go through
20 identifying those.

21 For travelers, this could be a little bit
22 more problematic, but we at least know the range of
23 vaccines that are recommend for various parts of the
24 world. For some of those, it's going to be more
25 common than others. And I don't think it's going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be possible for some of the rarer vaccines, more
2 unusual vaccines to get a lot of data real quickly.
3 Nevertheless, it would be nice to have that.

4 With regard to point 4, we've had a lot of
5 discussion about the use of this vaccine in high risk
6 subjects. The sponsor is not asking us at this point
7 in time to allow them to or to make a recommendation
8 to FDA for those indications. At the same time, we
9 were reminded that, at least in one of the trials
10 here, that many children either were not diagnosed
11 with asthma, for example, or the parents did not
12 acknowledge the presence of asthma. So, asthma is
13 something that is going to be problematic, it would
14 appear, no matter if you do put it in as a
15 counterindication right now. So it would be, I think,
16 very useful to gather additional data about
17 asthmatics.

18 Clearly, high risk populations,
19 immunocompromised populations in particular are of
20 interest. They're of interest with regard to this
21 being a live virus, albeit attenuated, and how it
22 might perform in various immunocompromised
23 individuals. We have some reassuring data from small
24 numbers of HIV infected people, but it would be useful
25 to know more about those.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 High risk subjects also can refer to those
2 who are at risk of getting influenza. And, again, I
3 guess the approach I would be interested in is what I
4 had already indicated with regard to those over 65,
5 which would be useful since there's already some
6 suggestive data that it might be that the live
7 attenuated vaccine would give added protection above
8 what the inactivated vaccine gives to be able to look
9 at populations who receive the inactivated vaccine and
10 have one group, one arm also receive the live
11 attenuated vaccine.

12 You just alluded to the issue of annual
13 revaccination in adults. We don't know what's going
14 to happen with regard to yearly administration or if
15 we have to have it the yearly administration. I
16 presume we're going to start out of wanting annual
17 revaccination. We're likely to strains every year and
18 we're going to have to be on the lookout for what
19 happens with regard to safety and efficacy in that
20 regard.

21 Assessment of attenuation has been
22 discussed, as has the potential for transmission and
23 reversion and reassortment by those who are much more
24 knowledgeable than I am. All I'll say, again, is that
25 those are issues of concern, issues for further

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 investigation.

2 CHAIRMAN DAUM: Thanks, Dixie. We have
3 two members, Mr. Kohl and Dr. Eickhoff who are
4 needing to leave by 3:00. So I'll ask Dr. Kohl to go
5 next -- sorry. A whole lot more of us.

6 Well, then we'll do the best we can. Who
7 has to leave at 3:00 besides Dr. Kohl and Eickhoff.
8 Dr. Myers, okay. No comments.

9 So, let's go with Dr. Kohl, Dr. Eickhoff,
10 and Dr. Myers and try to get them up and down by 3:00
11 and then we can have the rest of the Committee finish
12 off.

13 DR. KOHL: I think it's pretty much been
14 said, so I'm not going to repeat what we've already
15 discussed.

16 I would like to see in addition to
17 concomitant immunization, in travelers I'd like to see
18 a small study looking at immunization and then anti-
19 malaria use. Because in other live immunizations
20 there's some doubt that anti-malaria may be
21 immunosuppressive, if you will.

22 I think some of our discussion about the
23 risk in high risk hosts in terms of transmission has
24 to be tempered by the fact that this is an ambiguous
25 virus, and it reminds me a little bit of the Varicella

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussions that we've had in the past, namely I think
2 I would much rather have a high risk host get this
3 virus than get the wild virus, and the same thing for
4 an asthmatic. Having said that, I still would like to
5 see data in those subjects to reenforce that feeling
6 that this will be a milder to asymptomatic infection.

7 I think I'll stop there.

8 CHAIRMAN DAUM: Dr. Eickhoff?

9 DR. EICKHOFF: Well, this is sort of a
10 motherhood and apple pie list, it all needs to be
11 done.

12 For the concomitant vaccines, yes, this is
13 an absolute must, particularly I'd be concerned about
14 the live virus antigens that may be given
15 concomitantly. But the killed antigens need to be
16 looked at as well.

17 In the case of adults, this would
18 certainly include hepatitis B as well as pneumococcal
19 vaccine and there are, you know, a number of others
20 that would apply to children.

21 High risk subjects, certainly those need
22 to be examined within detail.

23 Annual revaccination need more data, not
24 only in adults but in children as well.

25 And the last three items, again, like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dixie I think others who are more knowledgeable than
2 I have commented and I'm sure will comment further.

3 CHAIRMAN DAUM: Thank you, Ted.

4 Dr. Myers, can we hear from you.

5 DR. MYERS: It'll be brief because
6 everybody has listed everything on my list.

7 CHAIRMAN DAUM: Brief is good.

8 DR. MYERS: We've already talked a lot
9 about the 12 to 24 month data that's needed. I guess
10 I would encourage the sponsor to consider that 6
11 months to 24 months because of the data that Dr.
12 Glezen showed in the burden of disease in the younger
13 infant.

14 The one versus two dose schedule needs to
15 be examined systematically so we can make decisions
16 about whether it's one or two. And if it's two, at
17 what age.

18 And then more seasons of adult data I
19 think would be very desirable.

20 I think everybody else has covered
21 everything on my list.

22 CHAIRMAN DAUM: Thank you very much. I
23 think that covers the folks that have to leave at
24 3:00, not that it's 3:00 yet.

25 But Dr. Goldberg, could we resume with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you, please?

2 DR. GOLDBERG: I don't have much to add.
3 Everything I have is covered.

4 The only other thing that I think at some
5 point ought to be studied is the method of
6 administration in relation to the risks of
7 transmission and the variability in administering the
8 nasal dose, whether that effects complications in the
9 child. As well as the probabilities of transmission
10 should be looked at.

11 And I think the other issue is I think
12 perhaps some consideration should be given as to
13 whether or not there's a way of assessing the presence
14 of asthma prior to vaccination in a structured way so
15 that you might be able to do a better job of
16 identifying children at risk, in particular, or adults
17 in the presumably healthy.

18 CHAIRMAN DAUM: Thank you very much, Dr.
19 Goldberg.

20 Ms. Fisher, would you care to comment on
21 these two discussion points?

22 MS. FISHER: Well, I think it's important
23 that whatever trials are held to generate data on
24 concurrent vaccination or high risk individuals that
25 the same follow-up protocol and exclusionary criteria

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 should be used and that there should be an attempt to
2 specifically identify biomarkers, not only for those
3 who would be more likely to have an adverse event, but
4 also those who are non-responders.

5 I think the issue of reassortment of
6 vaccine strains with wild-type virus is extremely
7 important, and there should be much more investigation
8 into this possibility that could generate more
9 virulent or vaccine resistant strains.

10 CHAIRMAN DAUM: We thank you.

11 Dr. Stephens?

12 DR. STEPHENS: I think we all share
13 similar feelings about that we need -- we have
14 concerns and we need more data on both of these
15 points. Just a couple of quick points.

16 One is the fair testing model for
17 attenuation. I think I would like to see a better, a
18 more robust model. I realize this is the traditional
19 model, but certainly a better model for attenuation or
20 better issues addressing attenuation would be an area.

21 Thank you.

22 CHAIRMAN DAUM: Thank you, David.

23 Dr. Griffin?

24 DR. GRIFFIN: Again, most of the points
25 have been covered, so maybe I'll just come in on some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the virologic ones at the bottom that have been
2 less adequately addressed, although the other side of
3 the table will be able to do this.

4 Attenuation, I feel quite comfortable with
5 the attenuation of this virus with its stability in a
6 number of situations, so I really don't regard that as
7 -- I mean anymore data is fine, but I don't regard
8 that as really a major issue.

9 Potential for transmission, clearly it can
10 be transmitted. Obviously it doesn't occur too often.

11 I think, again, reversion is not a big
12 issue, but just because I think the vaccine really is
13 very stable. I think it's just one of those things
14 that's going to have to be monitored as far as
15 transmission, and there the risks are really to other
16 populations that perhaps would be more vulnerable when
17 they -- that wouldn't normally be included in the
18 normal vaccinees, you know, asthmatics or whatever.

19 Lastly, I think the potential for
20 reassortment, again, I don't consider this a major
21 concern as long as new H1N1s aren't introduced in a
22 pandemic kind of a situation that would have the
23 opportunity to reassort with wild-type viruses. And
24 the background genes are clearly attenuated, so any
25 reassortment that would occur in the normal kinds of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 situations are going to give you less virulent viruses
2 rather than more virulent viruses. It would be only
3 the potential for reintroduction of new H1N1s and
4 pandemic type situations which, obviously, would not
5 be voluntarily done.

6 And I can also represent Sam here.

7 So he says you need to complete the 2000
8 child study on concurrent MMR. Also might consider
9 concurrent VZ, as well as concurrent nonreplicating
10 vaccines, pneumococcus, H. flue, b-conjugates, DTAP,
11 etcetera. That's number 3.

12 And number 4, more data on vaccine in
13 asthma patients. Issue of reassortment of vaccine
14 strains with wild-type influenza viruses. The
15 question is whether in vitro and in vivo and
16 experimental animals studies would be appropriate.

17 CHAIRMAN DAUM: Thank you Dr. Griffin and
18 Katz.

19 Dr. Schild.

20 DR. SCHILD: I would include among long
21 term strategies the setting up of reasonably intensive
22 viral surveillance if and when this vaccine was
23 introduced to look for the virus itself, reversion to
24 virulence, the presence of genes that might become
25 linked to other viruses through reassortment and so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 on.

2 I would also like to address issue of
3 introduction of additional strains of vaccine that
4 might be used in the future, new antigenic varriants.
5 And I think it's completely essential that we don't
6 rely too heavily on genetic information on the vaccine
7 strain itself, but clinical data ought to be produced
8 in relation to every single new vaccine produced by
9 this recombination process.

10 And I think, again, looking to the future
11 it needs to be careful discussion about under what
12 circumstances one might use such a live vaccine in the
13 face of a pandemic.

14 CHAIRMAN DAUM: Thank you, Dr. Schild.

15 Dr. Cox?

16 DR. COX: Yes, most of my comments have
17 already been covered by others. I believe it was
18 Marty who suggested that having data in the 6 months
19 to 12 month old children would be extremely useful
20 given the burden of disease in that age group.

21 In addition, I think it would be really
22 useful to have information about -- information in the
23 50 to 64 year old high risk group.

24 I think that with regard to the virologic
25 and genetic issues, there is a certain amount of data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that are available but it would be -- there are a lot
2 of virus that could be analyzed in detail. They're
3 available, it's relatively easy to do the genic
4 analysis. It would give us just a higher degree of
5 certainty about what is actually going on with the
6 individual mutations that are occurring.

7 I was very pleased to hear that the
8 sponsor is actually going ahead with reverse genetic
9 experiments to determine the contribution of the
10 individual mutations to attenuation. And I think then
11 once those studies are done, it will be very easy to
12 screen for reversion of the key mutations.

13 CHAIRMAN DAUM: Thank you very much.

14 Dr. Edwards?

15 DR. EDWARDS: Obviously, lots of the ideas
16 have already been expressed.

17 I think one possibility that is intriguing
18 would be that if this vaccine were to be given to a
19 large target population that could be very, either with
20 government and industry funding that could be
21 carefully assessed in terms of outcomes, adverse
22 events, virologic studies and really have a very, very
23 extensive evaluation of it in the real world given to
24 real children and real adults. And I think that might
25 give us all a measure of safety and a much more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 controlled way that the vaccine might be introduced.

2 I think the one and two dose question is
3 very important, particularly for the public health
4 issues that Dr. Glezen addressed.

5 I think the travelers issue is very
6 difficult, because I'm not quite sure which vaccine
7 you would be giving to the travelers and whether
8 everybody should have in their freezer leftover
9 vaccine for the next year, because I can't imagine
10 that the company would be making both North American
11 and South American vaccines in different times, but
12 maybe they would. But I think the practicality of a
13 travelers vaccine and how you would get it, and for
14 the small number of individuals that you would be
15 delivering would be somewhat of a difficult issue for
16 the practitioner.

17 I think repeated vaccinations in adults is
18 very important, whether they continue to be
19 efficacious. And I guess whether this will ever be
20 done is another question, but I think a head-to-head
21 comparison of the two vaccines, TIV and CA vaccine, in
22 terms of different populations might be intriguing.

23 CHAIRMAN DAUM: Thank you, Dr. Edwards.

24 Dr. Steinhoff?

25 DR. STEINHOFF: It's funny. Either I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the first and I don't know what to say or I'm the last
2 and it's all been said already.

3 CHAIRMAN DAUM: It's that end-of-the-table
4 problem.

5 DR. STEINHOFF: Right. You know, many of
6 the comments I have mentioned earlier or have been
7 mentioned by others --

8 CHAIRMAN DAUM: That's fine. I mean, you
9 don't --

10 DR. STEINHOFF: I just want to make a
11 couple of points.

12 The first one is that I agree that we need
13 the concurrent immunization. I think that's clearly
14 needed, especially for infants.

15 I also think that the issue of the use of
16 vaccine in high risk subjects repeatedly mentioned,
17 but let's not forget the major high risk group which
18 doesn't have a disease are infants under one year of
19 age. So once we get down to 1 year, we need to think
20 about the lower group where there's a lot of
21 hospitalization and clinic visits.

22 The annual revaccination of adults,
23 repeatedly said we need to look at that and it's
24 overall effects in comparison with the current
25 strategy of annual revaccination of adults over 65.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 That's all I'm going to add. Thanks.

2 CHAIRMAN DAUM: Thank you very much.

3 Before I make a few comments or call on
4 Dixie, can I ask if there's Committee members that
5 would like a cab at the termination of the meeting?
6 Dr. Goldberg, Dr. Snider, Dr. Schild. We have four
7 customers.

8 Now, Dr. Snider?

9 DR. SNIDER: Just one point that is not
10 directed to the FDA or the manufacturer, or anyone in
11 particular, but just a notation that clinicians, I
12 think, are going to have to address is the question of
13 antivirals and at what point in time they can give a
14 person antivirals in a circumstance in which there is
15 an influenza outbreak. And it would be nice if
16 someone were able to answer that question for the
17 clinicians, because otherwise they'll be struggling
18 with that question.

19 CHAIRMAN DAUM: Thank you.

20 I'd like to conclude by adding a few
21 comments of my own. I think that everything that's on
22 the list for discussion points 3 and 4 does need to be
23 done. I guess I'd express my own surprise if the
24 concurrent immunization studies produce clinically
25 important messages, but I do think that people will be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 satisfied having them done and unsatisfied if they're
2 not done.

3 One of the things that intrigues me about
4 all this is the lack of understanding about how this
5 vaccine protects against influenza. I voted yes on the
6 efficacy question because I thought the data were
7 convincing, but I'm not sure I know how to measure a
8 surrogate for that. And so when we approach things
9 like these concurrent studies, I'm not sure I know how
10 to do them or how to interpret them, and that's going
11 to need some thought by everybody that's involved with
12 them.

13 I'm going to also mention my usual
14 influenza saw horse. This can be everything I'm
15 saying does not necessarily need to be done
16 prelicensure, but I think needs to be done at some
17 point.

18 I would like to study vaccine failures.
19 I don't think we really understand much about them,
20 and I'm amazed that with influenza vaccine, unlike
21 almost every other, we're not really focused on them.
22 And I don't know who fails vaccine or why. And I'd
23 like to put in place some kind of surveillance study
24 and monitoring process to learn something about that.

25 I'd like to know a little more about --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this is certainly a post-licensure issue -- of what
2 the real world delivery of this vaccine is going to
3 be. I mean, in study conditions you can restrain an
4 infant and give him the 0.25 mls pretty easily. But
5 what happens in a busy clinic or busy inner city
6 clinic when people attempt to do this? Will it be
7 done carefully? Are there cold-chain issues that are
8 going to have to have special considerations.

9 We didn't hear much about ethnic diversity
10 in terms of subjects and most of the subjects were the
11 kind of subjects that usually end up in these studies
12 in their first phases. And at some point people might
13 want to ensure that people of racially diverse
14 backgrounds respond with appropriate side effect
15 profiles and immunogenicity to these vaccines.

16 People have talked about young infants
17 under a year, and I echo that. And preparing to be
18 sort of old-folk myself, I'm also interested in
19 hearing more about the elderly because they, after
20 all, are at real high risk for serious influenza. And
21 I think that's an important group to target for study.

22 So, most of these things are suggestions
23 for additional investigation as opposed to regulatory
24 issues.

25 And that's all I have to say.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, with that I'll just check with my FDA
2 colleagues to make sure they feel like they got their
3 money's worth, and we declare the meeting adjourned.

4 (Whereupon, at 3:11 p.m. the meeting was
5 adjourned.)

6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CERTIFICATE

This is to certify that the foregoing transcript
in the matter of: VACCINES AND RELATED BIOLOGICAL
PRODUCTS ADVISORY COMMITTEE

Before: CENTER FOR BIOLOGICS AND RESEARCH

Date: FRIDAY, JULY 27, 2001

Place: GAITHERSBURG, MARYLAND

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

Rebecca Davis