

UNITED STATES OF AMERICA  
DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS  
ADVISORY COMMITTEE

+ + + + +

OPEN SESSION

+ + + + +

WEDNESDAY,

NOVEMBER 28, 2001

+ + + + +

The Advisory Committee was called to order at 1:54 p.m., in the Versailles Ballrooms I and II, of the Holiday Inn-Bethesda, 8120 Wisconsin Avenue, Bethesda, Maryland by Dr. Robert S. Daum, Chairman, presiding.

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

PRESENT:

- DR. ROBERT S. DAUM, Chairman
- DR. WALTER L. FAGGETT, Member
- DR. BARBARA LOE FISHER, Member
- DR. JUDITH D. GOLDBERG, Member
- DR. DIANE E. GRIFFIN, Member
- DR. SAMUEL L. KATZ, Member
- DR. KWANG SIK KIM, Member
- DR. STEVE KOHL, Member
- DR. PETER PALESE, Member
- DR. DIXIE E. SNIDER, JR., Member
- DR. DAVID S. STEPHENS, Member
- DR. JUAN FELIX, Invited Participant
- DR. THOMAS FLEMING, Invited Participant
- DR. RALPH FREEDMAN, Invited Participant
- DR. MICHAEL GREENE, Invited Participant

OPEN

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

3805T1

## PRESENT: (CONT.)

DR. PAMELA MCINNES, Invited Participant  
DR. MARTIN MYERS, Invited Participant  
DR. DENNIS O'CONNOR, Invited Participant  
DR. SONIA PAGLIUSI, Invited Participant  
DR. WILLIAM REEVES, Invited Participant  
DR. ELLEN SHEETS, Invited Participant  
DR. ELIZABETH UNGER, Invited Participant  
DR. EDWARD WILKINSON, Invited Participant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

I-N-D-E-X

Vaccine for the Prevention of Human Papilloma Virus

Session IV - Open Session

Conflict of Interest Statment . . . . . 4

Introductions . . . . . 6

Introduction to Session and . . . . . 8  
Presentation of Questions  
    Dr. Karen Goldenthal  
    Dr. Douglas Pratt

Natural History and Virology . . . . . 10  
    Dr. Beth Unger

Clinical Management/Natural History . . . . . 31  
of Cervical Dysplasia and Related Findings  
    Dr. Edward Wilkinson

Endpoints . . . . . 68

Re-introduction of Questions and Q and A  
    Dr. Karen Goldenthal

Open Public Hearing . . . . . 107

Adjourn . . . . . 133

P-R-O-C-E-E-D-I-N-G-S

(1:54 p.m.)

1  
2  
3 CHAIRMAN DAUM: If everybody would take  
4 their places and settle down, please. Good afternoon,  
5 and welcome to the open session. We will begin by  
6 calling on Nancy Cherry for a conflict of interest  
7 statement.

8 MS. CHERRY: While I am reading this, it  
9 would be a good time for those of you who are at the  
10 table and standing to turn off your cell phones, or to  
11 put your pagers on silent.

12 The following announcement addresses  
13 conflict of interest issues associated with the  
14 Vaccines and Related Biological Products Advisory  
15 Committee meeting on November 28th, 2001.

16 Should there be any voting during this  
17 session on HPV, the Director of the Center for  
18 Biologics Evaluation and Research has appointed Drs.  
19 Thomas Fleming, Pamela McInnes, Martin Myers, Dennis  
20 O'Connor, William Reeves, Ellen Sheets, Elizabeth  
21 Unger, and Edward Wilkinson, as temporary voting  
22 members for this session.

23 To determine whether any conflict of  
24 interests exist, the agency reviewed the submitted  
25 agenda and all financial interests reported by the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 meeting participants. As a result of this review, the  
2 following disclosures are being made.

3 Drs. Palese, Fleming, and Freedman each  
4 have been granted a waiver in accordance with current  
5 statutes which permit them to participate fully in the  
6 discussions.

7 Drs. Daum, Griffin, Stephens, Fleming,  
8 Freedman, O'Connor, Sheets, and Unger have  
9 associations with firms that could be or appear to be  
10 affected by the committee discussions.

11 However, in accordance with current  
12 statutes, it has been determined that none of these  
13 associations is sufficient to warrant the need for a  
14 waiver or an exclusion.

15 In the event that the discussions involve  
16 specific products or firms not on the agenda, and for  
17 which FDA's participants have a financial interest,  
18 the participants are reminded of the need to exclude  
19 themselves from the discussion, and the recusals will  
20 be noted for the public record.

21 With respect to all other meetings, we  
22 would ask in the interest of fairness that you say  
23 your name and affiliation, and any current or previous  
24 financial involvement with any firm whose products you  
25 wish to comment on.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Copies of all waivers addressed in this  
2 announcement are available by written request under  
3 the Freedom of Information Act.

4                   CHAIRMAN DAUM:    Thank you very much,  
5 Nancy.   It is an always scintillating Conflict of  
6 Interest Report.   Well, I think we will now just very  
7 briefly ask the Committee to introduce themselves to  
8 people who have not been here all day.   And, David, if  
9 you wouldn't mind, we will start with you.

10                  DR.   STEPHENS:     Dave Stephens, Emory  
11 University, in Atlanta.

12                  DR.   KOHL:       Steve Kohl, Oregon Health  
13 Science University.

14                  DR.   GRIFFIN:     Diane Griffin, Johns  
15 Hopkins.

16                  DR.   SNIDER:     Dixie Snider, Centers for  
17 Disease Control and Prevention.

18                  DR.   KIM:       Kwang Sik Kim, Johns Hopkins.

19                  DR.   KATZ:     Samuel Katz, Duke University.

20                  DR.   PALESE:     Peter Palese, Mount Sinai  
21 School of Medicine, New York.

22                  DR.   MYERS:     Mark Myers, National Vaccine  
23 Program Office.

24                  DR.   MCINNES:    Pamela McInnes, National  
25 Institute of Virology and Infectious Diseases.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. O'CONNOR: Dennis O'Connor, Clinical  
2 Associates, Louisville, Kentucky.

3 DR. REEVES: Bill Reeves, Centers for  
4 Disease Control and Prevention.

5 DR. GOLDBERG: Judy Goldberg, New York  
6 University.

7 DR. FLEMING: Tom Fleming, University of  
8 Washington.

9 DR. SHEETS: Ellen Sheets, Women's  
10 Hospital, Boston.

11 DR. UNGER: Elizabeth Unger, Centers for  
12 Disease Control and Prevention.

13 DR. WILKINSON: Edward Wilkinson,  
14 University of Florida, College of Medicine.

15 DR. FELIX: Juan Felix, Tech School of  
16 Medicine, University of Southern California.

17 DR. FREEDMAN: Ralph Freedman, Indiana  
18 Cancer Center.

19 DR. GREENE: Mike Greene, Massachusetts  
20 General Hospital, Boston.

21 DR. PAGLIUSI: Sonia Pagliusi, from  
22 Vaccines and Biologicals, of the World Health  
23 Organization.

24 CHAIRMAN DAUM: And I am Robert Daum, from  
25 the University of Chicago. And the FDA folks at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 table.

2 DR. GEBER: Antonia Geber, FDA.

3 DR. PRATT: Douglas Pratt, FDA.

4 DR. GOLDENTHAL: Karen Goldenthal, FDA.

5 CHAIRMAN DAUM: Thank you very much. I  
6 think we will now begin with the business of the  
7 afternoon, which is an open session to look at  
8 efficacy trial endpoints for vaccines for the  
9 prevention of HPV.

10 And we will begin by calling on Dr.  
11 Goldenthal from FDA to give us an introduction to the  
12 session, and presentation of questions. Dr.  
13 Goldenthal.

14 DR. GOLDENTHAL: Thank you. Before we get  
15 started on this afternoon's open session, I would like  
16 to present the two questions to our advisory committee  
17 and consultants.

18 The first is please discuss and identify  
19 the most appropriate endpoints for traditional  
20 approval of HPV vaccine intended to prevent cervical  
21 cancer. In particular, please discuss the use of the  
22 following endpoints and clinical trials intended to  
23 demonstrate the efficacy of HPV vaccines for oncogenic  
24 types, and the indications, for example, for  
25 prevention of HPV infection that these endpoints would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 support.

2 Incident HPV infection by oncogenic HPV  
3 types; that is, at least one positive HPV DNA test  
4 result.

5 Persistent HPV infection by oncogenic HPV  
6 types. Regarding this endpoint, please also discuss  
7 the appropriate number of positive virologic results  
8 in the interval between positive virologic results.

9 Next is LSIL cytology associated with  
10 oncogenic HPV types. The next is CIN-1 associated  
11 with oncogenic HPV types; CIN-2/3 associated with  
12 oncogenic HPV types; and cervical cancers.

13 The second question is please discuss the  
14 use of the accelerated approval regulations for  
15 licensure of HPV vaccines for prevention of cervical  
16 cancers; specifically, please discuss and identify  
17 possible surrogate endpoints to support accelerated  
18 approvals.

19 In particular, consider the following  
20 endpoints. Incident HPV infection by oncogenic HPV  
21 types; persistent HPV infection by oncogenic HPV  
22 types; LSIL cytology associated with oncogenic HPV  
23 types; and CIN-1 associated with oncogenic HPV types.

24 In the context of accelerated approval,  
25 please discuss and identify possible end points for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the confirmatory trials. Thank you. I think we can  
2 now proceed to the first afternoon speaker.

3 CHAIRMAN DAUM: That was very succinct,  
4 Dr. Goldenthal, and thank you. Dr. Unger, if we could  
5 get started with your presentation on Natural History  
6 and Virology.

7 DR. UNGER: Thank you very much. I feel  
8 like we have covered this topic to some extent in  
9 almost every presentation, and so I am going to  
10 emphasize those things that I think are most  
11 important, and this will be a lot of repetition.

12 Papilloma viruses are not only human  
13 papilloma viruses. They are widely distributed in  
14 higher vertebrates, and there is a very tight specie  
15 specificity.

16 They are all very similar and they are  
17 non-enveloped, double-standard DNA viruses, and they  
18 have a small genom that is circular. It is a DNA  
19 genom, and the viruses look very similar under  
20 electron microscopy. They are 55 nanometer spherical  
21 capsid particles.

22 They all have tropism for squamous  
23 epithelium. That is, they all tend to be found in  
24 squamous epithelium, and they all are associated in  
25 their specific hosts with the formation of warts and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 papillomas, which are just growths of that squamous  
2 epithelium.

3 The genom organization is similar across  
4 all of the species. Only one of the strands is  
5 transcribed, and the open reading frames have been  
6 named in relation to the bovine papilloma virus  
7 genoms, which was one of the first species studied in-  
8 depth.

9 The early genes are named E-1 through E-7,  
10 but there is no E-3 in HPV, and I have pondered that  
11 for a long while, and I thought that I would get that  
12 out of the way, just so that you are not looking for  
13 it.

14 The late genes are called L-1 and L-2, and  
15 these genes are those that code for the major and  
16 minor capsid proteins. This is a schematic, and you  
17 will see pictures like this repeatedly of the HPV  
18 genom in its episomal form.

19 It could be basically any of the genoms  
20 that I am showing, and this happens to be the one for  
21 HPV 16, and it shows the circular arrangements and the  
22 little P-97 is the most widely studied promoter  
23 region, and we will come back to that.

24 This simple Genom means that the virus  
25 does not have near enough genetic information to copy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       itself. It is very dependent on the host cell for the  
2       machinery for replication, transcription, and  
3       translation.

4               And it is because of that that the viral  
5       functions are very tightly linked to cellular  
6       differentiation, and the promoter region that I told  
7       you is the one that is the one that is most often  
8       studied, but there is very good evidence that there  
9       are other promoter regions that can act and that they  
10      occur, and they get turned on as a function of the  
11      differentiation state of the cell.

12             All of the transcripts are also very  
13      poorly understood. They are all poly-cistronic bio-  
14      transcripts. That means that they are very long and  
15      complicated, and they have multiple splice patterns,  
16      and the promoter usage as I mentioned before is very  
17      linked to differentiation.

18             Now to talk about each of the little  
19      regions in-turn. The HPV URRs is the upstream  
20      regulatory region. It also is called -- sometimes you  
21      will see in the literature the long controlled region,  
22      or the non-coding region.

23             And this is really a very important  
24      because it contains multiple transcriptional and  
25      replication regulatory elements. And this is one area

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 where the viral genom interacts very tightly with the  
2 cell protein machinery.

3 Late genes, as I mentioned before, the  
4 late genes have the greatest genetic conservation  
5 among all of the types, and the L-1 is the major  
6 capsid protein. The capsid is formed of 72 pentamers  
7 of L-1, and when L-1 is expressed in systems, the  
8 protein itself will form spontaneously into viral like  
9 particles.

10 One thing that is very important about HPV  
11 proteins are that it is the native conformational  
12 state that is most important and relevant for immune  
13 response.

14 And so the fact that this virus will allow  
15 the L-1 proteins to be formed into viral like  
16 particles has been used in studies of the immune  
17 response. L-2 is a minor capsid protein, and in  
18 functional studies it appears to be required for  
19 actually incorporating the viral DNA into the viral  
20 particle.

21 The early genes. I am just going to hit  
22 the high points on them, and there are many more  
23 functions that could be associated with them. But  
24 just briefly, the E-1 is essential for viral  
25 replication, and it is known to maintain that episome.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1  
2 That is the small circular genom. The E-2  
3 protein is important in transcriptional regulation,  
4 and it is a co-factor for viral replication. E-2 has  
5 been shown to have both promoter and inhibitory  
6 effects on transcription, depending on the state.

7 E-4 apparently disrupts cytokeratin, and  
8 it is important in the shedding of the virus. E-5  
9 interacts with growth factor receptors; and E-6 and  
10 E-7 have been mentioned several times this morning.  
11 And they are the proteins that are characterized as  
12 transforming proteins, important in P-53 degradation  
13 and RB binding, respectively.

14 Now, of course, in the viral lifecycle,  
15 transformation is really not a part of the intent from  
16 a biologic point of view of the virus. So the  
17 function of E-6 and E-7 in the lifecycle of the virus  
18 appears to be one where the proteins prolong the  
19 dividing phase of the cell.

20 Viral replication and assembly occurs in  
21 the nucleus of the infected cell. Infection, as far  
22 as we can tell, is initiated in the basal epithelium  
23 cells. That is the renewable compartment in the  
24 epithelium.

25 Steady state viral replication in some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 early gene transcription occurs, and this is the site  
2 of the presumed latent infection. And in animal model  
3 studies, it has been recognized that the virus can  
4 persist in this basal epithelium at very low copy  
5 number, with no phenotypic change; that is, no  
6 apparent change in the overlying epithelium.

7 There is some trigger, and again not very  
8 well known, which leads to high copy viral replication  
9 in late gene transcription and virion production, and  
10 this occurs only in the differentiating cells.

11 Now, viral integration is not a normal  
12 part of the viral lifecycle, but it is observed, and  
13 when it occurs, it occurs randomly in the host  
14 chromosome. That is, there is no one specific site in  
15 the cellular chromosomes where it occurs.

16 But in the virus, it occurs at a  
17 characteristic break point, and that is between E-1  
18 and E-2. The disruption in this region means that the  
19 E-6, E-7 region, if you think back to that circular  
20 genom that I showed you, is still intact, and that the  
21 region may not be normally regulated, and it is  
22 thought that this abnormal expression of E-6, E-7  
23 contributes to oncogenic progression.

24 Now, viral integration is associated with  
25 oncogenesis, but it is not absolutely required to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 best of our knowledge. The immune response to these  
2 viruses is also very complicated.

3 The infection as I have mentioned is a  
4 non-lytic infection. That means that the cells are  
5 not degraded in the host. The virus is released with  
6 the desquamating epithelium.

7 That means that the host has minimal  
8 exposure to the virions, but it is well characterized  
9 from observational studies that the immune system  
10 really does influence the outcome of HPV infection,  
11 and just as one simple example, immuno-compromised  
12 individuals have more problems with warts and  
13 persistence of warts, and with progression of cervical  
14 neoplasia.

15 There are both humoral and serologic  
16 responses that are identified. Interestingly, not all  
17 infected hosts -- that is, hosts in whom we have  
18 identified HPV DNA -- will have a detectable serologic  
19 response with current assays.

20 Now, in the human papilloma viruses, we  
21 have alluded to this many times before, there are a  
22 whole lot of them, and there is more than a hundred  
23 different types that have at least been partially  
24 characterized, and now there are more than 80 that are  
25 fully sequenced.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 All of the typing is based strictly upon  
2 the nucleate assay sequence, and if there is less than  
3 10 percent difference, it is considered part of the  
4 same type.

5 If it is less than two percent, and if the  
6 difference is on the order of two percent, it is  
7 considered a variant, and as -- and you can imagine  
8 that there has been a lot of investigation of  
9 sequencing of these viruses.

10 There is increasing consensus that the  
11 variance, that these small DNA changes could be  
12 important. The types are assigned strictly on a  
13 sequential number, which is based on the order of  
14 discovery, and this does lead to some confusion.

15 There is absolutely no relationship in the  
16 numbering to any kind of phylogeny, and that is just  
17 kind of the way the world evolved. HPV types can be  
18 broken down into two major phylogenetic branches based  
19 on their different affinities for the site of  
20 infection.

21 HPV, the cutaneous types, affect the  
22 squamous epithelium. They are the ones that are  
23 responsible for the common hand and foot warts, and  
24 these cutaneous types probably are more numerous even  
25 than these mucosal types, but they have been less

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 studied.

2 And the mucosal types affect predominantly  
3 a non-keratinized squamous epithelium, and there are  
4 more than 30 of these types that are found in the  
5 anal/genital tracts. And these are further kind of  
6 broken down into what have been called low risk and  
7 high risk types.

8 Low risk types are those types that have  
9 been rarely or never found in cancers, and high risk  
10 types are those that have been frequently found in  
11 cancers.

12 Now, it is important to realize though  
13 that the high risk types are the most prevalent in the  
14 population, regardless of the disease status. And  
15 best characterized for HPV 16 and that is because HPV  
16 16 is the most tightly linked with cervical cancer,  
17 accounting for -- depending on the population --  
18 approximately 60 percent of the cases.

19 The E-6/E-7 polymorphisms could modify  
20 oncogenicity, but the variance have been cross-  
21 reactive in most ELISA assays. Now, there are some  
22 unique features about HPV that makes studying this  
23 virus difficult.

24 And first of all, if it has not been clear  
25 before, there is no simple in vitro culture method.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 There is no such thing as culturing for the virus.  
2 Antibody methods lack sensitivity, at least in their  
3 current format.

4 So, therefore, diagnosing infection  
5 requires the detection of HPV genetic information, and  
6 that means that it requires a cellular sample from the  
7 site of infection, and only current infections can be  
8 identified.

9 Now, infection, I would like to put in  
10 quotes, because we are really not dealing with  
11 infection. We are dealing with the detection of DNA,  
12 and we are assuming that means infection.

13 Because we are limited by actually only  
14 monitoring DNA, our view of the disease is totally  
15 framed by the sample that is collected and by the  
16 assay that is being done to analyze it.

17 And this complicates the study of latent,  
18 occult persistent reoccurring infection, and it  
19 complicates comparison of different kinds of studies,  
20 and I am going to talk a little bit about the sample.

21 Tissue samples provide a direct  
22 correlation between observed pathology and the virus.  
23 These samples also include the basal epithelium, which  
24 is a place where occult infection might be.

25 The problem is that only a limited area is

1 sampled, and one biopsy is a very small area, and this  
2 is not a suitable method for any kind of screening or  
3 large scale study.

4 And therefore most studies that you are  
5 going to read about utilize exfoliated cytology  
6 samples of one form or another. This is a non-  
7 invasive approach for screening the population, but  
8 you have to realize that the sample is not  
9 specifically directed at a lesion.

10 It is collected to sample the area to be  
11 most representative. The quality of the sample is  
12 very dependent on the collection device that is used,  
13 and on the anatomic site that is sampled.

14 And a whole variety of devices are  
15 available, and the amount of yield of cells is varies  
16 with each of those devices. You must keep in mind  
17 that the basal epithelium is not usually sampled in  
18 this kind of approach.

19 Now, in women, the cervix is the sample  
20 that is most commonly used, and that is the site where  
21 the pathology is. And the appropriate sample in males  
22 is not at all clear.

23 Briefly, the estimates of HPV associated  
24 disease in the United States is that it is an  
25 extremely prevalent problem. This data really comes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 from Laura Koutsky, and it is kind of a very broad  
2 extrapolation.

3 The bottom line is that probably 75  
4 percent of the population has been exposed to HPV at  
5 some point in their life. Genital HPV is acquired  
6 around the time of sexual debut, and it is primarily  
7 a sexually transmitted infection.

8 And infection is usually transient, and it  
9 is usually not associated with symptoms. Persistent  
10 infection and the definition of that we can talk  
11 about, is the one that is most likely to be associated  
12 with the potential for neoplasia.

13 Now, there has been a consistent  
14 epidemiologic association of HPV with cervical cancer,  
15 and cervical cancer pre-cursor lesions. There are  
16 plausible biologic mechanisms for HPV oncogenesis, and  
17 it should be kept in mind that this oncogenesis is a  
18 rare event, with a long interval between infection and  
19 cancer.

20 So it is believed that infection alone is  
21 insufficient to cause cancer, and additional factors  
22 are required for neoplasia. There are certainly lots  
23 of questions about HPV infection, and one of the most  
24 common is HPV eliminated from the host.

25 HPV clearing again is monitored by DNA

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 detection and cytology samples, and negative results  
2 indicate that shedding is below the limit of  
3 detection, but the basal compartment may not be  
4 adequately sampled.

5 HPV can be detected in histologically  
6 normal margins surrounding growth lesions, and this is  
7 from older studies where people have done biopsies and  
8 sampled the basal epithelium.

9 The duration of infection is one that  
10 again we have heard discussed. I have given two  
11 studies which made an attempt to study incident  
12 infections, and then followed how long to clearing,  
13 and the median for HPV 16 in the Woodman study was  
14 10.3 months, and for HPV 18 it was 7 months.

15 And in the Franco study, where they lumped  
16 the oncogenic types all together, it was 8.1 months.  
17 So how does this kind of data inform us as to what  
18 should we count as a persistent infection?

19 Currently, there is really no consistent  
20 on definition. What is clear is that in order to talk  
21 about a persistent infection, it requires detection of  
22 the same HPV type on more than one occasion.

23 And that requires a time specific assay  
24 then, and the time interval varies between 3 to 6  
25 months, and in longer intervals people talk about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 concerns about the potential for reinfection, rather  
2 than a persistent infection.

3 And consistent detection on each occasion  
4 is one approach, versus intermittent detection, which  
5 could then be a reflection of sampling and assay.  
6 Similarly, latent infection is a big question.

7 The formal definition of a latent  
8 infection is the presence of HPV DNA and the absence  
9 of virion production. But practically what it really  
10 means is a section of HPV DNA in the absence of an  
11 identifiable lesion.

12 And this is the situation of HPV DNA  
13 positive normal cytology. But it is also equated with  
14 occult infection. Now, the fact that there are  
15 multiple assays really complicate or multiple types  
16 really complicate HPV assays.

17 The sensitivity in the site type  
18 specificity of the assays all vary, and inter-assay  
19 comparisons are very difficult. The beginning of this  
20 field relied on Southern blot and dot plot and in  
21 situ, and that plot is like hybridizations.

22 And currently Hybrid Capture is another  
23 example of a direct hybridization. Amplification  
24 assays, such as PCR, can be either type specific or  
25 directed at a broad spectrum of the virus.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And just briefly about the hybrid capture  
2 assay. I feel like I can't ignore it. It is the  
3 current FDA approved test. In 1999, it shifted into  
4 a micro-titer format that uses liquid hybridization  
5 and it has a chemiluminescent detection.

6           The RNA probes react with the DNA targets,  
7 and it groups the probes into high risk and low risk,  
8 and does not have a type specific format at this  
9 point. The signal is semi-quantitative, but there is  
10 no control for the input amount of the DNA.

11           The probe mixes are as shown here, and  
12 this assay here really shows very good inter-  
13 laboratory comparison. It was really made to be a  
14 very vigorous clinical assay, but again the results  
15 are not type specific.

16           The hybrid-capture assay has been designed  
17 primarily to work with exfoliated cervical samples and  
18 the recommended collection includes the brush and the  
19 sample transport media, and the sample includes both  
20 endo-and-ectocervical cells.

21           And if you go through the calculations of  
22 how much the sample is put into the assay,  
23 approximately five percent of DNA from that total  
24 sample is assay for each probe group.

25           And again I think it is important to go

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 through not only how the sample is collected, and how  
2 the DNA is extracted, but in assay. HPV PCR assays  
3 target a much smaller part of the genom. It allows  
4 testing of samples with poor quality DNA.

5 There is some concern that small changes  
6 in the virus either from variants or integration may  
7 give false negative results, and the amount of DNA  
8 assay varies, and it limits the number of cells that  
9 can be sampled.

10 The type specific assays generally target  
11 the E-6, E-7 region. That's because their most type  
12 variation occurs in this region; whereas, the  
13 consensus assays generally target the L-1 region, the  
14 area where there is the most conservation.

15 When a consensus assay is used, the types  
16 then need to be further determined either based on  
17 hybridization, restriction, digest, or actual  
18 sequencing of the products.

19 This is just a schematic of one of the  
20 currently used PCR assays that relies on a consensus  
21 approach, and then a subsequent hybridization. This  
22 kind of format, using a line blot approach, allowed  
23 for the first time for investigators to look at the  
24 presence of multiple types. It wasn't a type specific  
25 single approach.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           In this format beta globin is used as an  
2 endogenous positive control for amplifiable DNA, and  
3 it is in the process of PCR that the amplicons are  
4 actually incorporated into a re-agent that allows it  
5 to be detected, and then the hybridization occurs on  
6 a filter strip. And the color indicates which type is  
7 present in the assay.

8           Viral quantification is another concern,  
9 but at the very base the viral load is very difficult  
10 to estimate, because there is uneven tissue  
11 distribution of the virus, and there is variation in  
12 the kind of sampling.

13           And again because exfoliated cytology is  
14 not targeted to the lesion, the sample may or may not  
15 include more or less of cells that are actually  
16 infected.

17           This requires some measure of the numbers  
18 of cells that are actually put into the assay, and  
19 most quantitative PCR assays at this point are type  
20 specific. Just briefly about in situ hybridizations.

21           It is really the only method that permits  
22 directed visualization of the virus in a morphologic  
23 context; formalin-fixed, paraffin-embedded, and that  
24 is routine biopsy tissues can be used.

25           There is reasonable type specificity, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cross-hybridizations, particularly at a high viral  
2 copy number, is almost unavoidable, and the results  
3 are very technique dependent. It is a very touchy  
4 assay. Integration status can be determined on this  
5 assay format.

6 Serology. Currently the most widely used  
7 is an ELISA-based format, with detection of antibodies  
8 that will react with the L-1 ELPs, and these assays  
9 have been used on both serum or mucosa samples,  
10 looking predominantly at IgG and IgA.

11 The assays are type specific, at least at  
12 low titers, and there is some discussion that when  
13 there are high titer present whether there is some  
14 cross-reactivity between the VLPs.

15 Reaction in this kind of assay format  
16 indicates a past or current infection, but  
17 longitudinal studies that have followed subjects for  
18 acquisition of DNA and then subsequently antibodies  
19 have found that 70 to 80 percent is sort of the  
20 maximum that end up to be positive, and there is a lag  
21 time of several months between the acquisition of DNA  
22 and the antibody.

23 The L-1 VLP assay formats vary. It can be  
24 direct or indirect, and the VLP production is not  
25 well-standardized, laboratory to laboratory. There

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are different expression systems, and there are  
2 different ways to prepare this reagent and QC methods  
3 need to be developed.

4 In addition, there is really no good gold  
5 standard for setting the threshold for positive  
6 results, and there are relatively few inter-laboratory  
7 comparisons, although they are needed.

8 So basically I ended with the assays  
9 because I think assays are really are a way of  
10 understanding the virus, but I want to go back again  
11 to the sample, and reemphasize the difference that the  
12 varying sample approaches that can be made in the  
13 assay.

14 And the amount and how the DNA is  
15 extracted, and the amount that is actually put into  
16 the assay all will influence results.

17 CHAIRMAN DAUM: Thank you very much, Dr.  
18 Unger. That was a very helpful presentation. If  
19 there are a Committee question or two that  
20 specifically relate to the factual content of what Dr.  
21 Unger said, that's fine, but what I would like to do  
22 is get Dr. Wilkinson's presentation under our belt,  
23 and then begin reasoning with both of them, and have  
24 them see how they impact with the issues that the FDA  
25 wishes us to discuss. Dr. Katz.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. KATZ: In thinking about how a vaccine  
2 might work in relation to this virus, I am unclear  
3 about how is virus transmitted. You describe a virus  
4 that is very tightly associated with this cell.

5 Is it that shedded cells are transmitted  
6 to the individual, or are there free virions? What is  
7 the mechanism?

8 DR. UNGER: I don't have an answer. It is  
9 postulated that the cells are shed in that little  
10 packet of dried epithelium as it sheds, and then  
11 presumably the virions get out and get in, and in  
12 animal model studies, free virions, that the particles  
13 are infectious.

14 DR. KATZ: Thank you.

15 CHAIRMAN DAUM: Drs. Stephens, Pagliusi,  
16 and Freedman.

17 DR. STEPHENS: Can you help us understand  
18 or at least me understand the pathogenesis of HPV 16,  
19 and specifically is that a replication issue, better  
20 replication, or is it an ocogene issue? Is it a  
21 combination of both? And is there reassortment among  
22 papilloma viruses?

23 DR. UNGER: The last question is probably  
24 the easiest. There is no evidence of reassociation  
25 between the types. Then as far as why HPV 16 is so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 different from the rest, that relies upon in vitro  
2 studies that have shown that the E-6/E-7 proteins do  
3 have different propensities to act in an oncogenic  
4 fashion in assays.

5 But all of the types have not been studied  
6 in great detail, and there could be other factors,  
7 such as copy number and amount of the replication.

8 CHAIRMAN DAUM: Thank you. Dr. Pagliusi.

9 DR. PAGLIUSI: I have a comment to your  
10 last slide, and so I agree that laboratory diagnostics  
11 is a very important tool, and I just wanted to say  
12 that WHO has an effort in this direction, which is in  
13 collaboration with the virion particles vaccine  
14 developers who are represented here by the NCI, Merck,  
15 and GlaxoSmithKline.

16 We are trying to develop some reference  
17 reagents to create the tools for the interlaboratory  
18 comparisons of results.

19 CHAIRMAN DAUM: Thank you. Dr. Freedman.

20 DR. FREEDMAN: Is there any evidence that  
21 an abnormality of the cells making up an epithelium  
22 with any degree of transformation that may preexist in  
23 the infection, may in fact increase the susceptibility  
24 to infection with HPV?

25 I am thinking of the work of Kurofsky a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 number of years ago, which showed that malignant  
2 cells, for example, were more susceptible to infection  
3 with a number of viruses.

4 DR. UNGER: I don't know of any studies  
5 that would comment on that directly. There is  
6 evidence that the dysplastic state of the cell does  
7 affect its ability to be sampled in cytology. And as  
8 part of the dysplastic process, they also become  
9 discohesive, and so the greater degree of dysplasia,  
10 the easier it is to kind of scrap it off.

11 CHAIRMAN DAUM: Thank you again, Dr.  
12 Unger, very much. I am sure that we will be calling  
13 on you as a resource of information when we move into  
14 our discussion. Our next speaker will be Dr. Edward  
15 Wilkinson, who will talk about the clinical management  
16 and natural history of cervical dysplasia and related  
17 findings.

18 DR. WILKINSON: Thank you, Dr. Daum. It  
19 is a pleasure and an honor to be here at this very  
20 remarkable meeting. Our discussion here is on  
21 clinical management, natural history, and I will  
22 discuss some aspects of cytopathology, classification,  
23 and histopathology classification.

24 I will also show you some examples,  
25 colposcopic examples. In the screening data, you have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 seen much of this, about 50,000 Paps smears a year,  
2 and about 3.5 million interpreted as normal, and about  
3 800,000 LSILs.

4 And in that subset there is probably about  
5 1,600 women who have cervical carcinoma, and about  
6 2,500 HSIL groups, which is about at least 2,500 women  
7 in that subset that have cervical carcinoma.

8 Now, we recognize that cervical carcinomas  
9 primarily arise in the cervical transformation zone,  
10 which extends basically from the ectocervical margin  
11 of the original squamo-columnar epithelium to the  
12 presently identified squamo-columnar junction.

13 And when one reads the literature, you get  
14 sometimes confused at the squamo-columnar junction,  
15 and that that is not the transformation zone. It only  
16 marks the ectocervical edge of the transformation  
17 zone.

18 Now, in the transformation zone, basically  
19 what is occurring is the cervix is being remodeled.  
20 This occurs with the beginning of adolescence, and  
21 actually there is a bit of remodeling in the newborne,  
22 and in adolescents there is some major remodeling of  
23 the transformation zone.

24 And then after the first child, one  
25 normally has a process of reserve cell hyperplasia,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 XX, and then mature epithelium. As far as the  
2 cervical intraepithelial lesions that arise within the  
3 transformation zone, the old concept was that this is  
4 a continuum, CIN-1, through 2, through 3.

5 And I think that concept has pretty well  
6 been disproven, and a bunch of work that I think has  
7 been demonstrated today has shown that really CIN-1 is  
8 a complex process, and many of these are transient  
9 infections.

10 And CIN-2 and 3 are quite a different  
11 issue, and CIN-3 for sure is a precursor as far as  
12 understanding the process. Now, from the  
13 classification from the World Health Organization,  
14 this is a classification of cervical intraepithelial  
15 neoplasia.

16 The WHO retains the terminology dysplasia,  
17 and so mild dysplasia, CIN-1, and this is a lesion  
18 confined to the lowest third of the epithelium.  
19 Moderate dysplasia, CIN-2 involves the lower two-  
20 thirds of the epithelium.

21 And severe dysplasia extends to the upper  
22 third of the epithelium, but not involving full  
23 thickness. And this is a CIN-3 lesion, and CIN-3 is  
24 also used for carcinoma in situ with full thickness  
25 changes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Now, the Bethesda system, although it was  
2           first introduced around 1988, and modified in 1991, it  
3           has undergone recently the new Bethesda 2001 system,  
4           and these are the major issues, although there are a  
5           number of others.

6           The term "within normal" has been removed  
7           and replaced with "negative for intraepithelial lesion  
8           or malignancy." The term "benign cellular changes"  
9           has been eliminated. The classification has actually  
10          been eliminated.

11          And the interpretation of AGUS has been  
12          changed to atypical squamos cells, and this can be  
13          either atypical squamos cells of uncertain  
14          significance, or undetermined significance, and  
15          atypical squamos cells cannot exclude a high grade  
16          lesion.

17          And finally atypical glandular cells, and  
18          AGUS has been changed to atypical glandular cells, and  
19          this has been primarily to eliminate the confusion  
20          between AGUS and ASCUS, which many people confuse much  
21          to the negative impact of the patient.

22          Now, the new terminology is here in all of  
23          these slides, and I have these in your syllabus  
24          materials. So basically we have the negative  
25          category, and then we have an epithelial cell

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 abnormality squamos cell.

2 And this includes atypical squamos cells  
3 ASCUS and ASCH, and then low grade, cervical  
4 intraepithelial lesion, and high grade cervical  
5 intraepithelial lesion; and finally squamous cell  
6 carcinoma.

7 Now, this is an example of a low grade  
8 cytology, and atypical colas site with the distinct  
9 paranuclear halo, and the nuclear outline is somewhat  
10 irregular, and the chromatin is somewhat abnormal, and  
11 the cell is approximately three times the diameter of  
12 the normal intermediate squamous cell.

13 This is a colposcopic view of a patient  
14 with a low grade lesion, and this reddish blush, you  
15 can see the lesion here, although there is no other  
16 abnormality.

17 Her transformation only extends from here  
18 out to the areas where we can see some of the original  
19 squamous epithelial, and here are the cysts, and so  
20 you can see all of this is the transformation zone,  
21 and this lesion is well within the transformation  
22 zone.

23 And with a little lugol solution one can  
24 see the intraepithelial lesion does not stain with  
25 iodine, and it does not contain glycogen, like the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 normal glycogen rich ectocervical epithelium, and  
2 squamous mature metaplasia epithelium will stain with  
3 the glycogen.

4 So this is a CIN-1 lesion in the cervical  
5 transformation zone of at risk woman. This is the  
6 biopsy showing this parabasilar proliferation with  
7 disordered epithelium in the lower third. There are  
8 also the corlow (phonetic) sites that we expect to see  
9 in the typical low grade or mild dysplasia lesion.

10 For the purposes of this presentation, I  
11 will use World Health Organization terminology for  
12 histology, namely CIN-1, 2, or 3 values, and Bethesda  
13 terminology for cytology; low grade, high grade, and  
14 such. So this is a CIN-1 lesion, typical HPV changes.

15 Now, the cytology of a high grade lesion  
16 shows larger nuclide, and usually with less cytoplasm,  
17 and in this case there is a moderate amount of  
18 cytoplasm, and the nuclear chromatin is coarse, and  
19 what has been referred to as salt and pepper type  
20 pattern outlines are somewhat irregular.

21 And these cells are relatively large. For  
22 example, here is a PMN in comparison. These cells  
23 reflect a higher grade lesion. Now, this is a  
24 colposcopic photo, and I hope that you can see this,  
25 but this is a very small lesion on the anterior lip of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a Paris woman.

2 This lesion, and you can appreciate the  
3 small size, but this slide was provided to me by Dr.  
4 Darren Ferris. I think one of the important things  
5 about high grade lesions is they can be very small.

6 In fact, I think many times when one looks  
7 at statistics on mild dysplasia CIN-1, the low grade  
8 Paps smears, that in fact about 15 percent are high  
9 grade harbor or reflect high grade lesions.

10 And I think it is this kind of a lesion.  
11 This is a high grade lesion, and it has mosaic, and it  
12 has punctation. It is on the anterior lip and it is in  
13 the transformation zone, but it is a very small  
14 lesion.

15 This can give you some idea. This is the  
16 cervical os here, and so this is very close  
17 magnification, and there is a very small lesion on the  
18 anterior lip of the cervix in the transformation zone.

19 This is the histology of a high grade  
20 lesion, and this would be classified as a CIN-2 lesion  
21 and the changes are in the upper two-thirds, but there  
22 are still nice corlosites, and usually the more severe  
23 the lesion the less probability that you will see  
24 corlosites.

25 There is also abnormal eidetic activity

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and lots of nuclear disarray. In the very high grade  
2 lesion one will see again the chromotine features of  
3 the intraepithelium neoplastic lesion, and in this  
4 case there is very minimum cytoplasm.

5 These cells have marked foldings in  
6 irregularity, and are rather characteristic of a high  
7 grade lesion, and in this case, a carcinoma in situ,  
8 CIN-3 lesion.

9 And here is the colposcopy as such an  
10 example, but the cervical os now is here, and this is  
11 the vagina anterior, and this is a very large lesion,  
12 extending over most of the anterior transformation  
13 zone, and with the 3 percent acetic acid application,  
14 one can appreciate those extensive mosaic pattern in  
15 this lesion.

16 This is a very high grade lesion and very  
17 large lesion. This is a biopsy of an example of a  
18 carcinoma in situ, CIN-3 lesion, and here you will see  
19 no maturation. The cells here show total  
20 disorganization, and with actually a vertical  
21 orientation, and there is abnormal mitotic activity,  
22 and this is CIN-3 carcinoma in situ.

23 Now, finally, squamous carcinoma on  
24 cytology is reflected in this sort of picture, where  
25 one sees sheets and groupings of cells. The cells,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 unlike the high grade CIN, do contain substantial  
2 amount of cytoplasm, and often have small nuclei, and  
3 are in groupings as you see here.

4 This is a rather typical picture of a  
5 squamous carcinoma of the non-keratinizing type, which  
6 is the usual variety, and this is a colposcopic  
7 examination of a patient that has an early invasive  
8 carcinoma.

9 Now, this patient has extensive CIN-3,  
10 with lots of mosaic pattern, in this anterior cervix.  
11 This is the cervical os, just to be oriented here, and  
12 the cervix is quite large as you can appreciate.

13 But this is a high grade lesion here, a  
14 CIN-3 lesion here in this location, but here we have  
15 abnormal hair pin type vessels, characteristic of  
16 early invasion, or superficial invasion, early  
17 carcinoma in a field of carcinoma or CIN-3.

18 Here is the biopsy showing a CIN-3 lesion,  
19 and the invasive tumor here. This tumor is relatively  
20 small actually. It was under three millimeters in  
21 depth, and less than seven millimeters in length. So  
22 it would be a Stage 1-A Sub-1.

23 But this is an evasive squamous cell  
24 carcinoma, and here is the invasive tumor in the  
25 stroma, with the adjacent CIN-3 lesion. Most of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 early invasion carcinomas that are seen do have  
2 adjacent high grade CIN-3 lesion.

3 Now, in addition to the squamous lesions,  
4 we should talk a bit about the glandular lesions.  
5 These are also HPV related. In the Bethesda system,  
6 this is considered epithelial so abnormality  
7 glandular. These can be atypical of endocervical and  
8 endometrial, or glandular, or otherwise specified.

9 Or they can reflect endocervical or  
10 adenocarcinoma in situ, or be obvious adenocarcinoma,  
11 or endocervical, or endometrial, or extrauterine  
12 location. Now, this is an example of atypical  
13 glandular pap test from a young woman, a 32 year old  
14 woman.

15 And this reflects adenocarcinoma in situ  
16 in the cervical cytology, and there the cells are  
17 crowded together, and these cells have small nuclei,  
18 but also have this feathering characteristics that are  
19 descriptive of glandular lesions.

20 This patient in addition had a few of  
21 these very large cells with huge prominent nuclei, and  
22 this is an example of the background of carcinoma in  
23 situ. This is the appearance of her cervix, and here  
24 her transformation zone in fact is normal from this  
25 glandular cone rejunction out and everything is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 normal.

2 In fact, some early work, you could not  
3 recognize glandular in situ lesions. However, Dr.  
4 Cecil Wright and Michael Shire have recently published  
5 a book on recognition of adenocarcinoma in situ  
6 lesions.

7 They occur in the endocervical epithelium,  
8 and approximal if you would to the glandular suamo-  
9 columnar junction, and these lesions are sometimes  
10 characterized by the yellow color or red color that  
11 one encounters in the endocervical epithelium, and  
12 often quite adjacent to the columnar epithelium at the  
13 squamo-columnar junction.

14 Here is normal columnar mucous epithelium  
15 of the endocervix and here is the adenocarcinoma in  
16 situ, and here the cells are similar to those that we  
17 saw in the cytology. Glands are crowded together, but  
18 there is no invasion. This is a typical  
19 adenocarcinoma in situ of the endocervix.

20 Now, when one compares the WHO terminology  
21 for histopathology, and the Bethesda system  
22 terminology for cytology, there is correlation in the  
23 sense that a mild dysplasia or CIN-1 is LSIL, and then  
24 CIN-2 and CIN-3 are all HSIL.

25 So there is maybe of use when one compares

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 these terminologies. So when one looks for  
2 correlation, you would like to have an LSIL pap smear  
3 reflect a CIN-1 lesion on biopsy, for example.

4 Now, there are lots of reasons for lack of  
5 correlation between cytology and colposcopy, and this  
6 is really a partial list. Most of the problems in my  
7 opinion are related to a sampling, and either the  
8 biopsy site is not good, or the cytology sample is not  
9 what it might be, but this is of ongoing interest to  
10 cytopathologists and pathologists interested in  
11 disease of the cervix, to achieve the best possible  
12 diagnosis, both cytologically and by biopsy based on  
13 proper collection of the sample, and processing of the  
14 sample, and interpretation.

15 Now, let's look at some of these Bethesda  
16 classifications in cytology and in association with  
17 CIN. ASCUS is the atypical squamous cells, and  
18 average frequency the College of American Pathologist  
19 reports across the United States frequency is about  
20 4.4 percent of all Pap tests.

21 Associated CIN-2/3 in these patients when  
22 one does colposcopy and biopsy, between 5 to 17  
23 percent, and the association of ASCUS with cervical  
24 carcinoma, somewhere around a tenth to 2/10ths of one  
25 percent.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Now, the sensitivity of a single pap test  
2 for the detection of CIN-2/3 is probably not  
3 especially good. Somewhere around .67 to .76, and  
4 there is a number of studies that have looked at this,  
5 including studies from the National Cancer Institute.

6 Now, if you look at the ALTS trial and  
7 ASCUS cytology, looking at a review of the cytology  
8 findings, where there was concurrence by the quality  
9 assurance committee of 55 percent, and upgraded to  
10 LSIL, 11 percent; and upgraded to HSIL, 3 percent.

11 So about 14 percent then were considered  
12 SIL rather than ASCUS, but downgraded to negative, 31  
13 percent, as compared to the peripheral centers, and  
14 this correlated pretty well with the HPV testing that  
15 was done in this subset also.

16 I think there is an important point in the  
17 Bethesda system. There is a discussion about  
18 ancillary testing for ASCUS and these are the high  
19 risk subsets that have been reported, and high risk  
20 testing does tend to focus on the 13 high risk  
21 subsites, and probably most importantly the 16, 18,  
22 45, and 56 being the ones that are the most prevalent  
23 and associated with carcinoma.

24 Now, HPV testing has been looked at as  
25 adjunct to cervical cytology and cancer cervical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 screening, and it has great promise as far as  
2 sensitivity goes. This is comparing HPV testing  
3 sensitivity with cervical cytology, accepting ASCUS or  
4 higher as the threshold for action, and specificity is  
5 here, but referral to a colposcopy is also included.

6 So this is pretty good evidence of long  
7 sensitivity to HPV testing, and this is some  
8 independent work and not NCI-based data looking, and  
9 looking at HPV positive.

10 And one thing to point out is that LSIL  
11 has a high frequency of HPV positivity in most  
12 studies, 69 percent or higher, and 85 percent in some  
13 series. Also, high grade SIL has high HPV frequency.

14 So the use of HPV testing is not  
15 recommended in adjuncts as far as LSIL or HSIL in the  
16 initial evaluation process. Normal patients here, 30  
17 percent, were referred to as HPV positive.

18 Now, comparing a number of studies, and  
19 this is some work that -- this is a partial listing  
20 from work that Tom Wright and myself, and Tom Cox, and  
21 Leo Twiggs, are working on as a report. This will be  
22 the cytology report from the 2001 consensus conference  
23 sponsored by the American Society for the Study of  
24 Colposcopy.

25 And this work is still basically in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 present embargo because this work has been submitted,  
2 but I will share this with you; that the sensitivity  
3 management of ASCUS, the sensitivity of HPV DNA  
4 testing in these four studies cited is excellent.

5 And when one compares it to cytology, it  
6 is certainly comparable, and in some cases better than  
7 a repeat cytology. So that the HPV testing I think  
8 has earned a place in the clinical management in  
9 dealing with patients with ASCUS.

10 Now, this is work again from the NCI ALTS  
11 study, and again looking at the HPV predictive value,  
12 and negative predictive value, and I think the most  
13 important thing here is this high value for the  
14 negative predictive value of hybrid capture testing in  
15 this study mode.

16 And this is both for CIN-3, as well as  
17 CIN-2, and the negative protective value was very high  
18 with HPV testing. The positive predictive value is  
19 relatively low. Now, ASCUS cannot exclude high grade  
20 SIL, or ASCUS-H.

21 In this case, the association with CIN-2  
22 or 3, if you look at ASCUS overall, it is about 5 to  
23 17 percent. But if you look at ASCUS to favor a high  
24 grade lesion or cannot exclude a high grade lesion,  
25 the association with CIN-2/3 is 24 to 94 percent.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And as a result, in this ASCUS favor high  
2                   grade, there does not appear to be a use for HPV  
3                   testing. Rather, a referral directly to a colposcopy  
4                   is a prudent act as far as clinical management in that  
5                   setting.

6                   Now, how is the use of HPV triage for the  
7                   ASCUS U.S. pap test. This is a model that has been  
8                   discussed in a number of papers. Basically, a patient  
9                   has an ASCUS U.S. pap test result, and what is the  
10                  next step.

11                  One strategy would be to do HPV testing,  
12                  and if the HPV test shows a high risk HPV type, the  
13                  patient then could be referred to a colposcopy and  
14                  evaluation; or return for repeat cytology, with  
15                  follow-up on a regular basis, with the understanding  
16                  that if a pap is again ASCUS or more severe, that  
17                  colposcopy would occur.

18                  The other strategy if the patient is HPV  
19                  negative would be then just to return the patient to  
20                  return paps smear testing in 12 months. So, HPV  
21                  testing could be used in that method to reduce the  
22                  reduced colposcopy and evaluation of that type.

23                  Now, looking at management of ASCUS, U.S.  
24                  acceptable options could be follow the patient with  
25                  repeat cytology in 6 and 12 months; if ASCUS or more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 severe lesion, refer to a colposcopy.

2 And the next option would be to perform  
3 HPV DNA testing for risk types. If the patient is  
4 negative, return to screening. If positive, repeat  
5 the cervical cytology in 6 to 12 months; and if more  
6 severe, then refer to colposcopy. If ASCUS or more  
7 severe, refer to colposcopy.

8 And there may be a use here for HPV  
9 testing and this will be discussed in the consensus  
10 conference, but it looks like these patients, if they  
11 are persistent HPV added at 12 months, that then one  
12 needs to pursue them as Dr. Schiffman discussed  
13 earlier today.

14 LSIL frequency in association with CIN.  
15 The mean frequency of LSIL across the U.S. is about  
16 1.6 percent of all the pap tests that are done.  
17 Associated CIN or CIN-2/3 is around 15 to 30 percent.  
18 And as I said, many people are of the opinion that  
19 these are probably not new CIN-3s that have evolved  
20 from the CIN-1.

21 They rather represent a subset of CIN-2/3  
22 lesions that in fact did not shed enough cells, or  
23 were not interpreted as CIN-2/3 on the initial  
24 evaluation.

25 LSIL associated with cervical carcinoma is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 quite low, under .1 percent. Now, follow-up  
2 observations versus therapy, about 70 percent in a  
3 study here by a large study by the University of  
4 Florida, and 70 percent of our patients with LSIL pap  
5 had colposcopic follow-up and were found to have a  
6 lesion.

7 And 47 percent of these had CIN-1, and  
8 28.4 percent had CIN-2/3. So it has generally been  
9 our practice at the University of Florida to follow  
10 patients with colposcopy that have LSIL paps, and I  
11 think that is pretty much a rule in the United States  
12 in most settings.

13 So the options then would be to refer  
14 directly to colposcopy, recognizing that these  
15 patients often will have something. If the biopsies  
16 fail to identify CIN, then one has the option to  
17 follow with cytology at 6 and 12 months, or to refer  
18 to a colposcopy at that point if the pap is ASCUS U.S.  
19 or more severe.

20 Another option would be to follow with a  
21 pap in 6 and 12 months, with referral in special  
22 circumstances, such as pregnant women, or adolescents,  
23 or patients where a colposcopy may not be necessarily  
24 needed in certain situations.

25 Now, HSIL frequency in association with



1 CIN. The mean frequency of HSIL and cervical cytology  
2 in the U.S. is about 0.45 percent, and associated with  
3 CIN-2/3 is fairly high. It is about 70 to 75 percent  
4 in most laboratories.

5 HSIL associated with cervical carcinoma is  
6 about 1 to 2 percent, and that is on the initial  
7 evaluation. So this is a very productive group of  
8 patients to pursue as far as finding significant  
9 disease, and finding invasive carcinoma.

10 Now, with HSIL recommendations are to  
11 refer directly to colposcopy, and if lesions are  
12 identified, then biopsies and appropriate endocervical  
13 evaluation, and so forth.

14 However, if colposcopy and biopsies fail to  
15 identify CIN, then the recommendation is to review the  
16 original cytology, biopsies and colposcopy findings to  
17 figure out what exactly was identified.

18 If the review confirms HSIL, then a  
19 diagnostic excisional procedure, such as an electro-  
20 loop excision of the transformation zone is  
21 recommended in non-pregnant patients.

22 And as I said, this is a situation where  
23 there could be a significant lesion and some of these  
24 HSIL lesions are in fact quite small, and difficult to  
25 identify.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Now, HPV results by hybrid capture looking  
2 at the various subsets, and this is NCI data again,  
3 that you will notice that the ASCUS, in about half of  
4 the cases, 47 percent, were negative, and 48.9 percent  
5 positive.

6 In LSIL, a very high frequency of HPV  
7 testing, and NIH's ALT trial data has also shown that  
8 HPV testing in the front end of evaluation of patients  
9 with LSIL or HSIL is not of value in the assessment of  
10 a lesion.

11 Now, high risk HPV detection, we know that  
12 using the standard methods that are used, in the study  
13 from Dr. Wright, that women with CIN-2/3 disease, HPV  
14 will be detected in about 83.9 percent, but probably  
15 most of those lesions are HPV positive if one could  
16 study them in other ways.

17 Women with no disease though, about 15  
18 percent, have HPV detected in many settings. Now,  
19 what is the risk of high grade CIN in relation to time  
20 since first exposure to HPV 16. This is very  
21 difficult information to try to come about.

22 Dr. Laura Koutsky has got some data, where  
23 she had some patients that actually presented within  
24 24 months of exposure. This is Dr. Woodman's data  
25 showing that there were some that presented as early

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 as 6 months, and some at 12 to 18 months, and even a  
2 few presenting beyond 18 months.

3 But you can see that the majority of the  
4 patients did present within 6 to 18 months for certain  
5 as far if they were to develop a lesion. Now, pap  
6 test results preceding the identification of women  
7 with CIN-2/3, and I think it is interesting that when  
8 one is looking for CIN-2/3, if that is what we  
9 specifically need to find, which I think is very  
10 important, to be able to identify.

11 And about 31 percent in fact of the total  
12 cases are recognized initially on paps smear, or  
13 related to the paps smear test. LSIL is about 15 to  
14 30 percent of LSIL paps harbor high grade or harbor  
15 CIN-2/3 lesions.

16 Interestingly, of the atypical glandular  
17 cell group, around 30 to 40 percent of those patients  
18 harbor CIN-2/3, and with the CIN-2/3 presenting  
19 cytologically as an atypical glandular cell fissure.

20 And among the atypical squamous cell  
21 category, or ASCUS category, about 10 percent of those  
22 patients will have high grade lesions in the general  
23 setting.

24 So when we look for high grade lesion, or  
25 if we are trying to find CIN-2/3, we need to look at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 all four of these subsets of cytologic findings if we  
2 are going to find all the patients that in fact have  
3 CIN-2/3.

4 Now, let's look at the atypical glandular  
5 cell issue, and the frequency of association with CIN,  
6 and adenocarcinoma in situ are in the mutual  
7 adenocarcinoma. The mean frequency is quite low,  
8 about 0.3 percent.

9 Depending on the study that you look at,  
10 the frequency of CIN-1, 2, or 3 is between 9 to 54  
11 percent in this group. So even though the cytology  
12 findings imply glandular abnormality, many of these  
13 patients in fact have a squamous abnormality.

14 Adenocarcinoma in situ is a fairly  
15 important lesion, up to 8 percent in some series, and  
16 with atypical glandular cells with associated  
17 carcinoma, 1 to 9 percent. And among those carcinomas  
18 are included endometrial endocarcinomas, and not just  
19 any cervical endocarcinomas.

20 Now, atypical glandular cells associated  
21 with CIN-2 or 3, atypical glandular cells not  
22 otherwise specified, CIN-2/3 detected was between 9 to  
23 41 percent, given the given series.

24 And atypical glandular cells favor  
25 neoplasia, which is another subcategory in the new

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Bethesda system, CIN-2/3 detected 27 to 96 percent.  
2 So atypical glandular cells are a very important  
3 observation and if a pathologist favors neoplasia in  
4 the interpretation, there is a very good possibility  
5 that there is either a glandular or squamous lesion in  
6 that particular patient.

7 I would point out that cervical  
8 adenocarcinomas are also associated with 16 and 18,  
9 and this is just one study looking at a series of 38  
10 cases, and 60.5 percent HPV detected, and 16 detected  
11 in 23 percent, and 18, 26 percent, and in the patients  
12 that were 59 years of age or younger, 84.6 percent had  
13 detectable HPV in their adenocarcinoma.

14 So, adenocervical adenocarcinoma and  
15 adenocarcinoma in situ is one other neoplasia lesion  
16 of the cervix related to and associated with a human  
17 papilloma virus, especially 16 and 18.

18 Now, management of atypical glandular  
19 cells, this is a somewhat complex issue. We know that  
20 we need to do colposcopy because there is a lot of  
21 high grade or HSIL CIN lesions among those looking  
22 like glandular lesions.

23 It should include an evaluation of the  
24 adenocervix, and in symptomatic women, and women over  
25 35 years of age, the ASCCP consensus conference, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 concept was that adenometrial samplings should also be  
2 performed, and I think other papers have supported  
3 that very well.

4 Now, a diagnostic cervical cone biopsy may  
5 be needed in these patients and if that is the  
6 situation where glandular lesion or a lesion cannot be  
7 identified in the face of atypical glandular cells,  
8 these patients need to have an expert clinical opinion  
9 and an experienced clinician evaluate them because of  
10 the risk of associated glandular or CIN-3 associated  
11 lesion.

12 Now, natural history issues are difficult  
13 to fully understand, and I think it is confused a bit  
14 because of sampling issues and other matters. But  
15 this is just a literature analysis that Dr. Andrew  
16 Ostor did. He is quite good at these sort of things.

17 And he did look at CIN-1, 2 and 3, and in  
18 his analysis of a little over 4,500 cases, looking at  
19 17 studies, ranging from 12 to 1,269 cases, 57 percent  
20 regressed; and 32 percent persisted; and 11 percent  
21 progressed to a higher grade CIN or CIS.

22 And looking at the CIN-2 lesions, their  
23 history based on the historical review of these  
24 papers, regression, 43 percent, persistence, 35  
25 percent, and progression to carcinoma in situ, CIN-3,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was 22 percent in that subset.

2 And looking at the CIS or CIN-3 subset,  
3 including severe dysplasia, he had a total range of  
4 studies from -- 21 studies, ranging from 5 to 109  
5 patients in the study, and 32 regressed or 30 percent  
6 regressed; and 56 percent persisted, and 12 percent  
7 progressed to invasion.

8 Now, I must say that I think that when one  
9 looks at some of these studies, it is really hard to  
10 understand regression in CIN-3, and I think that maybe  
11 there is need of some further discussion about that,  
12 but I think there is reason to seriously question if  
13 a true carcinoma in situ CIN-3 lesion will ever  
14 regress. So I think there are some issues that need  
15 to be looked at there.

16 Overall then looking at persistence at  
17 CIN-1, CIN-2, and CIN-3, progression to higher grade  
18 CIN, low in CIN-1, 22 percent CIN-2; and progression  
19 to invasion, the studies would support that CIN-3 is  
20 the biggest issue, but some CIN-2 lesions are also  
21 reported.

22 They are rare in CIN-1, and this may in  
23 fact represent sampling issues that we don't fully  
24 understand. Now, when one looks at the cytology  
25 issues, and this is in studies done by Dr. Melnikow,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 looking at the -- this is a meta analysis looking at  
2 regression in cytology issues, and looking at the  
3 range, ASCUS, you can see the fairly common regression  
4 of ASCUS cytology, and low grade SIL cytology  
5 regression from 40 to 60 percent roughly.

6 ASCUS with low grade is similar, and then  
7 high grade SIL, some regression here, 20 to a little  
8 over 50 percent in cytology regression. But of course  
9 cytology regression, one is always facing issues of  
10 sampling also.

11 Progression, ASCUS, about a little over 10  
12 percent progression, and this is interesting comparing  
13 six months follow-up to 24 months follow-up. So you  
14 had 6 months follow-up fairly low, but there are some  
15 cases here having progression in the ASCUS subset; and  
16 in low grade lesions, the range is quite variable.

17 You can see from about 7 percent and all  
18 the way up to almost 35 percent of progression in  
19 these low grade subset of cytology. We would expect  
20 in any low grade subset that we would see about 15  
21 percent with CIN-2/3 eventually being manifest.

22 And then in looking at high grade cases,  
23 there was some recognition of regression by cytology  
24 in these cases also, or in progression, and you can  
25 see the progression rate here again, the 3 month

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 progression rate versus 24 months, and there is quite  
2 a significant difference.

3 And then finally invasive carcinoma, which  
4 is a fairly typical marker, you are looking at ASCUS,  
5 and this is 6 months and 24 months follow-up, and you  
6 can see some invasive carcinoma cases are found in the  
7 ASCUS subset or cytology, and basic carcinoma  
8 infrequent finding in low grade lesions, .5 percent  
9 here.

10 ASCUS low grade SIL, again low, and high  
11 grade SIL is up to 4 percent here, and one of the high  
12 rates in this subset. Now, management of CIN-1, some  
13 of the issues that we need to consider and these have  
14 been summarized by Dr. Howard Jones very nicely in  
15 this study, basic cancer may already exist in the case  
16 of CIN-1.

17 We know that CIN-2 or 3 can exist in some  
18 cases; and invasive cancer develops between follow-up  
19 visits; or patients are lost to follow-up and develops  
20 invasive cancer.

21 And so we choose to follow CIN-1 lesions,  
22 and we have to recognize that situation. Here is a  
23 study from Dr. Shafi, from the British Journal of OB-  
24 GYN, looking at a LSIL atypious situation, and  
25 immediate LLETZ for 24 months.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And of those that had immediate LLETZ, he  
2                   found CIN-2/3 in 23 percent, and what is interesting  
3                   is that those that he followed for 24 months and then  
4                   evaluated, he had 24 percent.

5                   And this observation of CIN-3 in these  
6                   follow-up studies has also been supported in the ALTS  
7                   trial, where you see the CIN-2/3 subset emerging from  
8                   cases initially interpreted as CIN-1. So this  
9                   probably represents a small subset, maybe a quarter of  
10                  those cases where we don't recognize the CIN-3 lesion  
11                  or CIN-2 lesion in the face of a apparent CIN-1 case.

12                  Now, these are some ACOG committee  
13                  opinions that have been stated and published, and  
14                  there are authors who have contributed, such as Drs.  
15                  Gold and Ferris, for example.

16                  But here is some observational follow-up  
17                  of CIN-1 that has been recommended to clinicians, and  
18                  if the patient has a follow-up paps smear that is  
19                  within normal or benign cellular changes, repeat  
20                  follow-up at 4 to 6 month intervals should continue.

21                  If the smears remain normal, or benign, a  
22                  patient may return to annual screening after four  
23                  consecutive normal or unremarkable pap tests. On the  
24                  other hand, if any of these return as ASCUS or LSIL,  
25                  or HSIL, the patient should then be referred to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 colposcopy, with directed biopsies and this is very  
2 important in the evaluation of these patients,  
3 recognizing the possibility of underlying CIN-2/3 or  
4 invasive tumor.

5 Now, the treatment or decision on such  
6 patients is dependent upon pathologic findings.  
7 Patients that have CIN-2/3 require appropriate  
8 treatment for cervical endometrial neoplasia.  
9 However, patients with CIN-1 may have observational  
10 follow-up if that is acceptable to the physician and  
11 the patient.

12 Treatment versus observation. Grossly  
13 visible lesions of the cervix require a biopsy and  
14 this is very important. Cytology can miss even if we  
15 see a lesion. Grossly visible CIN-2 or 3 lesions may  
16 be associated with invasive carcinoma, and usually a  
17 micro invasion or rarely adenocarcinoma in situ or  
18 invasive adenocarcinoma.

19 And importantly the approach using  
20 electro-loop excision of any visible lesion is  
21 generally not recommended due to common treatment of  
22 non-CIN lesions over treatment basically, but biopsy  
23 of course is necessary for appropriate diagnosis.

24 Outcomes. Systemic review of controlled  
25 and randomized trials in connection with CIN-1, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CIN-2, and outcomes as far as recurrence of CIN-1 or  
2 occurrence of CIN or non-recurrence of CIN between  
3 cone biopsy, cryotherapy, laser ablation, electro-loop  
4 excision, demonstrated no substantial differences in  
5 outcome as described by Dr. Norvelle in a meta-analysis  
6 of treatment outcomes.

7           Methods of treatment. These are the most  
8 common used in the United States. I would say that  
9 electro-loop excision is probably the most common  
10 right now used for low grade lesions that are treated  
11 in the outpatient setting.

12           Laser ablation is of less common use, and  
13 cryosurgery is still used for low grade lesions in  
14 some settings. You can see that depending on the  
15 method of treatment the complication rate related to  
16 hemorrhage is highly variable, but electro-loop  
17 excision is generally well tolerated in most settings.

18           Residual CIN after loop excision is an  
19 issue, and if margins are involved, or if the ECC  
20 contains CIN, there can be persistent disease in as  
21 many as 48 to 59 percent of the cases, even though they  
22 undergo LEEP incisions.

23           So doing electro-loop excision is not the  
24 whole answer. We have to recognize that in the  
25 management of CIN lesions that ablative therapy is not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 recommended for squamo-columnar injunction when limits  
2 of lesions are not identified.

3 A negative ECC prior to ablative therapy  
4 is suggested by most experts. ECC at the time of loop  
5 excision may indicate an increased risk of residual  
6 disease, but may not influence post-LEEP management,  
7 and a CIN-3 approach for low-grade lesions tends to  
8 result in excessive types of surgery and results in  
9 negative histology.

10 And with that, I will stop, and we will  
11 open it up for questions. Thank you.

12 CHAIRMAN DAUM: Thank you very much, Dr.  
13 Wilkinson. What I would like to do again is ask the  
14 committee members for questions of clarifying data or  
15 issues directly related to the factual content of Dr.  
16 Wilkinson's presentation.

17 Well, I have one that I would like to ask  
18 actually. Can you put in perspective maybe in just a  
19 few clarifying comments, and I know it was all in that  
20 talk, but the difference between CIN-2 and 3?

21 I have seen data today where they are  
22 lumped, and data today where they are separated, and  
23 could you just comment on that?

24 DR. WILKINSON: Well, the WHO has defined  
25 this as a CIN-2 lesion, and the abnormalities may

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 extend up to the two-thirds edge of the epithelium.  
2 If you would grade the epithelium as one-third, two-  
3 thirds, and top, and so a CIN-2 lesion could be called  
4 -- the adenoepithelial cell abnormalities could extend  
5 all the way to the two-thirds edge, but not beyond  
6 that.

7 With the CIN-3 lesion, the abnormalities,  
8 the cellular abnormalities would extend beyond that.  
9 Now, this is sometimes a bit arbitrary, because you  
10 have cordocytotic changes and other features, but I  
11 think for most pathologists the grading of CIN-2 and  
12 CIN-3 is fairly consistent.

13 In fact, the higher the grade of the CIN,  
14 the more reliable the grading becomes.

15 CHAIRMAN DAUM: So in your mind, most of  
16 the time you are a splitter, and that there are two  
17 distinct pathologic entities here?

18 DR. WILKINSON: Yes. I think most --  
19 there is a trend among pathologists to try to lump  
20 them together, and I think that -- for example, most  
21 pathologists would prefer not to call carcinoma in  
22 situ because it has certain implications, but there  
23 are cases that clearly fit the definition of carcinoma  
24 in situ as I showed you here.

25 We have full thickness change without any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 surface maturation. But I think in general that is in  
2 a poll across the United States, but I think that most  
3 pathologists still separate out CIN-1, 2, and 3.

4 CHAIRMAN DAUM: I have one more question  
5 actually, and that is that official bodies that  
6 impinge or set a standard if you will for clinical  
7 practice, and I am trying to choose my words carefully  
8 -- like ACOG, for example.

9 DR. WILKINSON: American College of OB-  
10 GYN, yes.

11 CHAIRMAN DAUM: I gather from your  
12 comments that they have now established clinical  
13 definitions of persistence of HPV infection, and if  
14 that is the case, can you just say exactly what they  
15 are?

16 DR. WILKINSON: I am not certain. The  
17 American College of OB-GYN has done that. Dr. Zinberg  
18 and his committee, clinical practice committee, have  
19 been looking at that very carefully, and also looking  
20 at the issues of HPV testing, and its applications.

21 And I think they are still considering  
22 that situation very carefully, but I don't think they  
23 have made a formal statement that I am aware of about  
24 what the definition of persistent HPV is.

25 CHAIRMAN DAUM: Are they about to or do

1 you know?

2 DR. WILKINSON: I think they are very  
3 interested in it, the clinical practice committee is,  
4 and you could probably contact Dr. Zinberg and he  
5 would be glad to discuss -- Dr. Stanley Zinberg at the  
6 ACOG, and I am sure that he would be glad to discuss  
7 that with you.

8 CHAIRMAN DAUM: Well, it may have an  
9 impact on some of the things that we are deliberating,  
10 and that's why I am sort of curious to hear your  
11 opinion.

12 DR. SNIDER: If I could just follow up on  
13 the first question you asked. I understand that from  
14 a particular specimen that there are -- that there  
15 would be a reasonable expectation that you could  
16 differentiate CIN-2 from CIN-3.

17 I wonder though how that really represents  
18 what is going on in the patient, because you showed  
19 us, for example, a patient who had invasive cancer,  
20 but then at another site had -- I guess it would be  
21 CIN-3.

22 DR. WILKINSON: CIN-3, right.

23 DR. SNIDER: So would the same kind of  
24 thing be expected to occur in a fairly high proportion  
25 of patients, where at one site you might get CIN-2,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 and at another CIN-3?

2 DR. WILKINSON: I think that may occur,  
3 and as a matter of fact, the lesions that occur closer  
4 to the squamo-columnar junction tend to be the higher  
5 grade lesions.

6 And skilled colposcopists have learned to  
7 identify these more significant lesions in the field  
8 of CIN on colposcopy findings. But we are as  
9 pathologists extremely dependent upon the clinician's  
10 ability to biopsy a specific area or areas, and that  
11 is one of the potential variables in the correlation  
12 between cytology and biopsy findings.

13 And not only cytologic sampling, but also  
14 biopsy sampling. But this is true in all fields of  
15 biopsy as you know, and I think that has been one of  
16 the issues with the interest in the electro-loop  
17 excision device, because with that they can excise the  
18 entire transformation zone, and not have to deal with  
19 that problem.

20 The trouble is that the poor patient ends  
21 up with a lot of cervix being removed that doesn't  
22 need to be removed. But that is a very important  
23 issue; accurate biopsies or multi-biopsies.

24 And I would just add that some physicians  
25 are under the misunderstanding that a patient is

1 charged for every biopsy separately, but by pathology  
2 standards of billing, if the biopsies are all placed  
3 in the same container, because it is a contiguous  
4 site, it is only one bill.

5 But if they make an effort to clearly  
6 define different sites, there will be a different bill  
7 for every site.

8 CHAIRMAN DAUM: Are there other committee  
9 questions of Dr. Wilkinson? Okay. The next item that  
10 I guess -- and thank you very much, Dr. Wilkinson.  
11 That was very helpful. Dr. Goldenthal, do you want to  
12 say some words now to us about -- you are on the  
13 program here for reintroduction of questions.

14 DR. GOLDENTHAL: Well, this is when I was  
15 going to give a 30 minute presentation on endpoints.

16 CHAIRMAN DAUM: That would be wonderful.  
17 Would you now do that. Thank you. I couldn't tell  
18 for sure.

19 (Brief Pause.)

20 CHAIRMAN DAUM: I am going to yield from  
21 dissent from the committee here. Dr. Goldenthal needs  
22 a few minutes to set up, and I apologize for this. We  
23 will take a short break. We will break until 3:30,  
24 and then Dr. Goldenthal will begin her presentation at  
25 3:30.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Whereupon, the conference was recessed at  
2 3:20 p.m., and resumed at 3:32 p.m.)

3 CHAIRMAN DAUM: Could we have everybody  
4 settle down and take their seats, please. During the  
5 break people have come up to me to ask about this  
6 business of ACOG's view of persistent infection, and  
7 I have tried to persuade some of them to say a word in  
8 public here, and so I will call on Dr. O'Connor  
9 briefly, and then Dr. Freedman to say one or two  
10 sentences about clarifying the question that I asked.  
11 Dr. O'Connor.

12 DR. O'CONNOR: One or two sentences, and  
13 the best thing that I can say is guidelines are  
14 forthcoming. I am not purporting to speak as a  
15 spokesperson for ACOG, but much of what has been  
16 presented here is information that has happened very  
17 recently.

18 You are talking about just within the past  
19 year, and changes in the cytology reporting with the  
20 Bethesda system, and then the American Society for  
21 Colposcopy and Cervical Pathology Conference in  
22 September, all of these things are to be published,  
23 and you can expect from that that parent societies  
24 such as ACOG, and the American Academy of Family  
25 Practice, will come out with endorsements, guidelines

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that would reflect the information that is presently  
2 to be published.

3 CHAIRMAN DAUM: Thank you very much. Dr.  
4 Freedman.

5 DR. FREEDMAN: The only thing that I can  
6 add is that it is obvious when you see the data that  
7 the lack of standardization of approaches to some of  
8 these lesions, like ASCUS and CIN, really is demanded  
9 some type of standardization.

10 And that is what is forthcoming, and I  
11 think it should be helpful at least in perhaps having  
12 fewer people undergo a procedure that they don't  
13 really need.

14 CHAIRMAN DAUM: Okay. Thank you very  
15 much, both of you, for your clarification, and we will  
16 try one more time to get Dr. Goldenthal's talk under  
17 way. Dr. Goldenthal.

18 DR. GOLDENTHAL: Thank you. I would like  
19 to take this opportunity to give you FDA's perspective  
20 on HPV preventive vaccine endpoints. But first I  
21 would like to acknowledge some FDA staff who have  
22 served as clinical primary reviewers on the various  
23 HPV vaccine files, as well as staff who assisted in  
24 the preparation of the two briefing documents.

25 Worldwide, cervical cancer is the third

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 most common cause of cancer in women. In developing  
2 countries, it is the second most common cause, and in  
3 developed countries, it is the sixth most common cause  
4 of cancer in women.

5 Worldwide, there are an estimated 400,000  
6 to 500,000 new cases per year, and of interest is that  
7 there has been a disturbing trend in one developed  
8 country, which is where an increase in actually  
9 instances of cervical cancer has been observed for  
10 women under 55 years of age.

11 And worldwide for cervical cancer there  
12 are approximately 190,000 deaths per year, and 78  
13 percent of which occur in developing countries. Now,  
14 looking at it from the U.S. perspective, in the 1930s,  
15 cervical cancer was the most common cause of cancer  
16 deaths in U.S. women.

17 Now, the incidents of mortality rates for  
18 cervical cancer declined dramatically following paps  
19 screening and intervention, and for 2001,  
20 approximately 12,900 new cases of cervical cancer, and  
21 approximately 4,400 deaths due to cervical cancer have  
22 been projected for the U.S.

23 A woman's lifetime risk of developing  
24 cervical cancer in the U.S. is currently estimated to  
25 be 0.85 percent; and the risk of dying from this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 disease has been estimated at 0.3 percent.

2 This slide depicts the histological  
3 precursor lesions of carcinoma of the cervix, and on  
4 the left side you can see the normal epithelium, with  
5 orderly maturation.

6 And as you proceed over to the right, you  
7 find increasing progression of the abnormalities, and  
8 going from CIN-1 to CIN-3, and ultimately over to  
9 severe dysplasia, and then when a full thickness of  
10 epithelium is involved, carcinoma in situ.

11 Now, just briefly, I wanted to show you  
12 one interesting example where squamos carcinoma in  
13 situ, where normal epithelium on the right is just as  
14 posed to a full thickness or carcinoma in situ on the  
15 left.

16 And of course this case is carcinoma in  
17 situ because the basement membrane is intact. This  
18 slide depicts the -- if you will, the pyramid of  
19 abnormal cervical findings in the U.S., with an ASCUS  
20 finding of approximately 2 million cases per year, and  
21 then carcinoma of the cervix is much less common, with  
22 approximately 12,900 cases per year.

23 I am not going to -- we have already  
24 covered the natural history, and I am not going to do  
25 that, and I did want to make a comment about a study,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 a worldwide survey of over a thousand invasive  
2 cervical cancers, where 93 percent were found to be  
3 HPV positive by PCR.

4 The five most common types found in the  
5 cancers were -- and I listed them on this slide, but  
6 the two most common were Types 16 and 18.  
7 Subsequently, the negative samples from the study were  
8 retested, and 97 percent were found to be HPV  
9 positive, with more sensitive primers.

10 If one look at the author to find adequate  
11 specimens for this retesting, then the overall rate of  
12 positivity was 99.7 percent. I wanted to make a few  
13 comments about the differences between squamos cell  
14 carcinoma and adenocarcinoma of the cervix.

15 As shown in these two studies, HPV type 16  
16 is more common in squamos cell carcinoma of the cervix  
17 than is Type HPV 18. Now, this slide shows  
18 adenocarcinoma of the cervix, and for adenocarcinoma  
19 in a variety of studies, HPV Type 18 is as common or  
20 more common than Type 16.

21 And this slide also illustrates another  
22 important distinction between squamos cell carcinoma  
23 and adenocarcinoma of the cervix. Specifically,  
24 cytology screening has had far less impact on the  
25 incidents of adenocarcinoma of the cervix, compared to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 squamos cell carcinoma of the cervix.

2 In the U.S. review of the SEER database  
3 has shown a clear decrease in the incidents of squamos  
4 cell carcinoma and invasive cervical cancer overall.  
5 However, these data have also shown an increase in the  
6 rate of adenocarcinoma of the cervix.

7 And interestingly a similar finding has  
8 been observed in six Scandinavian countries. I now  
9 wanted to cover to longitudinal studies that evaluated  
10 the relationship of specific types of HPV to the  
11 development of CIN-2 or 3.

12 In this first study, 241 women presenting  
13 for STD evaluation with negative cervical cytology  
14 were followed very frequently every 4 months, with an  
15 average follow-up of 25 months.

16 And for this follow-up, women had  
17 cytology, colposcopy, HPV DNA, as well as testing for  
18 STDs. And in this particular study it was found that  
19 if the subject was positive for HPV Type 16 or 18,  
20 there was an adjusted relative risk of 11 for  
21 developing CIN-2 or 3, compared to those without HPV.

22 This is another longitudinal study that  
23 was published more recently, and in this study a  
24 little over a thousand women with normal cytology and  
25 who were HPV negative at baseline were followed every

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE. N.W.  
WASHINGTON, D.C. 20005-3701



1 six months with paps smear and HPV DNA.

2 And the median follow-up in this study was  
3 26 months. If someone had abnormal cytology, or any  
4 abnormality cytology, they were referred to colposcopy  
5 and biopsy.

6 Now, in this study there was also found to  
7 be an adjusted relative risk of 8.5 for the  
8 development of CIN-2 or 3 if one was HPV Type 16  
9 positive at baseline, compared to those who were HPV  
10 negative.

11 Now, I want to go on to a specific  
12 discussion of various theoretical endpoints that one  
13 might consider for an HPV Types 16 and 18 vaccine.  
14 Virology is a potential endpoint, using either any  
15 incident infection, or persistent infection, with  
16 various definitions, and of course persistent here  
17 would also be an incident persistent infection.

18 LSIL cytology or worse with virology is  
19 another potential endpoint. CIN-1 histology,  
20 adenocarcinoma in situ, or worse with virology, is yet  
21 another potential endpoint.

22 And I throw in adenocarcinoma in situ  
23 because I think at least theoretically that you have  
24 got to consider glandular lesions in your endpoint,  
25 although I doubt that many, if any, will be observed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in most of the trials that we would see.

2 Another potential endpoint is CIN-2/3  
3 histology, adenocarcinoma in situ, or worse with  
4 virology; and finally invasive cervical cancer, with  
5 or without virology.

6 Now, looking at the virology endpoint, one  
7 of the advantages is definitely feasibility, and this  
8 could be conducted with a smaller trial, and there are  
9 certainly populations in many countries with  
10 sufficient incidents of HPV infection.

11 For example, here I give the rate of  
12 incident infections for Type 16. For example, in this  
13 one study there was a 10.5 percent cumulative  
14 incidence over 3 years, and in another study there was  
15 a 7 percent cumulative for two years, and so on and so  
16 forth.

17 One of the advantages of a virology  
18 endpoint is that HPV has been strongly associated with  
19 HSIL cytology, carcinoma in situ, and cervical cancer  
20 in a variety of studies, some of which are shown here.  
21 And certainly you would be able to make an assignment  
22 as to whether a case if you will was vaccine versus  
23 non-vaccine type.

24 You also might be able to determine an  
25 immune correlate of protection if you did the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 appropriate follow-up post-vaccination serology.  
2 However, there are some disadvantages. At least now  
3 HPV infection is not a clinical disease.

4 Most HPV infection resolves, and there  
5 would be important questions about the durability of  
6 protection, especially if one had let's say a trial  
7 for several years with virology, and one would wonder  
8 about the change in lifetime risk for HSIL, histology,  
9 or cancer.

10 Virology is not as approximal to cancer as  
11 other endpoints, and it is possible that one may want  
12 definitive high grade clinical endpoint data prior to  
13 extensive deployment of a new HPV vaccine.

14 Some other disadvantages are listed on  
15 this slide. There is uncertainty in the existence of  
16 or detection of latent infections in the cervix, and  
17 some of that could be questions about sampling, and  
18 you could have HPV theoretically in basal cells, and  
19 you might not detect it with a cervical sample.

20 And you may not have it, and there are  
21 questions about whether a vaccine might -- a vaccine-  
22 induced immune might make HPV DNA more difficult to  
23 detect, albeit that is a theoretical.

24 There is also uncertainty in  
25 distinguishing new HPV infection from reinfection,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           although this problem would tend to negatively bias  
2           efficacy estimates.

3                         And there is also questions about the  
4           appropriate definition for persistent infection, and  
5           in fact that it has not been clearly validated in  
6           prospective longitudinal studies if you will with  
7           incident infections, and that a particular definition  
8           has not been validated in that sense.

9                         It is also possible that the use of  
10          virology only may not allow the identification of  
11          unanticipated vaccine associated problems.     For  
12          example, if there was to be enhanced disease for some  
13          reason, you might not detect that in a trial designed  
14          to look at virology.

15                        Another disadvantage is depending on one's  
16          viewpoint is a smaller efficacy trial, because a  
17          smaller efficacy trial would provide less well  
18          controlled safety data, although that could be  
19          addressed with supplemental trials.

20                        Now, I wanted to move on to LSIL cytology,  
21          or worse.     Again, LSIL cytology would have the  
22          advantage of feasibility in a smaller trial, and  
23          certainly LSIL leads to many clinical workups in  
24          developed countries.

25                        Disadvantages are shown here.   There might

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be questions about clinical relevance, and LSIL  
2 cytology by itself does not represent a definitive  
3 diagnosis, and a particular one would need histologic  
4 diagnosis usually for therapy.

5 Another disadvantage, and again that it is  
6 not as approximal to cancer as other endpoints, and  
7 again one may want to have definitive high grade  
8 clinical endpoint data before extensive deployment of  
9 a new HPV vaccine.

10 And it may be easier to detect or identify  
11 unanticipated vaccine associated problems with a high  
12 grade disease endpoint, and I have already mentioned  
13 the small efficacy trial issue.

14 I am moving on to CIN-1 histology or worse  
15 with virology. I would make certain assumptions about  
16 a trial like this, and that is, first, virology would  
17 be used to classify the CIN-1 cases as vaccine type or  
18 not, just like they would have in the other endpoints.

19 However, one would need to pre-specify  
20 whether HPV testing on cervical samples, versus the  
21 histology, would be used to classify cases. Cases  
22 would be identified mostly from colposcopic workup of  
23 atypical squamos cells findings, and LSIL cytology.

24 Obviously, the plan or algorithm for  
25 colposcopy will effect the number of endpoints that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 one attains, and I do want to emphasize that one  
2 cannot use economics as the basis for U.S. licensure.  
3 It has got to be based on risk benefit.

4 Advantages of CIN-1 histology are worse  
5 with virology as an endpoint, and to include  
6 feasibility. Certainly there are populations in many  
7 countries with sufficient incident of CIN-1.

8 CIN-1 certainly would necessitate a  
9 definite workup, and so you would have a definitive  
10 diagnosis as your endpoint, and certainly there are  
11 data available on the natural history of CIN-1 from an  
12 initial diagnosis.

13 Now, CIN-1 histology or worse with  
14 virology has certain disadvantages, and at least half  
15 of CIN-1 resolves without therapy, and also it is not  
16 as approximal to cancer as other endpoints.

17 And one may want definitive high grade  
18 clinical endpoint data before extensive deployment of  
19 a new HPV vaccine. Again, we have mentioned that it  
20 may be easier to identify unanticipated vaccines  
21 associated problems with high grade disease endpoints.

22 Now, moving on to CIN-2/3 or worse with  
23 virology, I put some assumptions on this slide for  
24 such a trial, and again one would need to pre-specify  
25 whether it is HPV testing on cervical samples, versus

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on histology specimens, would be used to classify the  
2 cases.

3 Now, many, if not most, CIN-2/3 would be  
4 actually identified from colposcopic workup of ASC and  
5 LSIL paps smears; and of course the plan or algorithm  
6 for colposcopy would be critical to the number of  
7 endpoints, and probably even more critical here in a  
8 way than in the CIN-1 trial.

9 And I would assume that many, if not most,  
10 of the CIN-2/3 cases would be those found at the first  
11 workup of abnormal cytology because if there was CIN-1  
12 previously, and if it occurred, there might tend to be  
13 treatment.

14 Advantages of CIN-2/3 histology or worse  
15 with virology is that it is more approximal to  
16 cervical cancer, and it would provide a definite high  
17 grade clinical endpoint data before widespread public  
18 health use.

19 I think that there is good supporting  
20 natural history data, and it prevents lesions that  
21 clearly would need therapy by the U.S. standard of  
22 care.

23 With this endpoint, it may be easier to  
24 identify unanticipated vaccine associated problems,  
25 and I think you would be getting into a larger

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 efficacy trial, which would have if you will positive  
2 implications for your randomized safety database.

3 The disadvantages of CIN-2/3 as an  
4 endpoint are given on this slide, and one of them is  
5 feasibility. It may be that the subject numbers may  
6 not be that different from most vaccine efficacy  
7 trials. As many of you in the audience, we have  
8 vaccine efficacy trials that range from 10 to 40,000  
9 and that is not terribly uncommon.

10 However, the thing that is different here  
11 is that the type of follow-up per participant is  
12 likely to be more resource intensive than perhaps a  
13 typical preventive vaccine efficacy trial.

14 There certainly is uncertainty with regard  
15 to trial size and duration of the trial. There is  
16 really little natural history data to estimate a trial  
17 size if one looks for a longitudinal study and very  
18 frequent follow-ups, especially in women with a  
19 negative baseline HPV normal cytology.

20 I made a preliminary estimate from a trial  
21 that was very recently published by Dr. Woodman, and  
22 actually I have already requested some additional  
23 information from Dr. Woodman to further refine this.

24 And I believe so as I discovered is one of  
25 the sponsors, but I have estimated that for an HPV

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 16/18 vaccine that one would need 12,000 women in a  
2 trial, but this would only rule out the lower bound of  
3 zero.

4 If you wanted to rule out a lower bound  
5 that was higher, you would need to enroll more  
6 subjects. And this assumes a vaccine efficacy greater  
7 than or equal to 80 percent, which I think is quite  
8 conservative if we allowed a sponsor to start counting  
9 cases, and let's say after the primary immunization  
10 series.

11 It might even be that it would be  
12 reasonable to use 85 percent for that estimate. And  
13 it would take I would assume 3 years on trial, and at  
14 least 6 months for enrollment for a very roughly 3-1/2  
15 year trial.

16 But again I have requested some more  
17 information and perhaps we will have a revision of  
18 that estimate. Now, I wanted to move on to the  
19 example of cervical cancer with or without virology as  
20 an endpoint.

21 I don't know if anybody would actually  
22 propose doing that. There was one publication by a  
23 Scandinavian investigator, Dr. Lightman, who is  
24 actually looking at the possibility of cancer. He  
25 kind of had a trial that was -- it didn't enroll many

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 people, and it went on for like 15 or 20 years.

2 But I did want to mention though some of  
3 the assumptions that one might have if looking at a  
4 cervical cancer endpoint in a developed country  
5 setting.

6 I assumed that one would randomize  
7 subjects, and that there would need to be an  
8 infrastructure in place for routine follow-up and a  
9 method of capturing all diagnoses for an area.

10 And perhaps a cancer registry and death  
11 certificates, and actually in the Scandinavian  
12 countries there are comprehensive cancer registries in  
13 at least some of the countries that could fulfill  
14 that.

15 One critical decision would be HPV  
16 testing. I am assuming for all of the previous  
17 endpoints that I have mentioned that you would  
18 definitely know what your baseline status for HPV was,  
19 as well as do HPV testing during the trial.

20 Perhaps given the size of whatever for a  
21 cervical cancer trial, that may not be the case, but  
22 obviously the presence or absence of baseline testing  
23 before randomization, as well as cervical sample  
24 histology testing, would affect the efficacy  
25 assessment.

1           And of interest based on at least some  
2           data or some studies that I have looked at, I wouldn't  
3           be surprised if many or most of the cervical cancer  
4           cases were identified from colposcopic work of ASC,  
5           AGC, and LSIL.

6           Now, here is some advantages of cervical  
7           cancer as an endpoint. Clearly the major concern is  
8           cervical cancer. This would be viewed as very, very  
9           definitive data, and it may be easier to identify any  
10          unanticipated vaccine associated problems.

11          Another advantage of cervical cancer as an  
12          endpoint is that I thought it would give a better  
13          understanding of the impact of the vaccine on  
14          adenocarcinoma, which has been increasing as I  
15          mentioned in incidents.

16          And again you would have a larger efficacy  
17          trial, which would be good, although I suspect that  
18          with this sort of trial that you might not have  
19          detailed information on the participants.

20          The major disadvantage of cervical cancer  
21          in an endpoint is feasibility, and uncertainty with  
22          regard to trial size, duration, population selection,  
23          and there may be issues in looking at countries  
24          without screening program, and so on and so forth.

25          I did want to make a couple of other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 comments about endpoints for HPV vaccines. Obviously  
2 the protocol would need to specify the handling of  
3 mixed infections, especially vaccine versus non-  
4 vaccine types prospectively in the primary endpoint,  
5 and I believe that there would need to be evidence of  
6 overall benefit from all endpoints, including ones  
7 attributed to non-vaccine high risk HPV types, and are  
8 included in the analysis.

9 In other words, we may well -- and I  
10 suspect that we would allow a sponsor to use vaccine  
11 types of HPV for their primary analysis, and that  
12 would mean the non-vaccine types would not be included  
13 in the primary analysis.

14 However, again we would also like to see  
15 the impact on the disease as a whole. I wanted to  
16 briefly go over accelerated approvals since it has  
17 been raised several times today.

18 The accelerated approval regulations kind  
19 of apply to new biological products, and also to  
20 drugs, and they are intended for biological products  
21 for serious or life-threatening illnesses. And these  
22 should be products that provide meaningful therapeutic  
23 benefit to patients over existing treatments.

24 The FDA may grant marketing approval for  
25 a biological product on the basis of adequate and well

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 controlled clinical trials establishing that a  
2 biological product has an effect on a surrogate  
3 endpoint that is reasonably likely based on  
4 epidemiologic, therapeutic, pathophysiologic, or other  
5 evidence to predict clinical benefit from the basis of  
6 an effect on a clinical endpoint other than survival  
7 or irreversible morbidity.

8 That is a real mouthful I know, and it may  
9 take a minute or two to digest it, but that is the  
10 guidance from the regulation. And the purpose of the  
11 accelerated approval regulations is that they are  
12 intended to make available promising therapies while  
13 definitive, confirmatory efficacy trials or trial is  
14 being completed.

15 And the confirmatory post-marketing study  
16 is usually well underway at the time of accelerated  
17 approval. It is like the trial of the surrogate, the  
18 trial for the confirmatory endpoint must be adequate  
19 and well controlled, and it must be carried out with  
20 due diligence.

21 The original and current purpose of  
22 accelerated approval is to serve the best interests of  
23 the public, and I did want to note that presented  
24 vaccines have not been previously approved using  
25 accelerated approval regulations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So I did want to bring that to your  
2 attention. Most of the products that have been  
3 approved using accelerated approval regulations has  
4 been AIDS therapies and cancer therapies.

5           Now, in concluding, I would like to re-  
6 view the FDA questions for you to think about before  
7 our discussion in more detail tomorrow; and that is --  
8 and again I have got two questions.

9           One is please discuss and identify the  
10 most appropriate endpoints for traditional approval of  
11 HPV vaccines intended to prevent cervical cancer. And  
12 in particular please discuss the use of the following  
13 endpoints in clinical trials intended to demonstrate  
14 the efficacy of HPV vaccines for oncogenic types in  
15 the indications, such as prevention of HPV infection  
16 that these endpoints would support.

17           And listed here are incident HPV infection  
18 by oncogenic HPV types; persistent HPV infection by  
19 oncogenic HPV types; and regarding the persistent  
20 infection endpoint, we would also ask that you discuss  
21 the appropriate number of positive virologic results  
22 in the interval between such positive virologic  
23 results.

24           Again, another candidate endpoint is LSIL  
25 cytology, associated with oncogenic HPV types; CIN-1

1 associated with oncogenic HPV types; and CIN-2/3  
2 associated with oncogenic HPV types; and finally  
3 cervical cancer.

4 For the second question, please discuss  
5 the use of accelerated approval regulations for  
6 licensure of HPV vaccines for the prevention of  
7 cervical cancer. Specifically, please discuss and  
8 identify possible surrogate endpoints to support  
9 accelerated approval.

10 In particular, please consider the  
11 following endpoints. Incident HPV infection by  
12 oncogenic HPV types; persistent HPV infection by  
13 oncogenic HPV types; LSIL cytology associated with  
14 oncogenic HPV types; and CIN-1 histology associated  
15 with oncogenic HPV types.

16 And also in the context of accelerated  
17 approval, please discuss and identify possible  
18 endpoints for the confirmatory trial. Thank you very  
19 much.

20 CHAIRMAN DAUM: Thank you very much,  
21 Karen. I would like to see if there is some comment  
22 or discussion from committee members specifically  
23 regarding clarification of what Dr. Goldenthal has  
24 said. We will start with Dr. Snider.

25 DR. SNIDER: I just would like to have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 some clarification in the context based on  
2 conversations that we have had today, and I will try  
3 to be appropriately discreet about what information  
4 was presented in closed session or open session.

5 But my understanding is that we are being  
6 asked this question in the context of the deliberation  
7 by professional societies and guidelines making  
8 organizations which are considering the data available  
9 today.

10 It may or it appeared to be sometime in  
11 the near future coming out with some recommendations,  
12 and which may include recommendations for intervention  
13 in the context of persistent infection, and we don't  
14 at this time know exactly what those recommendations  
15 would be.

16 But that is the context in which we  
17 operate. We also are being asked to respond to these  
18 questions in the context of a large prospective study  
19 being analyzed, data from a large study be analyzed,  
20 which would be informative about optimal intervals to  
21 define persistent infections, and perhaps identify  
22 risk factors that indicate persistent infections might  
23 progress to cancer or to high grade lesions, CIN-2/3.

24 So we are being asked these questions in  
25 the context of there soon being some information that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 will impinge on our answers, but we don't yet have  
2 that information. Is that correct?

3 DR. GOLDENTHAL: Yes. Well, I mean, you  
4 are absolutely right. It is difficult --

5 DR. SNIDER: Well, it is a moving target,  
6 but these are some very significant limitations I  
7 think that are going to be difficult for us to deal  
8 with, and let me just express my frustration in that  
9 regard.

10 DR. GOLDENTHAL: And the different  
11 therapeutic approaches may differ from country to  
12 country, which could be complex for a multi-country  
13 trial.

14 CHAIRMAN DAUM: Dr. Fleming, and then Dr.  
15 Kohl.

16 DR. FLEMING: Dr. Goldenthal, a couple of  
17 questions. First, I am assuming as you have laid out  
18 these two questions for us, one on endpoints, that  
19 might be appropriate surrogate endpoints to use for  
20 traditional or full approval and those for accelerated  
21 approval, I am assuming that we have flexibility in  
22 proposing alternatives, or combinations of these, or  
23 variations of these?

24 DR. GOLDENTHAL: Absolutely. These  
25 questions, they are both in a please discuss mode, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think you do have flexibility.

2 DR. FLEMING: Okay. Moving along in that  
3 direction, a couple of more questions. This is -- and  
4 following kind of Dixie's spirit, this is a very  
5 significant challenge, partly because it is always  
6 complex to talk about what are valid surrogates, and  
7 generally the type of information you need to even  
8 begin to truly address this with insight is not only  
9 natural history of it, but it is what are the  
10 relationships between various markers and clinical  
11 outcomes, and natural history.

12 But also what are those relationships, in  
13 the context of people who are receiving intervention  
14 of interest, and essentially we lack that type of  
15 insight.

16 We are also lacking as Dixie was pointing  
17 out some of the insight even in natural history. When  
18 I look at your list, you have listed as a major  
19 disadvantage for CIN-2/3 in particular logistical  
20 practicality, and that it is going to be a study that  
21 is going to be too large, and it is somewhat difficult  
22 to really assess that.

23 DR. GOLDENTHAL: Well, I was more thinking  
24 in terms of resource intensiveness per participant,  
25 compared to other preventive vaccines. The final size

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 -- you know, again we have trials in the 10 to 40,000  
2 range, but this is a lot of follow-up on individuals  
3 in the trial, compared to many other trials.

4 DR. FLEMING: I understand. There are  
5 some very helpful sources of information in the  
6 literature. The Woodman article, for example, that  
7 you referred to, does give us a sense if you follow a  
8 cohort of uninfected individuals from time zero ahead,  
9 that we have something on the order of an accumulative  
10 risk of CIN-2/3 that might approach one percent by  
11 about 4 years follow-up.

12 You have also given some specific  
13 indications of lifetime risks, where for a thousand  
14 people lifetime risk of death due to cervical cancer  
15 might be three in a thousand, and maybe nine in a  
16 thousand would have a diagnosis of cervical cancer.

17 And you have also told us that the actual  
18 annual incidences of CIN-2/3 might be 20-fold annually  
19 higher than diagnosis of cancer. So clearly one is  
20 left with a sense that over time there is a very high  
21 cumulative risk of CIN-2/3.

22 One of the things that I am struggling  
23 with though is to get a sense of if that risk based on  
24 the Woodman data over 3 years to 4 years might  
25 approach 7/10s of a percent to one percent, is there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a sense as to whether that risk is essentially linear?

2 Will that increase at that rate? Let's  
3 say you are looking at a cohort of 16 to 23 year old  
4 women at randomization, and if their risk is .25  
5 percent a year for the first several years, is that  
6 likely to, if anything, go up a bit as those women  
7 approach their mid-20s and late-20s? Does anybody  
8 have a sense about that?

9 CHAIRMAN DAUM: Who would like to take on  
10 that question?

11 DR. GOLDENTHAL: It is interesting,  
12 because I would have predicted that it would -- that  
13 the increase would not be linear. That it would go up  
14 a lot. In other words, in year four of a trial might  
15 be more than year two of a trial.

16 And I think you will get some of that in  
17 a trial, but I have been a little bit surprised, at  
18 least in two of the longitudinal studies, how quickly  
19 HPV -- how quickly CIN-2/3 developed following HPV  
20 infection.

21 I think, for example, in the Woodman study  
22 that they mentioned that the median time from the  
23 first detection of HPV to diagnosis of CIN-2/3 was 26  
24 months. So I do think that your -- let's say your  
25 second, third and fourth year will probably be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 enriched compared to your first year, in terms of  
2 diagnoses.

3 But I don't know -- you know, assuming  
4 that the HPV infection rate or whatever stays high --  
5 and it certainly did seem to stay high in some of  
6 these cohorts. But that is a difficult question to  
7 answer. I think that there would be definitely value  
8 added from going from four years to three years, for  
9 example, in such a trial. Perhaps some of the  
10 sponsors can or others can address this better.

11 CHAIRMAN DAUM: If people have information  
12 about this very issue, we will entertain that. Dr.  
13 Felix, do you?

14 DR. FELIX: Well, there is natural  
15 occurrence of CIN-2/3 that is in an older age group.  
16 So, every subsequent year one would predict the  
17 frequency of that diagnosis to increase not -- and  
18 most of the studies that that has been shown in are  
19 not HPV -- do not examine virologic status.

20 The Woodman study perhaps, as well as the  
21 Koutsky study, showing the very brief interval, is  
22 because of the prevalent HPV in those two studies. I  
23 mean, a significant or a very large majority, and in  
24 Koutsky, all of them already had HPV positivity at  
25 entry.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And again your point taken, you don't know  
2                   how long that has been and what time zero was in those  
3                   women, and we don't have a naive cohort analyzed so  
4                   far. So I think there is very good existing natural  
5                   history data that would predict an increase in  
6                   incidents as the population matures in age.

7                   MR. JONES: My name is Bruce James, and I  
8                   have a personal communication from Eduardo Franco, who  
9                   is one of the co-principal investigators for a cohort  
10                  study, a natural history study, in Sao Paulo, Brazil,  
11                  in which women 18 to 60, were enrolled over a 3 year  
12                  period, and then followed initially in the first year  
13                  of observation three times, and then annually  
14                  thereafter.

15                 And we asked him to -- well, the median  
16                 age of this group was 33, with about one-quarter of  
17                 the enrollees under the age of 25. And we asked  
18                 Eduardo recently to look at his data and tell us in  
19                 women who were initially HPV negative, and had no SIL,  
20                 what the pattern of HSIL was.

21                 And what he saw was if you looked in all  
22                 age groups, the accumulation of lesions really stopped  
23                 after about 3 years; and if you looked at women who  
24                 were under 25, it stopped after 2 years of  
25                 observations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1                   So there are small numbers involved and  
2 this is a group of about 1,100 women that were  
3 followed, but it would suggest that there is not  
4 clearly a linear increase.

5                   CHAIRMAN DAUM: Thank you very kindly.  
6 Dr. Schiffman.

7                   DR. SCHIFFMAN: In Costa Rica, in our  
8 Portland cohorts, we see a much greater than expected  
9 percentage of what we initially thought was incident  
10 high grade, and it turned out to be missed-prevalent  
11 high grade.

12                   I caution you when you are looking at any  
13 study of the old cohorts or whatever to think  
14 carefully about how did they rule out small high grade  
15 lesions, and what did they use, and how many  
16 techniques did they use.

17                   And as you were saying before, how many  
18 were colposcopic, and was there any kind of an attempt  
19 to look at or for false negatives, because the more  
20 that you look -- we have dropped progression rates  
21 from 17 percent to 6 percent by more careful review of  
22 initially what was thought to be totally negative  
23 initial entry criteria.

24                   In Costa Rica, in Portland, what we see is  
25 this burst in HPV infected women who are normal,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 cytologically and totally normal, and we see this  
2 burst of apparent incident detection that we think is  
3 false-negative detection. In other words, it was  
4 already there.

5 And then a trough, which appears to be  
6 some kind of latency that is fairly short, and then we  
7 see a pickup where CIN-2 is fairly steady, and it is  
8 ticking along as people get HPV, and then pretty  
9 rapidly get CIN-2, even young women who are virginal  
10 and then get their incident infection.

11 CIN-3 with some delay, picking up a little  
12 bit later, and the predictive value of HPV DNA test in  
13 those women by 7 years or so has dropped off entirely.  
14 So it is a complex phenomenon, and I do not think  
15 particularly that the Woodman article captured all  
16 those subtleties.

17 CHAIRMAN DAUM: Thank you very much.

18 DR. GOLDENTHAL: Although they certainly  
19 did have a frequent follow-up. That has been the best  
20 that I have been able to find in terms of a  
21 longitudinal cohort.

22 CHAIRMAN DAUM: Thank you very much. Dr.  
23 Kohl, you have been patient.

24 DR. KOHL: I have been patient. My head  
25 right now is in the Dixie mindset of contextual

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 issues, and a couple of things come to mind. If we  
2 advise studies that involve higher grade findings,  
3 CIN-2/3, for instance, then those studies essentially  
4 will have to have also virological data associated  
5 with that I would think, because it would be CIN-2/3  
6 with high grade virus.

7 And then the context is that we obviously  
8 have lots of other evolving information coming;  
9 guidelines and natural history studies. And my  
10 question is do we need to make some advice for all  
11 time, or can it be a temporary advice, in which case  
12 there might be a more rigorous criteria, which as more  
13 information evolve, we could then fall back to a  
14 virological surrogate if that proves to be feasible  
15 and useful as we get more long term natural history  
16 done.

17 DR. GOLDENTHAL: Well, as you know -- I  
18 mean, we take advice from committees, but then things  
19 can potentially evolve, and what is used to prove --  
20 well, a good example of that over a longer period of  
21 time is what may be used for one approval may not be  
22 used -- you know, may not be for the next approval, in  
23 terms of endpoints, and so on, and so forth.

24 So we certainly do consider evolution, and  
25 it is possible that we may bring this question back to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the VRBPAC at some point in the not too distant  
2 future. But I think for the purposes of the meeting  
3 today, I think you have to advise us on the available  
4 information.

5 I mean, I could argue that we maybe should  
6 have had this meeting six months ago, but that is the  
7 problem with this area. Every time I wanted to have  
8 a meeting, I thought, oh, good, some new data came  
9 out, and it sort of narrowed things down.

10 And I understand this point a little  
11 better, but then there are these other three points  
12 that we don't know about. I mean, I think you have  
13 got to give us advice for now, and if you want to make  
14 comments on advice for the future, you are welcome to  
15 do that, too.

16 CHAIRMAN DAUM: Karen, I would like to ask  
17 a question actually about the implications of  
18 accelerated approval for patients in the actual use of  
19 the vaccine.

20 And I am wondering if you could accept an  
21 assumption that, for example, some early endpoint is  
22 chosen for the initial trial, or the initial  
23 checkpoint. I may not be using quite the right  
24 terminology here.

25 But let's say a vaccine is shown to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prevent HPV infection, and let's say that on the  
2 accelerated approval track that a confirmatory trial  
3 is set up, and the agency begins processing data and  
4 preparing for licensure, and allowing use based on the  
5 HPV infection prevention dataset.

6 The trial is then going on to say, prevent  
7 CIN-2/3, and just to make something up for the sake of  
8 the question. And so at what point would the  
9 accelerated approval actually allow use of the vaccine  
10 publicly, and would the trial, the CIN-2/3 prevention  
11 trial, still be going on?

12 And there are two obvious questions there.  
13 Would placebo people still be enrollable at that  
14 point; and then secondly -- well, would the vaccine be  
15 out there in use and people actually able to use it  
16 earlier with this accelerated approval?

17 And would people still be able to be able  
18 to be enrolled into the placebo arm of a confirmatory  
19 trial?

20 DR. GOLDENTHAL: Well, here is my thought  
21 on the issue. I think that potentially -- and we have  
22 not done this before in the agency, at least for  
23 preventive vaccine. But my thought in this setting is  
24 that accelerated approval might buy you a year in  
25 terms of coming out on the market earlier.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I mean, this is what I would envision. A  
2 sponsor would have a CIN, and let's say for example a  
3 CIN-2/3 efficacy trial ongoing. And then for that  
4 particular -- and that study would be very well  
5 enrolled in my opinion even at the time a BLA would be  
6 submitted with the virology endpoint.

7 And then that BLA would be under review by  
8 the agency and it would go through its review cycle,  
9 and so on and so forth. And at some point -- and  
10 let's just take an example. Let's say that all issues  
11 were resolved a year after approval, or a year after  
12 BLA submission.

13 I would think that that confirmatory, at  
14 least in the U.S., I would think that that  
15 confirmatory CIN-2/3 trial would basically have to be  
16 finished at about the time of approval, because I  
17 think it would be untenable to have that trial  
18 ongoing.

19 So again by my math, in the U.S. it would  
20 buy you about a year, and some may argue that that  
21 year might be valuable. It might even buy you more  
22 than a year, because again you would have that CIN-2/3  
23 -- well, because at the point that you get the result  
24 of that CIN-2/3 trial, the sponsor has got to QC the  
25 data, and they have got to QC the trial, and they have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)