

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS ADVISORY COMMITTEE

+ + + + +

OPEN SESSION

THURSDAY

NOVEMBER 29, 2001

The Advisory Committee in Versailles Room I and II in the Holiday Inn Bethesda, 8120 Wisconsin Avenue, Rockville, Maryland, at 8:30 a.m., Dr. Robert S. Daum, Chair, presiding.

PRESENT:

- ROBERT S. DAUM, M.D. Chair
- MICHAEL D. DECKER, M.D., M.P.H. Member
- WALTER L. FAGGETT, M.D. Member
- BARBARA LOE FISHER Member
- JUDITH D. GOLDBERG, Sc.D. Member
- DIANE E. GRIFFIN, M.D. Member
- SAMUEL L. KATZ, M.D. Member
- KWANG SIK KIM, M.D. Member
- STEVE KOHL, M.D. Member
- PETER PALESE, Ph.D. Member
- DIXIE E. SNIDER, JR., M.D., M.P.J. Member
- JUAN FELIX, M.D. Invited Participant
- THOMAS FLEMING, Ph.D. Invited Participant
- MICHAEL GREENE, M.D. Invited Participant
- PAMELA McINNES, DDS. Invited Participant
- MARTIN MYERS, M.D. Invited Participant
- DENNIS O'CONNOR, M.D. Invited Participant
- SONIA PAGLIUSI, Ph.D. Invited Participant
- WILLIAM REEVES, M.D. Invited Participant
- ELLEN SHEETS, M.D. Invited Participant
- ELIZABETH UNGER, M.D., Ph.D. Invited Participant
- EDWARD WILKINSON, M.D. Invited Participant

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to the accuracy of the content of this transcript.

NEAL R. GROSS
 COORDINATOR AND TRANSCRIBERS
 1323 RHODE ISLAND AVE., N.W.
 WASHINGTON, D.C. 20005-3701

OPEN

A-G-E-N-D-A

Session 4

Call to Order, Dr. Robert S. Daum 3

Committee Discussion and Recommendations 6

Session 5

Briefing on Activities in the Laboratory of Bacterial Toxins

Organizational Structure and Overview of Research and Regulatory Responsibilities in the Division of Bacterial, Parasitic and Allergenic Products, Dr. Richard Walker, FDA 153

Organizational Structure and Overview of Regulatory Responsibilities in the Laboratory of Bacterial Toxins, Dr. Willie Vann, FDA 162

Description of Research Activities, Dr. Willie Vann, FDA 170

Description of Research Activities, Dr. Michael Schmitt, FDA 181

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

8:33 a.m.

DR. DAUM: Good morning. A couple of announcements before we get down to business, so to speak. First, for panel and committee members there are bins up in front for paper that you've carried her laboriously and don't wish to carry home. Please use them.

Secondly, for panel members Denise and Rosana are, as always, kind enough to help us arrange transportation to airports or other destinations. For panel members at the table, please feel free to ask them to help you should you need.

Thirdly, I would like to call on Bill Freas -- where is he? There he is -- to make the briefest of announcements.

DR. FREAS: Thank you, Dr. Daum. I would just like to announcement that at the end of the meeting, whenever that is, that will be at the end of the closed session, we will have a short retirement ceremony for Nancy Cherry.

Let me just take two words to comment quickly on Nancy's distinguished 10-year career at FDA. Committee members know that she's always working late at night which seems to be the norm. But she's

NEAL R. GROSSCOURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 also here at this meetings long before I even roll out
2 of bed in the morning to make sure everything is set.

3 We really are appreciative of all the hard
4 work that she has been doing. On behalf of CBER and
5 her colleagues, we're going to have a little cake. We
6 invite the public. We invite everybody on the
7 committee to share this little party with us.

8 This is the unofficial requirement party
9 just because she won't officially retire until January
10 3rd but we wanted to have something and to celebrate
11 her distinguished career here while the committee
12 members were here. Thank you.

13 MS. CHERRY: Thank you. I was trying to
14 keep it quiet until the end of the day but I
15 appreciate it. Thank you, Bill, Bob, everyone.

16 DR. DAUM: And for committee members and
17 temporary voting members, guests at the table, you've
18 got about three hours to talk her out of it. We're
19 hoping to be able to apply pressure.

20 I can tell you in a short time as chairman
21 of this committee that no Nancy, no meeting. It's
22 just as simple as that. I'm incredibly grateful for
23 the support and constant vigilance that she provides.
24 Jabs in the elbow notwithstanding, it's been a great
25 collaboration.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The strategy for this morning that I would
2 'like to propose to the panel is to have some free
3 discussion first to look at issues that people felt
4 were hanging from yesterday to raise issues that
5 either we need more clarification or that you would
6 just like to hear some committee discussion with
7 regard to the questions only one of which currently
8 fits on the screen but is up there for your viewing
9 pleasure.

10 Once we get a sense of the fact that we are
11 sort of starting to be repetitive and not raising
12 crisp new issues, then I would like to take stock to
13 address the question directly. At that point we may
14 have heard from half or two-thirds of the panel on the
15 issue but we will ask every member, regular and
16 temporary, to comment directly on the question.

17 So with that sort of introduction, I've
18 rigged things a little bit with an issue that was on
19 my mind and would like to ask Marty Myers to initiate
20 the first issue. It doesn't mean that we have to stay
21 fixated on this issued. We can wander around on
22 anything the committee's pleasure. Then we will
23 eventually reach a point where we start focusing
24 directly on the question.

25 Marty, you were kind enough to accept this

1 gauntlet from me and would you start us off.

2 DR. MYERS: I thought we would talk around
3 the very important issue that I remain a bit confused
4 about. I would like to ask a question to the people
5 who are experts in this.

6 When we were talking about the contextual
7 issues yesterday, the specific issue really didn't get
8 laid flatly on the table so I would like to put it
9 flatly on the table.

10 Specifically in Dr. Schiffman's
11 presentation, at least as I understood it, he implied
12 that persistent infection of a year's duration would,
13 in fact, imply that there was a standard of care that
14 would be implied. Somebody with persistent infection
15 might, in fact, require therapy.

16 As I look at the data, it seemed to me that
17 places a woman at very high risk of high-grade disease
18 and might require long-term close supervision. If, in
19 fact, it implies a standard of care of treatment,
20 then, in fact, the experts in the field have already
21 defined this as a surrogate. It makes it very
22 difficult to consider using CIN 2, CIN 3, for example,
23 a high-grade disease, as an endpoint because everybody
24 will have had intervention before.

25 My question is really to those people who

1 understanding the management of these individuals. If
2 a person has a persistent infection, does that imply
3 a specific therapeutic intervention or is that a
4 supervision? I think that's a critical issue.

5 DR. DAUM: I think so, too, and I'm glad
6 people want to respond. Let's start with Dixie and
7 then Drs. Wilkinson and Felix.

8 DR. SNIDER: Actually, I want to elaborate
9 because I had an opportunity to talk with Dr.
10 Schiffman more about that particular issue which was
11 troubling me greatly as well.

12 If I understood him correctly, during his
13 presentation he was telling us that the optimal time
14 wasn't really known but that, in his opinion, it was
15 somewhere between one and two years.

16 The reason he -- if he's in the audience,
17 perhaps he should speak. The reason he came up with
18 one year was not because of the data that he has in
19 hand, but because he has been receiving lots of
20 pressure from organizations who feel compelled given
21 the current body of knowledge to come up with some
22 definition of what recurrent infection is.
23 Persistent. I'm sorry. What persistent infection is.

24 For lack of the more extensive data from his
25 study not being available, not yet being analyzed, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 one year is somewhat arbitrary in terms of his
2 personal recommendation. There is some concern on his
3 part that a number of organizations, standard
4 settings, professional organizations may take that
5 number and do exactly what Marty is implying.

6 It's just a little elaboration, I think
7 accurate, from Mark about how this transpired. Then
8 I, too, would like to hear what some experts think
9 about that particular situation.

10 DR. DAUM: Thank you very much. Let's
11 continue with Dr. Wilkinson, then Dr. Felix, and Dr.
12 Sheets.

13 DR. WILKINSON: I would just like to address
14 the issue of persistent viral shedding. The ASCCP
15 guidelines that were developed, these are guidelines
16 not standard of care that were developed in September
17 of this year, had access to National Cancer Institute
18 data that is yet unpublished relevant to persistent
19 viral shedding which Dr. Schiffman alluded to
20 yesterday.

21 First, let me say that viral shedding in and
22 of itself would not be an indication for treatment but
23 it may be an indication for reevaluation of the
24 patient by colposcopy.

25 In that setting under the guidelines, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these are submitted at this point, but basically an
2 acceptable -- not recommended but an acceptable
3 statement from the guidelines is that an option in
4 follow-up of women with LSIL, where an option has been
5 chosen to follow the patient rather than treat the
6 patient, the recommendation is colposcopy first,
7 biopsy any visible lesions, with mild dysplasia the
8 option would be that you could follow the patient.

9 There's only a couple of exceptions.
10 Adolescents and elderly women are some exceptions.
11 The point being that at the end of a year or at some
12 point, possibly two years, your option would be that
13 as an acceptable option to do HPV testing for high-
14 risk HPV type.

15 If the HPV is positive at that point, you
16 then go to colposcopy, an examination of the patient.
17 If we have persistent viral shedding, there is very
18 good evidence that NCI presented that your patient
19 probably has a persistent lesion.

20 I would emphasize this is an acceptable
21 option and it's not the standard of care that these
22 guidelines -- ASCCP does not establish standard of
23 care. American College of OB/GYN does so that is
24 something that can looked at at that point.

25 DR. DAUM: Thank you, Dr. Wilkinson.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dr. Felix, then Dr. Sheets, and Dr.
2 'O'Connor.

3 DR. FELIX: I'll be brief because Dr.
4 Wilkinson basically stated all the facts. I'll just
5 add that I know of no organization, nor of any expert
6 panel that will recommend therapy based on viral
7 information. They will recommend examination of the
8 patient but never therapy just based on viral
9 shedding.

10 Clearly not only not the standard of care
11 of, in fact, it's never been recommended officially to
12 actually perform therapy due to viral shedding in
13 itself. Just evaluation and diagnosis.

14 DR. DAUM: Thank you very much.

15 Dr. Sheets.

16 DR. SHEETS: I think there are actually two
17 issues on the table when Dr. Schiffman was talking.
18 I think they have been somewhat blurred in terms of
19 their overlap here. One is the issue of what
20 represents viral persistence in and of itself separate
21 from a side logic abnormality.

22 I think there is fairly good data to show
23 that persistence of viral shedding six months apart
24 for a year, or maybe two years, is certainly a person
25 who cytologically normal at that time has great risk

1 for the development of a lesion in the future.

2 That's a separate issue from people who have
3 -- women who have a cytologic abnormality and are
4 concurrently a high-risk viral type. Then we go and
5 subsequently a year later look for presence of that
6 viral type as a surrogate of the lesion being still
7 present on the cervix that gave rise to that cytologic
8 abnormality.

9 That is a different scenario. That is not
10 what is being discussed as a surrogate marker for
11 failure of the vaccine in this mortality or this
12 current discussion.

13 Those women who had cytologic abnormalities
14 who had high-risk viral shedding at the incipient
15 visit for vaccine therapy would not accrue in a trial.
16 Correct? So that is a different scenario than someone
17 who is shedding the virus at some point in the future
18 with or without a cytologic abnormality.

19 I think that when Dr. Schiffman was talking
20 about using viral shedding, as Dr. Felix pointed out,
21 as a point for therapy or further evaluation by
22 colposcopy, that was in the context of cytologic
23 abnormality as the American Society of Colposcopy and
24 Cervical Pathology guidelines indicate for LSIL at
25 this point so there are two different categories.

1 DR. DAUM: Can we press you a little bit, or
2 can I press you a little bit because that's very
3 helpful. The only circumstances is it true -- is what
4 I'm saying true that the only circumstances that
5 someone would seek viral shedding in a totally
6 asymptomatic woman with no lesions is for research
7 purposes or documentation purposes? There's no
8 medical care issue there at all.

9 DR. SHEETS: Currently in 2001 there is no
10 medical indication for a cytologically normal woman to
11 be tested for HPV from a medical point of view. There
12 are no guidelines that indicate to do that. This
13 would be a research setting at this point in time.

14 DR. DAUM: Do I hear in the first thing you
15 said, though, is there talk or plans of incorporating
16 routine screening?

17 DR. SHEETS: I think there certainly are a
18 body of people in this country who think that HPV
19 could be a surrogate for cytologic evaluation of
20 woman, but that data is not mature for the United
21 States.

22 DR. DAUM: Not about to happen.

23 DR. SHEETS: Not about to happen.

24 DR. DAUM: Thank you very much. That's very
25 helpful.

1 Dr. O'Connor.

2 DR. O'CONNOR: I thought about this
3 yesterday and had some discussion with a number of
4 people and what I will give you are what I gleaned
5 from discussions and basically my opinions.

6 Most papilloma virus infections regress over
7 time. Those that don't are the infections that can
8 result in high-grade dysplasia or worse. The interval
9 before persistence become clinically significant is
10 unknown but it is probably one to two years.

11 We do not know what factors are necessary
12 for persistence but why only certain HPV DNA types are
13 associated with significant disease. Although
14 persistence carries an increased risk of significant
15 disease, there's no evidence that these woman should
16 be prophylactically treated because what are you
17 treating?

18 I don't think there is enough evidence to
19 suggest to me that woman with persistent unexplained
20 oncogenic HPV have an inordinately high risk of
21 finding underlying high-grade CIN being defined as CIN
22 2 and 3.

23 I feel that based on what I've heard there
24 is, however, enough evidence to suggest that
25 persistent oncogenic HPV has enough of a risk for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 eventually finding an underlying CIN of any grade that
2 you can it a vaccine failure. That's as far as I
3 would take it

4 DR. DAUM: Go ahead, Dr. Sheets.

5 DR. SHEETS: I think to elaborate on what
6 Dr. O'Connor is saying is that when one thinks of
7 surrogate endpoints for this vaccine therapy using
8 approximate surrogate such as HPV, high-risk oncogenic
9 type positivity, or persistence of that presence as a
10 point of failure for the vaccine will slightly
11 artificially increase the efficacy or apparent
12 efficacy of the vaccine since some of these HPV
13 infections by high-risk oncogenic types are transient
14 and clinically irrelevant, not important.

15 Even some in the face of cytologic slight
16 abnormalities we know will regress over time. Using
17 a marker that is more approximate rather than more
18 distal from the actual invasive cervical cancer rather
19 than more approximate will make the vaccine efficacy
20 appear to be higher.

21 That is neither here nor there to a certain
22 extent, but if one thinks about the scenario of what
23 we're trying to treat which is either high-risk
24 precursors or invasive cancer, we have to remember
25 that the clientele that we are treating with the

1 vaccine are at least a decade younger than the average
2 age of onset of high-risk precursor lesions and
3 certainly much younger than the incident age of
4 invasive disease.

5 The question arises here as to what the
6 efficacy of the vaccine will be for those lesions
7 later on a decade or so later. Problems with this
8 that aren't part of the discussion today in the
9 background that one has to keep in mind are that we
10 know very little about the induction of mucosal
11 immunity as compared to serologic markers of immunity
12 induced by a vaccine.

13 We don't know whether memory in the mucosal
14 immune system will be the same as the surrogate
15 markers and serum for systemic infections. Ten to 15
16 years later when that 18 and 20-year-old is at
17 greatest risk for the development of precancer or
18 invasive disease, will this immunotherapeutic still
19 apply? We don't know. It's outside of the discussion
20 of this.

21 But if we use a more distal marker as a
22 surrogate marker of efficacy, or even farther away
23 from the endpoint that we ultimately want to prevent,
24 I would think that is something that we have to think
25 about in terms of discussing the surrogate endpoint.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. DAUM: Does the rigor of the definition
2 of persistence matter with regard to your comments?
3 In other words, if we take a one-year period and want
4 four cultures or a two-year period and want six
5 cultures, does that matter or the comment still
6 stands?

7 DR. SHEETS: I think all it will do is
8 enhance the apparent efficacy of the vaccine to a
9 certain extent because you will be picking up on
10 evidence of HPV positivity that may not be clinically
11 relevant in the long run.

12 DR. DAUM: Dr. Reeves.

13 DR. REEVES: I think just one of the things
14 that we are mixing some words and some concepts and
15 you're hitting on it that we are still mixing these.
16 As I understand it, CIN 2 and CIN 3, most of them, or
17 some portion of them, are part of the actual natural
18 history of the development of cervical cancer. CIN 2
19 leads to or results in CIN 3 in some proportion leads
20 to or results in cervical cancer.

21 The same is not true for HPV. We're mixing
22 the terms. We're often saying persistent HPV results
23 in or leads to CIN. That's, in fact, not true. It's
24 associated with it.

25 There's a rather major difference of being

1 associated within a small number of, as are all
2 studies, flawed epidemiologic studies and selected
3 groups, some in populations and some not. But an
4 association is not causal and an association does not
5 imply anything on a path or leading to or resulting in
6 things.

7 DR. DAUM: That's helpful.

8 Dr. Felix and then Dr. Sheets. I'm sorry,
9 Dr. Kohl was first. Dr. Kohl, Felix, and Sheets.
10 Excuse me.

11 DR. KOHL: I just want to emphasize what Dr.
12 Sheets said in terms of something we really haven't
13 talked much about, although it was mentioned in the
14 modeling -- sorry, it was mentioned yesterday --
15 restoration of protection.

16 We're talking about almost a life-long risk
17 and, in certain situations, an increasing risk over
18 time, although that seems to be possibly
19 controversial. We heard very, very little about
20 hypotheses of duration or protection.

21 I don't remember data on duration or
22 protection and that's really a critical issue which I
23 think could accrue in a lodge or a long-term study but
24 I'm concerned whether we would see much of that in a
25 short study with a surrogate that is closer to

1 infection versus closer to CIN 2/3.

2 DR. DAUM: Thank you for raising that point.
3 We haven't talked it for a bit.

4 Dr. Felix, Dr. Sheets, Dr. Griffin.

5 DR. FELIX: I'll actually address two points
6 quickly. Dr. Kohl brings obviously a very important
7 point, duration of protection. But we have to
8 remember that if you protect a woman very early on,
9 that may be in itself even if the protection wanes an
10 extraordinarily important protection because age at
11 first coitus is an extremely important risk factor for
12 the development of cervical cancer.

13 We don't know what it is about the
14 transformation zone of a very young woman, but clearly
15 woman who start sexual activity at the age of 16 or
16 perhaps earlier have a relative risk that is much
17 higher than woman who start first coitus after 18.

18 Obviously they are sexually naive. The
19 initial age represents a tremendous increase in the
20 relative risk. If you protect these woman at that
21 age, even if immunity wanes, there is at least
22 theoretical benefit of even those first two or three
23 years of protection in lowering the relative risk of
24 the population for acquiring basic carcinoma.

25 Immunity even of a transient, I think, is

1 something we ought to seek. Obviously it would be
2 better if it persisted but that is maybe a very
3 important parameter.

4 In response to Dr. Reeves, I think that the
5 data suggesting that CIN 2 will progress to CIN 3 will
6 progress to cervical cancer is robust. I think that
7 currently there's almost as much data in the
8 literature suggesting that persistence of high-risk
9 viral types if you do it properly will result in the
10 same effect.

11 Perhaps not at the same rates although very,
12 very close because the rate of progression of CIN 2 is
13 about 20 some odd percent. The rate of acquisition of
14 the high-grade dysplasia from persistent HPV is around
15 26 to 28 percent also. The data is pretty robust.
16 Both of them are associations but I think they are
17 very equivalent.

18 DR. DAUM: Thank you very much.

19 Dr. Sheets, then Dr. Griffin and Katz.

20 DR. SHEETS: I think there are multiple
21 issues on the table at this point in time for which we
22 have no solid data to make statements one way or the
23 other. I guess I would respectfully disagree with Dr.
24 Felix in saying that stopping an apparent infection by
25 the parameters that we have to test for that infection

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 today ultimately will definitely result in decrease
2 invasive disease in the future. I don't know that.

3 My concern is that when we look at the
4 epidemiology of invasive disease in America, we know
5 that in the late teens, early 20's that these women
6 are at great risk for oncogenic viral infection with
7 subsequent cytologic abnormalities, perhaps even CIN
8 2/3 which may or may not be caught and treated at that
9 point in time, but there is a large amount of
10 regression through that decade.

11 We know that in the 30's and 40's slightly
12 more mature individuals are the ones at risk for the
13 reoccurrence or reestablishment of a high-risk lesion
14 histologically that are at risk for the invasive
15 disease that we're talking about.

16 We don't know what happens in that window.
17 We don't know if the resolution spontaneously of a
18 precursor lesion in their 20's leaves them at great
19 risk for those women, those specific women for
20 invasive disease.

21 We know epidemiologically that HPV infection
22 is the greatest risk factor for preinvasive high-risk
23 lesions and invasive disease sans sexual partners or
24 age of first intercourse, but we don't have
25 documentation of long epidemiologic studies over a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 long period of time with no intervention what that
2 biology might be.

3 If we add on top of that a vaccine which
4 apparently decreases the "insipid shedding of HPV
5 infection," is that the same as never being exposed or
6 having a latent state?

7 We don't know because certainly there is a
8 great deal of discussion right now in this country in
9 mucosal immunology and HPV research that indicates
10 there may be a latent phase for women who apparently
11 were either treated or regressed their lesion in their
12 20's redeveloping that lesion later on. We just don't
13 know that data.

14 In regards to HPV persistence in the
15 development of high-risk histology later, that is well
16 documented that may occur but, again, subject in the
17 20's, late teens and early 20's, to the same problems
18 associated with spontaneous regression and clinical
19 relevance of those lesions at that point in time. We
20 just don't know what that translates into later than
21 the 30's and 40's.

22 Some would say that CIN 2 is variable in
23 regression rate whether it exist or not. Listening to
24 Mark Schiffman talk about it maybe it's not even a
25 lesion according to him. Some of us certainly deal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with it on a daily basis. That's for sure. It does
2 regress at a fairly high rate compared to documented
3 CIN 3 but that's outside the venue of this discussion.

4 DR. DAUM: Thank you very much.

5 Drs. Griffin, Katz, and Fleming.

6 DR. GRIFFIN: I guess I just wanted to
7 reiterate one point, and that is that I think the data
8 are excellent and nobody has really challenged them,
9 that infection is a precursor -- becoming infected
10 with one of these high-risk HPV types is a precursor
11 to developing cervical carcinoma.

12 Granted we don't understand everything
13 that's happening during those 20 years before you
14 actually diagnose the disease. Therefore, it seems to
15 me a priori that if you prevent that infection, you're
16 going to prevent the cervical carcinoma.

17 Now, that doesn't mean that -- then duration
18 becomes important, for how long you're protected. I
19 don't think it means that if you use virus or
20 infection with virus as a marker for the efficacy of
21 the vaccine that you have overestimated.

22 If you prevent infection that you've
23 overestimated the efficacy of the vaccine, what you've
24 overestimated perhaps more likely is the efficacy for
25 preventing cervical carcinoma but not the efficacy for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 preventing infection which is usually what we're
2 looking for in a vaccine.

3 So to me the big question then becomes it
4 would be nice to understand all these things but also
5 whether HPV types will come in and now may play a more
6 prominent role, etc., in the cervical carcinoma that
7 develops in those individuals. I think preventing
8 infection is a very important goal and readout for
9 these vaccines.

10 DR. DAUM: Thank you.

11 Drs. Katz and then Fleming.

12 DR. KATZ: I think Dr. Sheets and Dr.
13 Griffin have helped me in my thinking. We're dealing
14 with two different worlds. One of the gynecologic
15 oncologist and then those of us who think of ourselves
16 as vaccinologists. The terms have been used back and
17 forth inappropriate perhaps.

18 We're not talking about a therapeutic
19 vaccine. I assume we're talking about a prophylactic
20 vaccine so we're preventing. That's what Diane
21 commented on. Not that we're treating and applying a
22 therapeutic intervention.

23 Although Dr. Wilkinson showed me wonderfully
24 slides yesterday, I don't know enough about what goes
25 on in the cervix. Are there lymphoid cells? Are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there the equivalent of M cells? What's there that
2 provides -- Ellen was talking about mucosal immunity.

3 I know a lot about the GI track and the
4 respiratory track. I don't know anything about
5 mucosal immunity and what to expect as far as local
6 host defense is concerned.

7 I agree that antibodies may be fine but what
8 goes on locally may be even more important. Are there
9 lymphoid follicles? Is there trafficking of
10 lymphocytes from the cervix to other areas of where we
11 have lymphoid deposits in the body? Can you help me
12 with that at all?

13 DR. DAUM: Dr. Wilkinson, Dr. Sheets, go
14 ahead.

15 DR. WILKINSON: I think Dr. Sheets probably
16 has more to say on this than I do but I would say that
17 although the cervix is not considered a molt organ
18 specifically, it is richly endowed with immunologic
19 base cells.

20 Often these cells are rallied in the face
21 of, say, invasive carcinoma. You can appreciate that
22 in many settings. In certain infections such as
23 chlamydial infection it's not unusual to see
24 aggregates of lymphocytes occurring, a condition
25 referred to as pellicular cervicitis for example.

1 The cervix also has secretory IgA and so
2 forth. It's quite a complex organ and probably should
3 be ranked among the molt organs but, in fact, is not.

4 DR. KATZ: So that leads to a little more
5 optimism about preventing infection or reinfection.

6 DR. KATZ: I want to stay focused in this
7 issue before we go on. When we go on, we'll go to Dr.
8 Fleming next. Dr. Sheets and then Dr. Felix wanted to
9 speak to this very issue.

10 DR. SHEETS: I think in published data that
11 is currently available in therapeutic vaccines we know
12 that we can give a systemic injection and have cells
13 that were destined -- T cells that were destined for
14 mucosal immunity in the cervix to be exposed to that
15 therapeutic systemically and then track back to the
16 cervix or home back.

17 We know T cell immunity does happen although
18 at a much lower rate than it would happen necessarily
19 systemically since the dose is given systemically and
20 there's a great discussion of therapeutic vaccines,
21 whether they should be given transmucosally much like
22 the GI tract, etc.

23 In terms of IgA, IGG secretion, antibody
24 secretion, there's no doubt that the cervix and its
25 mucus has a fair amount of antibody occurring there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I am aware that there are efforts to create the same
2 type of immunologic evaluation that's going on in the
3 cirri that we've heard previously prevented in closed
4 session to do transvaginally to look at that
5 neutralizing antibody from the cervical mucus.

6 There have been assays set up to do that.
7 The problem is we don't know a lot about the
8 relationship between that mucosal immune system, just
9 as we don't know about certain things in the GI tract
10 compared to the systemic.

11 We don't know about durability and we don't
12 know about level to a certain extent. This is not
13 known. This is all very new. That's what I was
14 pointing out.

15 DR. DAUM: Thank you very much.

16 Dr. Felix, this issue.

17 DR. FELIX: She presented it.

18 DR. DAUM: Excellent. Let's move on then.

19 Dr. Fleming.

20 DR. FLEMING: I'd like to go back to Dr.
21 Kohl, Dr. Sheet, and Dr. Griffin who have brought up
22 a set of issues that have really been troubling me and
23 I was delighted to see that they have pursued this.

24 I guess I could cast them in the broad sense
25 of what are the durability. What is the durability of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 effects. What are the long-term protective effects.
2 I think of this in at least two dimensions.

3 One is what is the long-term protective
4 effect from initial HPV infection that would relate to
5 waning of immunologic response. But the other is what
6 is the long-term impact on rate of progressive disease
7 in those people who are infected.

8 Dr. Griffin, you had mentioned that the goal
9 of a vaccine is to prevent the infection. My
10 understanding is that with some vaccines the actual
11 true clinical benefit may be achieved by the impact on
12 the immune system in being able to control infection
13 once infection has occurred and what do we know about
14 that in this setting, about long-term impact on the
15 immune system.

16 I would also say that whereas the effect of
17 the vaccine may be to prevent infection or, in fact,
18 it may be to prevent the sequelae, in essence what to
19 my way of thinking really motivates any intervention
20 is to prevent something that is clinically tangible or
21 meaningful.

22 In this sense what we've really focused on
23 is cervical cancer. It seems to me entirely likely
24 based on the epidemiology that large numbers of people
25 become infected and the immune system is already

1 capable of clearing the infection in a manner that
2 there are no sequelae.

3 What I worry about is just because there is
4 this association and it may be causal. If we provide
5 protection in 80 percent or 90 percent, it may be that
6 those are the very people whose immune system was
7 already capable of clearing the infection and, hence,
8 preventing the clinical sequelae.

9 I think this does become inherently very
10 complex and I think these issues of long-term impact
11 are important not just from the perspective of what's
12 the ability because this is a chronic risk situation.
13 A 20-year-old woman will be at chronic risk for
14 infection.

15 Beyond that even when you do become
16 infected, what is the overall impact of the vaccine
17 induced immune response on progressive disease, not
18 only over the short-term but also the long-term.
19 These are a lot of questions that I'm very uncertain
20 about.

21 DR. DAUM: Thank you, Dr. Fleming.

22 Dr. Snider, then Dr. Katz.

23 DR. SNIDER: With regard to the issue --
24 continuing with the issue of preventing infection, I'm
25 still having some mixed feelings about that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I mean, certainly with hepatitis B, for
2 example, if we had said the most severe consequence of
3 hepatitis B is cirrhosis and hepatic carcinoma and we
4 want to establish a trial to show reduction in
5 cirrhosis and hepatic carcinoma, the size of that
6 trial would have been tremendous and it would have
7 taken a very, very long period of time.

8 Then the issue of preventing infections that
9 are trivial. We've dealt with this before. I mean,
10 as everybody knows, what are the numbers, Sam? I mean
11 you prevent 100 infections or is it more for every
12 clinical case that occurs.

13 Right now we don't know how to pick out who
14 is going to develop paralytic polio so we prevent a
15 lot of infections with polio virus that are going to
16 be trivial. It seems to me that -- I understand that
17 the question has to do with intended to prevent
18 cervical cancer and that this is perhaps a little bit
19 off the mark in terms of addressing the questions.

20 I guess I'm still wondering with Diane if
21 there is not enough evidence to suggest that
22 preventing infections may be something that is quite
23 useful, particularly when I hear that persistent
24 infections are likely to result in maybe not therapy
25 but in terms of additional interventions which I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 understand the cost of those can't be -- the dollar
2 cost can't be weighed in this discussion but
3 performing these procedures do inconvenience people.

4 They result occasionally in certain
5 morbidity. Then dealing with some of the lesions that
6 will not apparently result in cervical cancer there is
7 not only morbidity but some low-level of mortality
8 from complications.

9 I guess all I'm trying to say is that I see
10 some societal benefits perhaps of preventing infection
11 which doesn't mean I would give up in any trial design
12 in trying to get a trial design that would also show
13 that there was a reduction in the higher grade
14 lesions.

15 I don't think we're going to be able to use
16 -- I mean reveal right now cervical carcinoma as an
17 endpoint. I don't think ethnically that's
18 justifiable. Nevertheless, as intermediate endpoints
19 it seems to me those are very worthwhile. The
20 question becomes whether that would be sufficient
21 information to recommend a general use of the vaccine
22 or not.

23 DR. DAUM: There are three people who want
24 to commend on what Dixie said before we go to Dr. Katz
25 next. First is Karen Goldenthal and then Tom Fleming.

1 DR. GOLDENTHAL: I just wanted to make a
2 comment about endpoints for vaccine clinical trials.
3 It seems that for most of them, in fact, there has
4 been some type of clinical case definition associated
5 with it. I mean, for example, in polio in the Francis
6 trial, the Francis Field trial, it was really
7 paralytic polio was the endpoint.

8 With regard to hepatitis, I keep hearing
9 about hepatitis and infection was the endpoint.
10 Certainly in the FDA label it says that the vaccine is
11 indicated for the prevention of hepatitis B infection.

12 But all this talk about hepatitis B also
13 prompted me to go back and look at the smuness and don
14 Francis efficacy trials. In both of those trials
15 there was actually -- they did show a prevention of
16 infection, but they also showed a prevention of
17 hepatitis that was significant between the vaccine and
18 the placebo group. I just wanted to make that point
19 clear.

20 DR. DAUM: Thank you.

21 Dr. Fleming. We are going to stay on this
22 very point for a minute.

23 DR. KATZ: It relates to exactly what Karen
24 has said.

25 DR. DAUM: Go ahead. But Dr. Fleming is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 next.

2 DR. FLEMING: I yield the floor.

3 DR. KATZ: I hate to disagree with you but
4 the very data you're quoting you do not prevent
5 infection. They showed very well that with hepatitis
6 B vaccine you could have infection as shown by the
7 fact that individuals developed anti-core antibodies.

8 DR. GOLDENTHAL: And certainly some of them
9 did.

10 DR. KATZ: So you prevent hepatitis but you
11 don't prevent infection and that's why what Tom was
12 saying I think is to me -- again, I apologize. I'm
13 the vaccine person. I'm not the gynecologist. With
14 most vaccines you do not prevent infection. You abort
15 infection and you use polio as an example.

16 If you take individuals who have been
17 immunized and don't get paralytic polio, they will
18 shed virus. If they are exposed to enough virus,
19 they'll shed virus for an abbreviated period of time
20 in contrast to the naive individual who has never seen
21 it before. I think the concept that you prevent
22 infection is looking for too stringent a criteria.
23 You abort infection and prevent persistent infection.

24 DR. DAUM: Thank you, Dr. Katz.

25 DR. KATZ: Sorry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. DAUM: No problem. There was light shed
2 on issues.

3 DR. FLEMING: I'm delighted to hear it. I
4 concur.

5 Dixie, I want to just follow up on your
6 thought about if you prevent the infections. We have
7 considerable evidence. It is association evidence but
8 there's considerable evidence that there is a
9 necessity here. HPV infection is a necessity in the
10 overall causal process that leads to cervical cancer.

11 What I've been struggling with all along is
12 this issue of sufficiency. You had used as an
13 example, and it's probably a very reasonable
14 approximation, maybe for every 100 infections that you
15 would prevent, you would prevent one case of cervical
16 cancer.

17 If I knew that if I prevented those 100, I
18 would prevent the one case of cervical cancer, I would
19 be persuaded that I'm achieving something very
20 important. I'm not of the perspective that I have to
21 know if I prevent 100 infections that I'm preventing
22 100 bad things.

23 My big concern is that I may prevent -- if,
24 in fact, I have 100 percent efficacy as a result, then
25 I can be confident that when I'm preventing all 100 of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the infections with my 100 percent efficacy that I am
2 preventing those 1 percent of the cases of cervical
3 cancer that will follow.

4 My concern is if I prevent 80 of the 100, I
5 may well be missing the one, in fact, that would have
6 resulted in cervical cancer. If I have 80 percent
7 efficacy or 90 percent efficacy even, I may have
8 almost no efficacy against what I really care about.

9 It's the sufficiency issue that I keep
10 saying. It seems to me that because this is a setting
11 where the numbers suggest that it's something on the
12 order of 50 or 100 people who will have HPV infection
13 for everyone one that eventually will over their
14 lifetime have cervical cancer, this is clearly a
15 situation where it's far more complex than simply
16 saying is the vaccine going to prevent the initial
17 infection.

18 What I'm struggling with here is what is it
19 that we have to achieve in order to be confident that
20 we are actually having a meaningful impact on what we
21 really care about which is reducing the rate of
22 occurrence of clinical events.

23 Now, we focused on those clinical events
24 being primarily cervical cancer. I would, however,
25 accept a broader sense of clinical events, i.e., if we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 believe that we are also achieving a reducing in the
2 need for invasive surgical interventions, etc., that's
3 part of the overall benefit as well, although I think
4 our highest priority clinical event here is
5 preventing cervical cancer

6 So the bottom line is we acknowledge we're
7 preventing many more cases of infection than we are
8 clinical sequelae, important clinical sequelae. I
9 just want to know that when we do get this reduction
10 it translates into a meaningful reduction in cervical
11 cancer.

12 DR. SNIDER: Could I just quickly respond
13 and say, Tom, I think you and I are in agreement. All
14 I'm saying is that if we don't look at persistent
15 infection as one of the endpoints, it seems to me that
16 would be a shame because we're not preventing
17 persistent infection. I'm not optimistic that CIN 2
18 and 3 are going to be prevented.

19 DR. FLEMING: So you're saying that's in
20 your vision of what the markers would have to be.
21 That's one of the necessary components that has to be
22 impacted.

23 DR. SNIDER: Right.

24 DR. FLEMING: I'm very willing to accept
25 that. I'm struggling with what are the other elements

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that give me a sufficiency condition here such that
2 when I see persistent infection and what else, is this
3 going to be adequate to be reasonably confident I'm
4 having an impact on cervical cancer rates.

5 DR. DAUM: Okay. We're going to stay on
6 this issue before we go on so people who want to talk
7 to this very issue. Dr. Reeves, Dr. Felix, Dr. Kohl.

8 DR. REEVES: Just a quickie of something
9 that I would have liked to have heard and I don't see
10 any of the NCI people that can give the answer. I
11 think this vaccine to prevent cervical cancer is going
12 to be unique among vaccines. Diphtheria, influenza,
13 and many of the vaccines I'm aware of work very
14 quickly.

15 Rolando Herrera, I believe, two years ago
16 presented some very elegant modeling studies of the
17 effect of vaccination on the rates of cervical cancer
18 world wide which, again, is the end disease we're
19 trying to deal with.

20 In essence he showed that it was going to be
21 approximately two decades before any effect was seen.
22 I think this rather important information, something
23 to take into consideration both in looking at whether
24 we're going to approve or recommend approval for
25 accelerated licensure. But two to three decades is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 long time to actually see an effect from something.

2 I suspect that the actual effect on surgical
3 procedures for high-grade dysplasia or CIN 3 with the
4 actual public health effect and efficacy of this is
5 probably going to be in terms of decades as well. I
6 think some kind of presentation of that kind of
7 information would have been very helpful.

8 DR. DAUM: Dr. Felix and Dr. Kohl, this very
9 issue we're on.

10 DR. FELIX: I appreciate the concerns that
11 Fleming has. I have the identical concerns. However,
12 if you're proposing that by producing 80 percent or 90
13 percent of the HPV you may not be reducing the 10
14 percent that will proceed to cancer. The same
15 identical argument can be used for the more distal
16 surrogate endpoint which would be high-grade dysplasia
17 or CIN 2, CIN 3.

18 If you prevent 90 percent of CIN 2, CIN 3 it
19 is perhaps that 10 percent that you don't progress
20 that you don't protect for that will progress to
21 cervical cancer. I don't think it is reasonable to
22 expect a trial with an endpoint of cervical cancer.
23 I don't think it will happen if that's the case.

24 I think Dixie was correct. I think we need
25 to keep assurances that all of the reasonable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 endpoints will be looked at, that we're going to look
2 at persistent virology, and the issue that I'm most
3 concerned with that I hope we are going to address
4 very soon, the issue of what interval does persistence
5 truly become meaningful.

6 Then have relative assurance that we are
7 going to see CIN 2, CIN 3 data. It is, I think,
8 within the realm of this committee to insist that the
9 trial for the latter be finished by the time the
10 accelerated approval for the virological endpoints
11 come out so that we could guarantee that the second
12 trial or the second observation would happen.

13 I don't think that it is reasonable to
14 expect anymore than the surrogates that are still
15 going to leave doubt as to the efficacy of the
16 vaccine.

17 DR. DAUM: I think in our own way we are
18 starting to build consensus.

19 Dr. Kohl next. This very issue. We're
20 still there. Then Dr. Unger, Katz, and Sheets.

21 DR. KOHL: I'm feeling a consensus also
22 hopefully, what I'm thinking is the consensus as the
23 same thing other people are thinking.

24 DR. DAUM: We'll find out.

25 DR. KOHL: Being at this end of the table,

1 all the way at the end, this is the Dixie memorial
2 seat down here, I'm trying to think as a virologist
3 now. We are dealing with a virus but a virus that has
4 an interesting effect, namely cancer.

5 I'm trying to think what we know about -- at
6 least what we've been presented about the immunology
7 or the protection against first infection. Perhaps
8 more importantly the immunology against cancer, the
9 prevention of cancer.

10 I don't think we've heard much to anything
11 about the immunology or the prevention of cancer.
12 Most of what's in the literature, that I'm familiar
13 with at least, regarding the viral like particles is
14 the elicitation of neutralizing antibody.

15 Yet, we know that the -- or we think we know
16 that what causes cancer are the E6/E7 transforming
17 elements. Then there's the whole issue of latency.

18 What I want to get around to in a sort of
19 sequitious way is following some of what Dr. Fleming
20 is talking about. What if we have that heterogenetic
21 population where a small percentage, because of
22 immunological aspect we don't understand, is very
23 susceptible.

24 And what if paradoxically neutralizing
25 antibody doesn't have a positive effect but has a

1 negative effect? It's wild. It's outside the box,
2 but it's one of those things we just don't know about.
3 I think all these uncertainties would push me towards
4 a more rigorous endpoint as we think about surrogate
5 endpoints.

6 DR. DAUM: Thank you.

7 Dr. Unger.

8 DR. UNGER: I just want to remind everybody
9 about the difficulty in the assays in talking about
10 infection and persistent infection.

11 DR. DAUM: Talk right into the microphone.

12 DR. UNGER: Okay.

13 DR. DAUM: Thanks. Sorry.

14 DR. UNGER: I'll start again. I just want
15 to remind everybody about the difficulty of
16 establishing infection, the difficulty both in the
17 assays and the sample. I think that we need to be
18 sure that the sample is taken appropriately and the
19 appropriate amount of the sample is put into the
20 assay.

21 You can have the most eloquent and sensitive
22 assay in the world but if the sample is not the
23 appropriate sample and enough is not put in, it's
24 going to make your definition of endpoint and
25 infection a moot.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I think that the literature is very clear
2 that the assays and the sampling will muddy the kind
3 of pictures that you see. We need to be clear on what
4 kind of documentation we want to see or should be part
5 of looking at this kind of persistent infection.

6 I think that the better the assays have
7 become and the more standardized the sampling has
8 become, the clearer the picture is as to what the
9 situation -- not that it's clear now but there is
10 starting to be some consensus.

11 I think part of the confusion is the
12 definition of persistent infection and the timing that
13 should be required in order to say what persistent is
14 versus a normal endpoint of clearing of an infection
15 that would go away on its own.

16 DR. DAUM: Dr. Katz at last.

17 DR. KATZ: I would like to go back again to
18 what Dixie has said and what Karen has said. Viruses
19 are all different and it's very inappropriate to make
20 generalizations because this virus does this, that
21 virus does that.

22 But the example that's been used is a
23 reasonable one of hepatitis B. Why did they start
24 looking for hepatitis B vaccines? Not just to prevent
25 acute disease but because Palmer Beasley showed in

1 Taiwan where they had a high incidence of
2 hepatocellular carcinoma and that hepatitis B
3 infection led to hepatocellular carcinoma.

4 The studies that have gone on there over the
5 years now have shown they markedly reduced
6 hepatocellular carcinoma to a rare disease in Taiwan
7 because they gave vaccine to young people.

8 Now, it does prevent hepatitis over disease
9 but it doesn't prevent occult infection and you may
10 have occult abbreviated infection. This, as I
11 mentioned in response to Karen, is shown by the fact
12 that the vaccine only gives you antibodies to one
13 antigen, the surface antigen.

14 You can show that vaccinated people, though
15 they don't have the disease, develop antibodies to the
16 core antigen which indicates they have not only been
17 infected but they have been infected sufficiently to
18 arouse an immune response.

19 Those people who have totally lost
20 detectable antibody to the surface antigen
21 nevertheless resist developing clinical disease or
22 chemical disease. We have a model which is not a
23 perfect paradigm, but I think we do have a model, at
24 least, of where preventing an infection from
25 developing beyond an abortive state does prevent the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 development of cancer.

2 I think the long-term effects of this can be
3 in some ways analogous, if you will. Not a perfect
4 one but I'm encouraged that if you can prevent
5 infection with these oncogenic papilloma viruses you
6 may well prevent cancer.

7 DR. DAUM: Thank you, Dr. Katz.

8 Dr. Sheets.

9 DR. SHEETS: I guess I'm simply a
10 gynecologic oncologist. Not a virologist nor
11 immunologist, nor a vaccinologist. When I think of
12 human papilloma virus infection, I think of the
13 transvaginal infection that may or may not ever be
14 systemically manifested. Even invasive cancer you may
15 or may not show systemic antibodies to E6/E7 my
16 understanding is.

17 When we think about this vaccine and we
18 think about this vaccine and we think about proximate
19 surrogates or distal surrogates as to what that might
20 eventually prevent invasive cancer, we have to think
21 about what's happening with the mucosal barrier.

22 The vaccine is supposed to prevent infection
23 by neutralizing antibodies being present in cervical
24 mucosal discharge that keeps the virus from infecting
25 the epithelium. That's my understanding of what the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine is supposed to do.

2 We don't know, and I think hepatitis is
3 certainly a good surrogate systemic infection to look
4 at for the development of a cancer. But what we don't
5 know is the latency issues of HPV. We don't know
6 that.

7 You are discussing the fact that latent
8 virus associated with hepatitis B may cause -- does
9 cause hepatocellular cancer. Eradicating that virus
10 you may get infected but having the antibody potential
11 systemically to kill that viral infection does
12 preventing the latent state leading to hepatocellular
13 cancer is a surrogate marker for HPV infection.

14 I simply don't know that. I don't know if
15 that's true, but I think it's out of the venue of this
16 discussion to decide whether we're going to move
17 forward with a fast track for this vaccine or not.

18 I think what it underscores is the fact that
19 we don't know how HPV induces ultimately cervical
20 cancer in the epithelium and what the immune response
21 plays in that role for therapeutic interventions or
22 prophylactic interventions.

23 But we have to assume that the stuff the
24 vaccine is causing is simply to block the infection
25 itself and may have secondary effects of T cell

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 responses, etc., etc., should there be a small amount
2 of virus that penetrates the epithelium and causes a
3 T cell response and we do get efficacy in that system.
4 We don't know that yet.

5 Maybe the NCI knows that but I don't at this
6 point in time. I think we have to look at the data
7 that's here and the decision that we have to make is
8 one step away from cervical cancer. The question is
9 how many steps away will we allow. If we allow it to
10 be too far away, will we ever know the real answer.

11 My concern ultimately, and it's a step
12 beyond what we're talking about now, is the apparent
13 efficacy of the vaccine is so great for preventing
14 infection will we ever be able to carry a placebo
15 group forward.

16 DR. DAUM: Can you help me with one more
17 expansion of your comments?

18 DR. SHEETS: Maybe.

19 DR. DAUM: Are you suggesting that there is
20 a scenario -- supposing we had a crystal ball here and
21 we knew that this vaccine was being universally used
22 now and it meant that HPV infection was efficacy 100
23 percent prevented. Can you imagine a scenario with
24 that being true where it would not have an impact on
25 cervical cancer?

1 DR. SHEETS: 100 percent efficacy for both
2 male and female?

3 DR. DAUM: Yeah.

4 DR. SHEETS: So that you're not re-exposing
5 them chronically to the virus?

6 DR. DAUM: Yes. Let's go whole hog.

7 DR. SHEETS: How could it not? If you
8 eradicate HPV it would impact. No doubt.

9 DR. DAUM: Okay. Good.

10 DR. REEVES: It would be next on the list
11 behind measles.

12 DR. FLEMING: Let me just make sure your
13 question is clarified. When you say 100 percent that
14 suggest to me that you mean 100 percent across all
15 types and 100 percent across all time. Then if that
16 is the case, then I'm happy to say yes, too. There's
17 a lot to that question.

18 DR. DAUM: Let me clarify. Let me say all
19 time, yes, but all types, no, only the types in the
20 vaccine. Yes, 100 percent against all the types.

21 I'm trying to get a sense from people who
22 really understand the subject which does not include
23 me, whether or not it is conceivably possible to
24 prevent HPV infection completely and at the same time
25 not assume that cancer is prevented also. That's what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I'm trying to understand. Is there such a scenario?

2 Does anyone want to speak to this? Diane.

3 DR. GRIFFIN: No. If you're talking about
4 HPV 16 induced cancer, if you prevent all infections,
5 you will prevent HPV 16 induced cancer. I also want
6 -- I mean, I think the links are extraordinarily
7 strong and we certainly understand a whole lot more
8 about how HPV induces cancer than about how hepatitis
9 induces cancer which, as far as I understand, we have
10 relatively little understanding of that pathway.

11 We understand that much better for the HPV.
12 We don't have a perfect understanding of that but it
13 does require infection and it does require infection
14 that is over some period of time and I don't know what
15 that period of time is.

16 I think you are ignoring a lot of virologic
17 data that has come in for a long period of time about
18 these links and about the pathogenesis of this
19 process.

20 DR. DAUM: I'm going to try and maintain
21 some sense of order here. I wrote the rules myself
22 and jumped in, but I have Dr. O'Connor first, then Dr.
23 Felix, Ms. Fisher, Drs. Reeves, Katz, Kohl.

24 DR. O'CONNOR: I get the impression there
25 are a lot of topics floating around here. I wanted to

1 go back and address endpoints for just a minute and
2 say very quickly that I agree with both Dixie and Juan
3 as far as the endpoints go.

4 I think there is enough evidence to indicate
5 that persistent papilloma virus infection is
6 associated with CIN to the point that it can be
7 considered a vaccine failure, surrogate or not.
8 Certainly identification of it is accelerated enough
9 that it might be considered surrogate.

10 I think there is excellent evidence to
11 indicate that CIN 3 is associated with cervical
12 cancer, although the information regarding CIN 2 is
13 not as clear because criteria for diagnosis are not
14 that reproducible. I think there's enough there to
15 say that CIN 2 should be lumped in with CIN 3. CIN 1
16 doesn't work just because it's a polyglot and the
17 diagnosis is extremely irreproducible.

18 The last thing I want to say is that we're
19 talking really about histologic diagnoses and not
20 cytologic diagnoses. You need to be clear on that.
21 Even though the specificity of cytology gets better as
22 the abnormality gets worse, you still have a
23 significant number of HSILs, and I'm talking about
24 cytology, that will have no dysplasia or low-grade
25 dysplasia on biopsy.

1 I think it's best to leave that as a screen
2 test. When you're talking about endpoints talk about
3 a directed biopsy or excision procedure that will give
4 you a histologic diagnosis.

5 DR. DAUM: Thank you, Dr. O'Connor.

6 Dr. Felix.

7 DR. FELIX: I am going to have to politely
8 reverse Dr. Sheets' disagreement with me and disagree
9 with her. I don't think that necessarily the function
10 of the vaccine is to prevent infection. I think that
11 you can have an extremely efficacious HPV vaccine if
12 you abort infection early.

13 In other words, induce regression at an
14 accelerated rate. We know that regression results in
15 prevention of cervical cancer. I don't think that you
16 necessarily have to prevent infection in order to make
17 an effective vaccine. Obviously the examples have
18 been brought forth for hepatitis B.

19 I think that it's a very reasonable analogy
20 to make at this point. I think if you have cellular
21 immunity that will act in aborting a lesion early on,
22 you can, in fact, enhance prevention of cervical
23 cancer.

24 DR. DAUM: Thank you very much.

25 I have Ms. Fisher, then Drs. Reeves, Katz,

1 Kohl, Palese, and Kim.

2 MS. FISHER: In terms of the idea of
3 eradicating HPV infection by vaccinating all women and
4 men, how do you know you're not going to put pressure
5 on an organism to change into a vaccine resistant form
6 when you're only using certain types such as HPV 16
7 and 18?

8 DR. DAUM: That's a provocative question.
9 I don't think we do know.

10 DR. GRIFFIN: You won't change those into
11 new types but you may have the opportunity for other
12 types to now fill those niches and we're not going to
13 know that until we do the studies. That's the reason
14 one of the things that needs to be incorporated into
15 the studies is looking at these other types.

16 DR. DAUM: People would have to be mind of
17 those things, I would think.

18 Dr. Reeves.

19 DR. REEVES: I had a couple of points and
20 they kind of go back a bit. I disagree completely
21 that if we eradicated HPV from the face of the earth,
22 all types of infected genital mucosal, that we would
23 necessarily prevent cervical cancer.

24 If, for example, we eradicated hepatitis B
25 with a vaccine program and we eradicated hepatitis C,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we would not, in fact, eradicate hepatocellular
2 carcinoma or cirrhosis.

3 DR. DAUM: Due to those agents?

4 DR. REEVES: I'm talking about the disease
5 because the disease is a complex multi-factorial
6 disease of which HPV is currently the most important
7 associated risk factor.

8 Unfortunately, I remember in the old days,
9 and I think some probably remembers this, too, when
10 herpes II caused this disease. It is not necessarily
11 a simple disease. We have an ideologic agent highly
12 associated with the disease and vaccine will probably
13 have a major effect on it.

14 I go back to hepatitis B. The timing of the
15 vaccine, as I recall, was very important in the
16 prevention of hepatocellular carcinoma. It was
17 infections of young children I think more associated
18 around the time of delivery or transplacental
19 transmission that was important. The timing in which
20 this vaccine is given is very important. We talk
21 about naive women, women who have not been infected
22 with the agent before, that's probably not the group
23 that's going to be vaccinated. I don't think we
24 always know what naive means in terms of this agent.

25 Finally, there is at least one agent that I

1 am aware of, unless it has changed, respiratory
2 'censishal virus, an apparently very good vaccine made
3 worse. That possibility --

4 DR. KATZ: It wasn't a good vaccine. That's
5 not fair.

6 DR. DAUM: Can you clarify one thing that
7 you said? If you prevented, let's say, two serotypes
8 of HPV, would you prevent an infection by those two
9 viruses? Would you prevent cancer caused by those two
10 viruses?

11 DR. REEVES: I think what we want to do is
12 prevent the affects of the infection, so preventing
13 the affects or ameliorating the affects of the
14 infection. Preventing the infection would obviously
15 do that but one would not have to prevent the
16 infection to ameliorate the affects of that infection
17 if that involves integration, over expression of
18 E6/E7, etc. I think obviously preventing the
19 infection would prevent the disease that resulted from
20 that infection, yes.

21 DR. DAUM: Thank you. Thanks very helpful.

22 Dr. Kohl, Dr. Palese, Dr. Kim.

23 DR. KOHL: I want to genteelly object to one
24 of my chairman's constructs.

25 DR. DAUM: For the first time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. KOHL: Absolutely. He proposed the
2 possibility of 100 percent prevention of an infection
3 and then the resultant 100 percent prevention of a
4 disease associated with that infection, namely,
5 prevention, let's say, HPV 16 and then prevention of
6 HPV 16 associated cancer. I would agree that is
7 probable.

8 But I think as one of my favorite people,
9 Ross Perot, said, "The devil is in the details." He's
10 not really one of my favorite people. I can't think
11 of any vaccine -- any vaccine, let alone a mucosal
12 vaccine, that is capable of preventing 100 percent of
13 infection. I can't think of that as a possible
14 scenario.

15 Therefore, I'm left with some finite
16 percentage of people in whom the prevention won't be
17 complete, who will still get infected. It's that
18 small percentage, because they have some unknown
19 immunological situation that Barbara Fisher alluded to
20 yesterday, that causes some people to progress who I'm
21 most concerned about.

22 Do they have latent infection of some kind?
23 Does antibody make that worse? I don't know. It's
24 that little group of people, 5 percent, 10 percent, 15
25 percent, that I'm concerned about and that's a big

1 unknown with this vaccine.

2 DR. DAUM: Steve, my comments were by way of
3 requesting information from experts to try and get at
4 the solidness of the link between infection and the
5 consequences. Of course, it can't be 100 percent
6 effective but in hemophilus there are some people who
7 are clearly still at risk of disease because we still
8 have a few cases occurring despite full immunization.

9 DR. KOHL: In some of them we know why.

10 DR. DAUM: The 100 percent was a
11 hypothetical discussion.

12 DR. KOHL: But it muddies the water, I
13 think, because it leaves out that 5 or 10 percent who
14 will still be infected for sure and whom we know very
15 little about why that's the case and what a vaccine
16 will particularly do in that setting in those people.

17 DR. DAUM: Thank you.

18 Dr. Palese.

19 DR. PALESE: I just want to raise the
20 question about the safety of the preparations which
21 are being discussed right now. These are, if I
22 understand it right, inactivated so they are viral
23 like particles.

24 I want to ask whether there is any evidence
25 that they have any unacceptable side effects, or that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there were any exacerbations of any kind of disease
2 associated with giving this experimental preparation.

3 Is anything known and what is the longest
4 time period that we can consider here so we can have
5 the earliest preparations being administered? Is
6 there anything known? Have we heard anything?

7 DR. DAUM: I'm going to call on Dr.
8 Goldenthal because my sense is that although safety is
9 crucial to any plan to go forward with the deployment
10 of this vaccine, it hasn't been among the things that
11 we've been asked to consider today, at least head on.
12 How would you like us to take up this question of
13 safety, Dr. Goldenthal?

14 DR. GOLDENTHAL: Well, I think that it's a
15 reasonable topic for discussion, especially when we
16 get to question No. 2 because one of the issues there
17 would be potentially the amount of safety data that we
18 would have prior to licensure. I think it's a
19 legitimate thing.

20 In answer to your question, I think I can
21 say in general there's been maybe three years or so of
22 follow-up on individuals who have received VLPs in
23 various trials. The numbers are fairly limited at
24 this point. Maybe a few thousand at most.

25 It would be hard based to make, you know,

1 based on -- while there's nothing that's been
2 troubling that I'm aware of, it also would be hard to
3 make a lot of conclusions at this point.

4 DR. DAUM: I think when we focus more on
5 this accelerated approval question and I think we need
6 to return to this issue, at least only to state what
7 we believe would need to be done before we would be
8 comfortable.

9 Dr. Kim.

10 DR. KIM: Well, we heard a lot about some
11 aspects of HPV infection and how infection would
12 either regress or persist. Again, we also talk a lot
13 on the issues of a persistent infection which has been
14 very arbitrarily defined and interpreted amongst all
15 of us.

16 I have not got the concept yet. What is the
17 biological relevance of persistent infection,
18 particularly as it relates to CIN 2 and 3, not
19 cervical cancer at this juncture?

20 DR. DAUM: Thank you.

21 Dr. Goldberg.

22 DR. GOLDBERG: My question -- it's a comment
23 really. We saw a lot of data on different intervals
24 for defining persistence, the time between the two
25 successive observations.

1 It seems to me that a study such as the one
2 we heard from the NCI yesterday should allow us to be
3 able to look at the distribution of lengths of
4 persistence in a large population and then relate back
5 to later events.

6 I would like to see that kind of thinking
7 incorporated into the trials that are designed
8 regardless of what endpoints we choose because I think
9 this will be relevant as we go forward.

10 DR. DAUM: Dr. Sheets is scheduled to speak
11 next and maybe I would ask before you make what
12 comment you wish, could you address Dr. Goldberg's
13 question in that if persistence is going to be used as
14 an endpoint, vis-a-vis question 1b, then what
15 definition does a real expert in this recommend that
16 we use? Clarify your question first.

17 DR. GOLDBERG: Okay. I'm not convinced that
18 I saw anything that would give me great comfort in any
19 of the definitions. What I'm suggesting is that as we
20 design trials going forward that we incorporate the
21 ability to look at the distributions of the lengths of
22 time between the successive positive tests for HPV.

23 I think particularly the information from
24 the control groups will inform out thinking with
25 regard to the influence of this on the later endpoints

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 such as CIN 2 and 3. What I'm thinking is that if you
2 cut that interval too short, you're taking away all
3 the cases.

4 You're using cases that would have resolved
5 by themselves that will have no impact. If the
6 interval is too long, you may be practically there.
7 I think you can get some information.

8 I think the NCI trial that we heard
9 yesterday, if I understand the data correctly, and if
10 the remainder of the cohort other than the ones that
11 were positive at entry are examined over time and you
12 may get some important information.

13 It's sort of like developing a receiver
14 operating characteristic curve on different cut points
15 for the definition of what the interval between
16 successive positive HPV tests are that would be
17 meaningful later.

18 DR. DAUM: Now, Dr. Sheets. Thank you.

19 DR. SHEETS: I don't think I can speak
20 specifically to the NCI close session data talked
21 about yesterday.

22 DR. DAUM: Nor do we really want you to.

23 DR. SHEETS: But I do think it's relevant to
24 say at this point in time in 2001 that we don't know
25 the answer to your question in regards to what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 represents a persistent infection that's clinically
2 relevant, or if that is even important depending on
3 your time point or endpoint or what you want to
4 prevent.

5 Ultimately we want to prevent invasive
6 cervical cancer, both adeno and squamose. That's the
7 goal here. We don't know whether we can translate HPV
8 presence, high-risk oncogenic presence, specific to
9 the viral type being vaccinated against as being a
10 surrogate for that or not. That's the discussion I
11 think is on the table.

12 I'm not an expert in that in terms of
13 virology persistence, but I would say that I don't
14 know yet from the data that I've seen in the world's
15 literature, nor heard in closed session that I can
16 make that statement. It should be incorporated into
17 whatever trial we decide is endpoints.

18 I guess within the bounds of what can be
19 presented here in open session compared to closed, I
20 would like to hear from Doug Lowy his point of view in
21 terms of what this vaccine or vaccines in general that
22 are prophylactic would probably be the best way to
23 phrase this. A prophylactic vaccine using VLPs
24 theoretically should be doing for us in terms of how
25 it interrupts the HPV cycle or if we know that at all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in this point in time because I think it's an
2 important consideration in answering Dr. Felix's
3 disagreement with me, disagreeing with me over what
4 we're agreeing as to whether you actually do get an
5 infection, yet dissipate that infection so it doesn't
6 become clinically relevant and the vaccine does do
7 that for us.

8 My concern is that we're using a systemic
9 system both across the table and over here, an
10 hepatocellular infection that is not necessarily the
11 same as what we're talking about here today in the
12 mucosal immune system. I'm just interested in hearing
13 what Dr. Lowy could point out in that. Is that
14 possible?

15 DR. DAUM: Is Dr. Lowy here?

16 PARTICIPANT: Yeah. Right there.

17 DR. DAUM: Do you care to comment on this?
18 You're not obliged to.

19 DR. LOWY: Ellen, thank you very much. I
20 think that the issues that are being raised are very
21 pertinent and relevant to the discussion. My
22 colleague, John Schiller, may want to amplify on some
23 of my comments.

24 My sense of the VLP vaccine is that it is
25 going to be doing -- it is basically going to reduce

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the inoculum. We haven't talked much about viral
2 inoculum but with most infectious diseases the size of
3 the inoculum has a very important impact on disease
4 downstream.

5 By reducing the inoculum there should be one
6 of two outcomes. One is that you would completely
7 prevent infection, and the other would be that you
8 would reduce the number of infectious hits.

9 There also is a possibility it's ambiguous
10 whether the target, which is the transition zone of
11 the cervix, is the immediate site of infection or
12 whether there might be a remote site distant from
13 that.

14 You could imagine that antibodies might have
15 a further impact on reduction of going to the site of
16 the target, if you will, analogous to the hepatitis
17 situation where you get infection but it doesn't get
18 in sufficient numbers to the target. I think it's
19 ambiguous which way that would work. In the best case
20 scenario it would be a complete prevention of
21 infection but I certainly wouldn't expect it to do
22 that in all individuals.

23 In terms of the long-term persistence of
24 antibodies, I suppose it's hypothetically possible
25 that might have an adverse impact, but there is no

1 theoretical reason to believe that you are going to be
2 -- that it would have such an impact.

3 We haven't seen in the limited trials that
4 we have done which involves maybe 100 individuals, we
5 haven't seen a group of people who are particularly
6 resistant to responding in terms of immunity or
7 particularly susceptible when we look at the bell-
8 shaped curve.

9 The concern of Dr. Kohl that maybe you're
10 picking out a particular group of people, I think
11 while it's hypothetically possible, I don't think we
12 have a coherent notion that the latent infection would
13 be more likely to be more serious because of
14 antibodies being present, although I think
15 hypothetically that might be a possibility.

16 With regard to persistent infection, I think
17 that Dr. Fleming is, of course, raising a very
18 important issue about the duration of infection.

19 It's one of the reasons why when one picks
20 persistent you would like to have a relatively long
21 period of time, thereby increasing the probability
22 that by reducing those persistent infections that you
23 really would be having an impact on the clinically
24 important downstream events.

25 The precise number whether it should be six

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 months, 12 months, 24 months is going to be somewhat
2 arbitrary which is what Mark was trying to point out
3 yesterday. There are some data now, and there will be
4 better data.

5 Even when you get the better data it's going
6 to be a balancing act. I guess with Dr. Wilkinson, I
7 think that he raises a very important issue of the
8 question of referring people for colposcopy.

9 My question for Dr. Wilkinson would be if
10 you get referred to for colposcopy, what's the
11 likelihood that you would be biopsied? Because if you
12 were going to be biopsied, then you presumably would
13 be out of a clinical trial.

14 DR. DAUM: Having said all that and being
15 practically oriented, given all your expertise and
16 given the arbitrary nature of the decision that I'm
17 about to ask you for, if you were to pick, and
18 emphasis on the word "if," persistent infection as an
19 endpoint, what definition would you use for that?

20 DR. LOWY: I really am relying on Mark
21 because he is our expert. He is our medical
22 epidemiologist. He feels that an appropriate balance
23 would be a year, that you will be clearing out most of
24 the, if you will, clinically irrelevant infections and
25 it will have a high predictive value of preventing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 significant proportion of the downstream events.

2 DR. DAUM: One year. Thank you very much.

3 Okay. The next people to speak are Drs.
4 Snider and Myers. Are these clarifications of this
5 very issue?

6 DR. SNIDER: Yes.

7 DR. DAUM: Okay. Then Drs. Griffin and
8 Snider. Dr. Snider, you're next anyway. Why don't
9 you go first and then Dr. Griffin on this very issue.
10 Then we'll go on to Dr. Myers next.

11 DR. SNIDER: I would like to just pick up on
12 the comments that were just made. The trade off, as
13 I understand it, is even more profound in the sense
14 that it's not just specificity of the study endpoints,
15 but there are some clinical implications, some ethical
16 implications in terms of the intervals you choose.

17 If you choose a shorter interval, of course,
18 then you have the possibility that's already been
19 mentioned or the certainty that's already been
20 mentioned, that you'll be calling a lot of endpoints,
21 significant endpoints which are not significant in the
22 sense that they will regress.

23 There also is a clinical corla in the sense
24 that it sounds as if whatever interval is chosen,
25 there will be some interventions that again will have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some not only economic cost but some morbidity and at
2 least psychological morbidity and physical morbidity
3 associated with them.

4 The longer you go the more specificity you
5 get, but then if I understood correctly, for two
6 reasons you may wind up with more cancers. One is
7 because there are a few women who would rapidly
8 progress. If you went to two years, for example,
9 there are a few women that I think he said you would
10 lose. I think he said you would lose but I think what
11 he meant was they would progress quite rapidly to
12 cancer.

13 The other, of course, is this whole issue of
14 compliance in clinical trials. The longer you wait,
15 the more you signal that this is not all that
16 important and women start dropping out and they don't
17 come in for that two-year visit.

18 Again, you run the risk of having these very
19 serious outcomes that have more morbidity and perhaps
20 even mortality associated with it. It is a delegate
21 balancing act.

22 I just wanted to at least indicate some of
23 my understanding about some of the value judgements
24 and some of the ethical and other implications of
25 making that choice. There is no exactly right answer

1 right now.

2 The other thing that has to do with
3 persistent infection in terms of how you define it is
4 not just the interval but it has to do with how many
5 specimens you want. Also, as has been pointed out,
6 how you obtain those specimens and what assay you use.

7 These are all critical issues in terms of
8 defining persistence to have to be looked at very
9 carefully. I'm not sure this committee can get into
10 all of those details but there are some general
11 principles, I think, we could probably articulate
12 about what we would like to see with regard to the
13 intensity in which one investigates and the
14 characteristics of the test.

15 DR. DAUM: Thank you, Dixie.

16 I have Drs. Griffin, Myers, Freeman,
17 Pagliusi, and Kohl.

18 DR. GRIFFIN: I just wanted to comment on
19 and get Doug to expand on the reason that persistent
20 infection is such an important part of the pathogenic
21 process that we are trying to prevent and also examine
22 in these women.

23 It's my understanding that the longer the
24 virus continues to replicate in its site, the
25 increased likelihood that you'll get integration,

1 which is a random event, and other oncogenic changes
2 in those cells that will then eventually result in
3 carcinoma.

4 That is sort of the biologic principles
5 under which one becomes interested in persistent
6 infection and the length of persistent infection. But
7 I would like Doug's comment on that.

8 DR. LOWY: This is a series of genetic
9 changes presumably and the more opportunity you have
10 in terms of chronologically, the more likely it is to
11 happen.

12 DR. DAUM: Thank you.

13 Dr. Myers, please.

14 DR. MYERS: I'm not a papilloma virologist
15 but I think we need to be careful about some of the
16 terms we're using like inoculum and persistence and
17 latency and replication because we really don't know
18 how to measure those in the circumstances that we're
19 talking about.

20 I guess the question is, to go back to the
21 comment that you made, the reducing inoculum. Is that
22 really the intent or is what we're trying to do is
23 alter the natural history of persistent outcome? I
24 think that's important if you go back to Dr. Reeves'
25 comment earlier.

1 This vaccine is not going to just be given
2 to naive individuals. I think we need to explore --
3 and we haven't really talked much about this but we
4 really need to explore the intent to immunize the
5 outcome from the intent to immunize.

6 When we're talking about persistence and
7 when we're talking about high-grade disease, we need
8 to address that in individuals that are both HPV 16
9 and 18 positive as well as naive because we really
10 don't understand these virologic events in the natural
11 history of the clinical setting. I think we've been
12 skirting that issue.

13 DR. DAUM: Thank you, Dr. Myers.

14 Dr. Freeman.

15 DR. FREEMAN: I just wanted to make a brief
16 comment that the choice of these endpoints and, in
17 particular, the precision with which these endpoints
18 can be determined, I think, are incorporated into a
19 trial that would lead to an accelerated approval or
20 further I think are very important.

21 Not just from the point of view of
22 demonstrating that the vaccine works but in convincing
23 the subjects who will eventually receive -- the males
24 and females who will eventually receive this vaccine
25 if this thing actually works.

1 I'm reminded of the comments of one of the
2 advocacy groups from yesterday that if the vaccine is
3 approved, it really has to be meaningful in order to
4 get compliance and usage to do what it's supposed to
5 do.

6 The other thing is the physicians who are
7 going to administer the vaccine and monitor these
8 patients safely have to have a good idea about the --
9 have to be convinced that the trial really
10 demonstrated the efficacy of the vaccine in terms of
11 the way they understand the disease process.

12 I'm not sure from all that I've heard,
13 although I am convinced of the association that has
14 been mentioned, the association between the HPV
15 viruses and this disease. I'm not sure how easy it's
16 going to be to rely on the HPV endpoints as
17 indications for usage of the vaccine practically.

18 DR. DAUM: Thank you.

19 Dr. Pagliusi.

20 DR. PAGLIUSI: Thank you. I would like to
21 come back to the persistence of infection to the
22 balancing act. I would like to address a question to
23 the experts. Maybe Doug Lowy could help me here.

24 If we would think of measuring persistent
25 infection twice, three times, four times, what that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 increase the confidence and the effectiveness of the
2 vaccine or the efficacy of the vaccine?

3 DR. DAUM: I'm not sure I understood the
4 question. May I ask you to clarify?

5 DR. PAGLIUSI: My question is addressing
6 persistence of infection. Dr. Lowy proposed that one
7 year may give us more confidence on the results. My
8 question is now if within this one year we would see
9 three positives or four positives.

10 DR. DAUM: Or two.

11 DR. PAGLIUSI: Or two, what are the
12 balancing here?

13 DR. LOWY: Sonia, I think that certainly it
14 would be preferable under ideal circumstances to
15 sample more frequently and to have recurrent positive
16 results. My impression is that if you had two
17 positives separated by whatever interval it was, you
18 could then go back and be quite sure that this was
19 with the same variant or not with the same variant.

20 Then you could be quite sure that the
21 individual was continually infected with the same
22 virus or a different one. I think that would be
23 adequate.

24 DR. DAUM: Thank you.

25 Dr. Kohl.

1 DR. KOHL: I was a little confused. Oh,
2 Dixie's not here. I think Dixie was implying that
3 different time periods, i.e., a 12-month persistence
4 or 24-month persistence would some how affect and I
5 think he used the words "lose some women."

6 I was not under the impression that the
7 amount of time that was chosen for persistence would
8 per se affect how woman are followed for cervical
9 cancer screening and that women would still be
10 followed according to standard of care no matter what
11 the time period were for what was decided as
12 persistence. Is that correct? So no matter what you
13 pick as a definition you're not going to "lose
14 people."

15 DR. DAUM: I think we're getting to a point
16 where people are locking in their ideas about what
17 would be the endpoint they most favor. But before we
18 really start systematically debriefing everybody of
19 those few points, I would like to ask people to
20 consider this.

21 Is it possible, Karen, and I hope this is
22 within the spirit of your first question. Is it
23 possible to consider multiple endpoints? For example,
24 is there a possibility of designing research, a
25 clinical investigation, a vaccine trial if you will,

1 that looked at different endpoints in sequence with
2 each other and had sort of separate analyses for these
3 different endpoints and sequence? Is that a feasible
4 way to think about this, or do we need to focus on
5 just one?

6 Karen, I would like you to respond to that
7 first and then maybe others.

8 DR. GOLDENTHAL: I believe you could design
9 a trial that way. That isn't the way we have
10 ordinarily proceeded for preventive vaccines, but I
11 think it's theoretically possible obviously with
12 rigorous prospective statistical analyses plans and
13 designation of endpoints.

14 DR. DAUM: Does anyone want to comment on
15 that thought or that idea?

16 Dr. Kim.

17 DR. KIM: I was given the information that
18 somehow linkage has been not clearly delineated and
19 some question. I support the concept that perhaps two
20 endpoints can be incorporated. For example, the first
21 one would be persistent infection but second would be
22 truly translated into CIN 2 and 3 as secondary
23 endpoint.

24 DR. DAUM: Okay. Thank you.

25 Dr. Decker. We haven't heard much from you

1 today.

2 DR. DECKER: Or yesterday.

3 DR. DAUM: Or yesterday. Here's your
4 chance.

5 DR. DECKER: I'm glad you brought up a point
6 you just did because I've been thinking about that.
7 It seems to me that if it ends up being decided that
8 the primary endpoint for a trial would be
9 nonvirological.

10 Then I think it would be imperative that
11 their be co-primary or strong secondary endpoints that
12 were virological, if for no other reason than so that
13 future trials would be guided by the understanding of
14 the links between the virological and the clinical
15 outcomes.

16 To me it almost goes without saying that it
17 would be essential that there be virological
18 surveillance and virological endpoints measured in any
19 trial whose primary endpoint was clinical.

20 DR. DAUM: Thank you.

21 Dr. Snider, Dr. Fleming next.

22 DR. SNIDER: I's just like briefly to
23 address that point, too, I think in view of the
24 evolving knowledge base, the rapidly evolving
25 knowledge base around this issue, having that kind of

1 a trial may be not only advantageous to the FDA, this
2 committee, but to the manufacturer as well because it
3 allows you in one trial to make adjustments as new
4 information becomes available rather than going out
5 and having to redesign the trial. There's a lower
6 risk of having to redesign trials.

7 DR. DAUM: Dr. Fleming is next.

8 DR. FLEMING: Bob, I strongly endorse your
9 thought. I think in this setting where there is such
10 uncertainty, and I think Steve had mentioned it
11 earlier, it certainly leads me to be more cautious.
12 The benefits of looking at a multi-dimensional or
13 multi-variate type of outcome certainly does give us
14 chance of capturing a broader spectrum of the nature
15 of what the effects are.

16 After we discuss this broad issue, in fact,
17 I had two or three other specific issues that I was
18 hoping to discuss that really relate to this, to two
19 of the domains of what I would think of as what might
20 be the dimensions of this surrogate.

21 DR. DAUM: Go ahead.

22 DR. FLEMING: Okay. Well, one of them is
23 we've -- my understanding is we're going to be
24 focusing predominately on vaccines that would target
25 the HPV 16 and 18 types. Certainly as we look at

1 outcome marker surrogates, the ones that will be most
2 sensitive to the effects of these vaccines will also
3 be type specific.

4 At least as I'm thinking through my own
5 formulation of what might be a surrogate or an
6 accelerated approval measure versus what might be a
7 full approval measure, it would be the distinction in
8 accelerated approval of allowing focus more on those
9 type specific outcomes but full approval on more
10 validation of a global benefit.

11 My sense of how important that distinction
12 is I have uncertainties. My understanding from the
13 data that was presented yesterday is something on the
14 order of 60 to 70 percent of CIN2/3 as associated with
15 HPV 16/18.

16 Before you comment on that, you can confirm
17 or refute that, but my more important question is what
18 is the nature of the -- how much of cervical cancer is
19 attributable to 16/18? Will there be an opportunistic
20 influence here? If you essentially reduce to
21 eliminate 16 to 18, what influence does that have?
22 Could we expect that will have on the global rate of
23 cervical cancer?

24 So there's two elements to this. The one
25 element is in the current milieu of the mixture of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 these types, what fraction of cervical cancer is
2 attributable to 16/18 and if you eliminate that causal
3 influence, are there opportunistic influences that
4 would alter what the ultimate reduction in the rate of
5 cervical cancer would be?

6 DR. GOLDENTHAL: Well, across studies I
7 would say that about 60 percent of cervical cancers
8 overall globally are due to 16 and 18. That's a rough
9 approximation.

10 I would suspect that in the U.S., as I
11 mentioned yesterday, the adenocarcinoma components is
12 becoming of increasing importance so that the 18
13 component, in my mind, has a lot of importance here.
14 In terms of CIN 2/3 I think somewhere in the range of
15 50 to 70 percent of CIN 2/3 may be attributed to type
16 16 and 18.

17 DR. FLEMING: So you are confirming the
18 approximate CIN 2/3 numbers that I gave, around 60 to
19 70 percent. You're suggesting that under the current
20 milieu that there is a corresponding comparable
21 percentage of cervical cancer that can then be
22 attributable to 16/18.

23 The third aspect of it was is there any
24 sense if you eliminate that component, can we conclude
25 that we'll be left with then 40 percent, or could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there be an opportunistic aspect here such that the
2 actual reduction in the rate of cervical cancer may be
3 less than that?

4 DR. GOLDENTHAL: I think what you're asking
5 about in part is replacement. In other words, if you
6 eliminated some types would you have replacement with
7 others. I've actually looked in the literature for
8 that very -- to address that very question.

9 I didn't see evidence from the literature
10 that removing, let's say, type 16 would be more likely
11 to cause persistence of other types. Again, none of
12 this is in the context of a vaccine trial so that has
13 to be kept in mind also.

14 DR. SNIDER: Thank you. Karen, on that
15 particular point --

16 DR. DAUM: Dr. Snider, is this on this very
17 point?

18 DR. SNIDER: Yes.

19 DR. DAUM: All right. Let's finish this
20 point. Go ahead. People are waiting in line so
21 please go ahead and finish this point.

22 DR. SNIDER: I just wanted to point out that
23 in the mathematical model yesterday this was taken
24 into account and they assumed the reason it was taken
25 into account is because women get infected with

1 multiple genotypes of HPV so that just because you're
2 infected with 16 or 18 and develop CIN 2 or 3 cervical
3 cancer as a result of that doesn't mean you are immune
4 to.

5 It means, in fact, you're not immune to some
6 of the other oncogenic genotypes. There would be not
7 so much a replacement but there would be cervical
8 cancer in people who receive this vaccine as a result
9 of their being infected with other oncogen types if I
10 understood the model correctly. It's a small
11 proportion but, I mean, it's there.

12 DR. GOLDENTHAL: I don't think I want to
13 comment on that model.

14 DR. DAUM: Let's go on then. Finally, Dr.
15 Reeves.

16 DR. SNIDER: I apologize.

17 DR. REEVES: I have two comments, one just
18 a follow-up on this. I would agree with everything
19 that Dr. Goldenthal said. I mean, I think there's not
20 going to be a rush of other types to replace it. If
21 it works, if there is an effect with 16 and 18
22 associated cancers, then that gives us more evidence
23 to make better vaccines.

24 I would agree with two endpoints. Actually,
25 I was unfortunately looking at the slide and I'm

1 wondering if we shouldn't discuss three. To me, CIN
2 means histologically confirmed disease and high-grade
3 squamous intraepithelial lesions means PAP smear
4 diagnosed disease.

5 I'm wondering since PAP smears are what, in
6 fact, women screen in on, are a relatively easy
7 procedure to do rather than following women all the
8 time with colposcopy and biopsy to get a CIN diagnosis
9 whether, in fact, studies should not have a large PAP
10 smear component and include obviously with colposcopic
11 follow-up but include reduction in high-grade squamous
12 intraepithelial lesions as well as reduction in CIN
13 2/3 as well as potentially a decrease in infection or
14 persistence of shedding.

15 DR. DAUM: Thank you very much, Dr. Reeves.
16 My sense is that we've really had a fairly thorough
17 sort of go around in terms of issues that bear on
18 question 1, the issue of endpoint choice.

19 So what I would like to do is take a short
20 break. Then upon return to begin systematically
21 polling not as a vote but systematically hearing from
22 each member of the panel in terms of the endpoint
23 question. It's 10:25 here in the eastern time zone,
24 or 10:20 according to this green clock. We'll take a
25 15-minute break and reassemble at 10:35.

1 (Whereupon, at 10:22 a.m. off the record
2 until 10:39 a.m.)

3 DR. DAUM: Would everybody take their seats
4 and get ready? Thank you very much. We're missing a
5 few people and that's out of the table I must say. Do
6 you know where everybody is?

7 PARTICIPANT: No, but I'll go find them.

8 DR. DAUM: Okay. We are now going to sample
9 opinions, so to speak, on question 1. Before we do
10 that, Dr. Fleming has a couple of succinct unspoken
11 points to raise.

12 Dr. Fleming.

13 DR. FLEMING: Thanks, Bob. I'll just keep
14 this to one theme, and that theme is we heard some
15 brief discussion at the beginning of this morning
16 about as we're struggling with defining which of these
17 markers are really the appropriate ones to use as
18 surrogate or replacement endpoints in accelerated
19 approval or, for that matter, even full approval we've
20 noted that some of these markers, certainly CIN 2/3,
21 maybe even persistent infection, influence how
22 interventions or care is delivered.

23 There has been at least some uncertainty
24 about how that then impacts our view of the
25 appropriateness of those markers as surrogates.

1 I guess the point I want to make is it's not uncommon
2 in clinical practice in many disease settings for
3 markers to be used and their use can be in several
4 different ways.

5 Markers can be used as prognostic factors to
6 guide patients and caregivers on risk of outcomes.
7 They can be used as triggers for when and how to
8 intervene. They can be used as surrogate endpoints
9 which by definition we should mean as endpoints that
10 serve as replacements for other ultimate more
11 important clinical endpoints.

12 The point that I want to make here is that
13 those are three distinct purposes and it may be that
14 some markers are appropriate for some purposes and not
15 others.

16 As a quick example, in the HIV world where
17 we're looking at interventions to prevent transmission
18 of HIV, it's clearly known that STDs are a prognostic
19 factor indicating higher risk for transmission of HIV.
20 But that doesn't mean that even though they are
21 clearly prognostic markers that they are appropriate
22 surrogate markers.

23 A couple simple examples of this, there were
24 a couple of major trials done of STD inventions in
25 developing countries to look at whether we could

1 prevent transmission of HIV by preventing STDs. In
2 the RICAH trial with a mass intervention, we were
3 successful in reducing STDs but we had no impact on
4 HIV.

5 Conversely in the MELANZA trial with
6 syndromic interventions we had no impact on a number
7 of STDs but we reduced HIV. You can readily have a
8 prognostic factor. Because it's a prognostic factor,
9 that doesn't mean that it's specifically a replacement
10 endpoint or surrogate endpoint.

11 It can also trigger an intervention.
12 Classic example, in cardiovascular diseases we know
13 that arrhythmias are risk factor for sudden death.
14 It's clearly a prognostic factor. For that reason, it
15 triggered many people to then use anti-arrhythmic
16 interventions, echinide and flecunide, for example, to
17 reduce arrhythmias which they do do with the intention
18 of reducing sudden death.

19 Two hundred to 500,000 Americans a year were
20 using them on this premise. Ultimately a placebo
21 controlled trial was done that showed that they
22 actually did reduce arrhythmias but they tripled the
23 death rate.

24 A marker that is clearly prognostic that may
25 trigger a physicians use to intervene doesn't

1 necessarily mean it's a reliable replacement measure
2 to ultimately judge the effect of the intervention on
3 the clinical endpoint.

4 The final example that I might give is early
5 HIV infection. We can treat early HIV infection using
6 HIV levels as a guide for how to tailor the
7 intervention and that may well be an appropriate
8 strategy.

9 If you want to mix the types of anti-virals
10 we're using to achieve undetectable levels for an
11 early infected HIV person, but that doesn't at all
12 mean that reducing viral lows to undetectable levels
13 in a certain manner is a clear surrogate endpoint for
14 achieving prevention of long-term transmission of HIV,
15 long-term occurrence of systematic disease and death.

16 Ultimately what is important is that when we
17 consider markers in a case like this, which would be
18 persistent infection, for example, or CIN 2/3 to
19 distinguish the fact that they are clearly prognostic.

20 We know that they are prognostic. They may
21 be used to trigger intervention. Certainly CIN 2/3
22 is. But whether that makes them -- it doesn't at all
23 address whether the question that we're really
24 interested in, which is whether they are appropriate
25 replacement endpoints.

1 Although I will say -- certainly I will
2 acknowledge that if CIN 2/3 is a trigger for an
3 invasive surgical intervention, then a vaccine that
4 would prevent the need for that invasive surgical
5 intervention, that is a direct intrinsic value, but
6 that doesn't also lead to the additional conclusion
7 that we're doing anything specific relative to
8 preventing cervical cancer.

9 DR. DAUM: Thank you very much. I think
10 that is a very clarifying and helpful perspective.

11 Dr. Snider, you wanted to speak to this very
12 issue?

13 DR. SNIDER: Actually, a very quick point
14 that is a little different, and that is that it was
15 mentioned to me at the break and sort of shamed me as
16 an epidemiologist that I hadn't brought this up
17 earlier. An FDA staff member by the name of Dr. Ellen
18 Birch pointed out to me that in our discussion of
19 concerns about eradicating HPV 16 and 18 infections in
20 individuals.

21 We didn't think about the secondary effects
22 of reducing the prevalence of those infections in the
23 populations and, therefore, even if there were certain
24 individuals who were not protected by the vaccine and
25 got cervical cancer, if we were able to reduce HPV

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 prevalence in the population by 80 percent or so, that
2 other people who were susceptible to cervical cancer
3 from this infection may not even acquire it because
4 the prevalence in the population had been reduced. I
5 just felt that it was an important point that needed
6 to be brought out in this consideration.

7 DR. DAUM: In other words, an effect in the
8 transmission perhaps.

9 DR. SNIDER: Yes.

10 DR. DAUM: You're absolutely right. It
11 hasn't been said and it sort of goes in the thinking
12 of how vaccines work when deployed over a whole
13 population.

14 DR. SNIDER: And Sam points out the
15 magnitude of that would be greater if you gave it both
16 to males and females. Still I think even if you gave
17 it to females it would have some effect.

18 DR. DAUM: No, I think that it's good that
19 it's been said. I'm sure it's been on many of our
20 thoughts as we go through.

21 I would like to sort thicken the soup a
22 little bit with raising one more issue. That is, this
23 issue of accelerated approval. What I think we can do
24 is have Karen Goldenthal remind us exactly what the
25 agency means by that which she has agreed to do during

1 the break.

2 Then I think we can try going around and
3 getting everyone to speak to these issues to
4 incorporate this idea into your comments. I had
5 thought initially we would go around twice but I don't
6 think we need to. If that view needs to be
7 reassessed, then I'm happy to reassess it.

8 I think that given your choice of endpoints,
9 that you can also say how you would phase it in, how
10 you would advise the agency to phase it in to their
11 strategy for approving these vaccines.

12 In order to prepare us for this discussion,
13 I'm going to call on Karen first to remind us in very
14 precise succinct language, which she has agreed to do,
15 what exactly is meant by accelerated approval and how
16 it might phase into your choice of endpoints or
17 multiple endpoint scenario.

18 DR. GOLDENTHAL: Thank you. I have a couple
19 of points to make here. Accelerated approval is
20 basically the use of a surrogate marker that's
21 reasonably likely to predict clinical benefit as the
22 basis for an approval, but that's not the end of it.
23 You have to have a confirmatory trial that would need
24 to be well controlled and well underway at the time of
25 approval. I would even think at the time of a BOA or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 license application submission for the accelerated
2 approval endpoint.

3 Just a few things to keep in mind in that
4 regard. This means that FDA would be asked to do an
5 approval based on interim data with the accelerated
6 approval application. That particular interim data
7 would need to be presented to an advisory committee.
8 You need to be thinking of how you would feel being an
9 advisory committee member and having that particular
10 accelerated approval endpoint to base your decision
11 on.

12 Another critical thing pertains again to the
13 timing of the confirmatory trial, particularly with
14 regard to its completion. I think you need to think
15 very carefully about whether randomized trial could
16 realistically continue following an accelerated
17 approval.

18 My suspicion is that at least in the U.S.
19 that would be problematic. When I thought about
20 applying accelerated approval, as I mentioned
21 yesterday, I thought about the fact that it cuts about
22 -- it would potentially make the vaccine available
23 maybe a year earlier than it would be otherwise
24 thinking of the FDA review and approval process.

25 DR. DAUM: Thank you, Karen.

1 Dr. Kohl, you have been placed at jeopardy
2 by Dr. Stephens' departure. I'm sorry about that.

3 What I would like to do is to ask each
4 person now, and we'll go around, to comment succinctly
5 on the two questions, that's 1 and 2. I would like
6 you to see if you could incorporate into your comments
7 an issue that the agency has raised and asked for your
8 comment, and that is the indication.

9 In other words -- I guess in other words,
10 and Karen Goldenthal, correct me if I don't understand
11 it, if there were an accelerated approval scenario
12 where something were approved based on an interim
13 indication, what would the approval indication say?
14 I need you to sort of put that into your comments as
15 well.

16 Dr. Kohl, let's start with you and we'll
17 just get a feel for how this goes. We have a little
18 over an hour to do this and I think we can get it
19 done.

20 Not quite yet. Clarifying comment.

21 DR. GOLDENTHAL: Also the indication. Our
22 question about what should the indication be also
23 would apply to traditional approval.

24 DR. DAUM: Thank you, Karen. One more bit
25 of food to swallow.

1 DR. PALESE: And this is not a vote,
2 .correct?

3 DR. DAUM: This is not a vote. This is your
4 comment. They will be noted, recorded, and thought
5 about, I can assure you, line by line.

6 Dr. Kohl.

7 DR. KOHL: We are being asked to consider
8 endpoints for a vaccine that hopefully will provide
9 long-term, possibly lifelong protection against
10 cancer. The things that give me pause in terms of an
11 early surrogate, and I'm not sure what is distal and
12 what is proximal but in terms of a virological
13 surrogate is we have no idea what the duration or
14 protection is yet for any of these type vaccines.

15 There's a significant question about
16 population heterogeneity and detection in different
17 types of populations which I think needs to be
18 addressed, or looked at, at least.

19 We don't have a clear definition of what
20 persistence of viral infection is yet from the
21 experts, although that may evolve in the next year or
22 two possibly. The considerations for size obviously
23 have to include what sample size and how long a
24 duration would be necessary for safety as well as some
25 kind of efficacy in terms of markers.

1 The last point that Karen brought up, early
2 licensure, I think, will seriously preclude subsequent
3 studies of this vaccine, the hypothetical vaccine,
4 and, in fact, future vaccines for HPV prevention.

5 Bearing those issues in mind, what I would
6 call for in terms of primary efficacy is a CIN 2/3
7 model. I would urge that this study be powered such
8 that CIN 2/3 could be clearly defined in terms of
9 efficacy, but it would include sequential virology
10 yearly or every six months.

11 I'm not sure what is appropriate and what
12 the best technique will be at the time that this study
13 is undertaken. Right now it looks like it's PCR. I
14 would include definition of all oncogenic HPVs, not
15 just the ones that are in the vaccine so we can look
16 at replacement.

17 And also would include immunological
18 parameters that would allow us to determine what the
19 correlates of protection are against both infection,
20 persistent infection, and CIN 2/3.

21 Given that as question No. 1, then I come to
22 is there something acceptable for me for accelerated
23 licensure.

24 If this study were to proceed as I envision
25 it, then for provisional licensure or accelerated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 licensure I like something that Dr. Fleming suggested
2 yesterday; namely, that my primary endpoint is going
3 to be CIN 2/3 efficacy but accelerated could be a
4 proof that there is a significant difference in CIN
5 2/3 between a placebo and a control.

6 That is, as soon an interim analysis shows
7 a significant difference, accelerated approval might
8 be asked for, but in that study will obviously come
9 efficacy.

10 DR. DAUM: Thank you very much, Dr. Kohl.
11 We're off and running. What you did that was really
12 wonderful is you actually managed to address all the
13 things I asked for and the agency asked for. If
14 everybody could sort of make a little checklist in
15 their minds as we go around to try and touch each of
16 those points, I think we'll have a wonderful
17 discussion.

18 DR. KOHL: The only thing I didn't address,
19 I guess, would be what the package insert would say
20 about what this prevented. I think it would say
21 prevention against CIN 2/3 with probable effect on
22 cervical cancer but not proven.

23 DR. DAUM: Thank you.

24 Dr. Griffin.

25 DR. GRIFFIN: Okay. With respect to

1 question 1, I guess my choices are A, B, and E. I
2 think that I'm of the opinion that if you prevent A,
3 incident infection, you will, therefore, by definition
4 prevent persistent infection.

5 Now, whether you need then to -- and the
6 main objection to saying preventing incident infection
7 is what a criterion is for the efficacy of the vaccine
8 and that may be much too stringent. As many people
9 have brought up, you may get infection that is rapidly
10 cleared and, therefore, preventing persistent
11 infection would be a more realistic surrogate.

12 I think that data will just have to evolve
13 so if you required prevention of persistent infection,
14 you would accomplish that if you were also preventing
15 incident infection. Therefore, I guess B would be the
16 main virologic endpoint.

17 I guess I am most convinced by the one-year
18 endpoint for persistence, definition of persistence,
19 but, at the same time, realizing that this is a bell-
20 shaped curve, about when the actual oncogenic
21 activities for a virus infection would actually kick
22 in for any individual person you can't predict.

23 In some people that's going to happen early
24 and in some people that's going to happen late. In
25 some people that's not going to happen at all. There

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 isn't going to be any way to predict for an
2 individual.

3 Then, lastly, I think that the outcome
4 that's most closely related to development of cervical
5 cancer is the CIN 2/3 pathologic endpoint. What I
6 would like to see in a trial is imbedded both
7 outcomes, that you have two parameters.

8 Don't ask me how you would design this but
9 I'm convinced that it's happening with other kinds of
10 interventions with respect to HIV, etc., where you
11 have early endpoints that then allow early accelerated
12 approval, etc. But, at the same time, the same trial
13 has enough individuals in it that you follow them for
14 a longer period of time.

15 That's ongoing at the time that you're
16 getting your early outcome data and you avoid the
17 problem of then having to have a new trial with a
18 vaccine that you've now got approval for and one would
19 say you ought to be using. I would think that I would
20 much favor a larger trial to start out with that you
21 look at both of these, basically virologic and
22 pathologic endpoint.

23 Embedded in that is then the fact that I can
24 see accelerated approval using a virologic endpoint,
25 i.e., persistent infection with the HPV types that are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the vaccine. Then it's a little more problematic
2 to say what you are preventing.

3 You're not going to be able to say in the
4 package insert at that point that you are preventing
5 cervical carcinoma or even that you are preventing CIN
6 2/3 if you have that data yet. You may or may not be
7 able to say you are preventing infection depending on
8 what the data show.

9 If you actually have prevented infection,
10 you can say that with this oncogenic types.
11 Otherwise, I guess you're stuck with saying you are
12 preventing persistent infection if that's your
13 outcome.

14 DR. DAUM: Diane, thank you very much.

15 Dixie, you're up.

16 DR. SNIDER: Thank you. First of all, I
17 would just like to congratulate everybody who's been
18 involved in all this work. I mean, it's really
19 exciting to be sitting around the table talking about
20 a vaccine that may prevent a cancer that globally and
21 even in the United States is of great significance.
22 I would express my appreciation to everybody in the
23 academic community, NIH, FDA, pharmaceutical companies
24 and so forth for getting us to this point.

25 As I expressed in my frustration yesterday,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it is a moving target and it does create a difficult
2 situation in terms of making definitive
3 recommendations but with the clarification that Karen
4 gave us. I think it is possible for us to address
5 these questions, at least as we view them today.

6 My recommendations are, I think, very
7 similar to those who preceded me in that, at least at
8 this point, I would be interested in designing one
9 trial that would look at two endpoints, persistent
10 infection and CIN 2/3. I'm assuming, of course, if
11 you're looking at persistent infection, you're going
12 to be looking at incident infection but that wouldn't
13 be a primary endpoint.

14 I do have some concerns about persistent
15 infection that others have already talked about as
16 have I. How is it going to be defined not only in
17 terms of the issues brought up there as it relates to
18 the appropriate number of tests in the interval
19 between tests, but the sampling methods and the assay
20 methods and all of that needs to be carefully worked
21 out to be sure that it's very highly sensitive in
22 detecting the presence of infection.

23 Then there's the whole issue of whatever you
24 want to call it, latent infection or an apparent
25 infection using techniques that aren't highly rigorous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that concern me. Those are issues that have to be
2 dealt with.

3 With regard to the labeling, I guess I would
4 lean toward what Dr. Kohl, I think, has already
5 mentioned, that the label would say if this endpoint
6 was reached that the vaccine prevents CIN 2/3 which is
7 associated in a high proportion with the development
8 of cervical carcinoma.

9 I personally would not be inclined to
10 support an accelerated approval approach right now.
11 However, right now that is two important words because
12 there is an evolving scientific database that might
13 change my opinion and obviously the opinion of many
14 other people if we got information.

15 We were able to get some information that,
16 for example, identified subgroups of women who clearly
17 had an extraordinarily high risk of cervical cancer or
18 progression to CIN 2 and 3 with persistent infection.
19 It's conceivable that somewhere along the way during
20 this trial that an alternative could be revisited.

21 But, at this point in time, I think there
22 are enough uncertainties about the significance of
23 persistent infection and how you define it that I
24 would be a little reticent to advise FDA and the
25 manufacturer to proceed along those lines and bring

1 those data in. At least with the database we have
2 right now, I think it might not lead to a happy
3 outcome.

4 But if we were able to move to the point
5 where we became convinced that persistent infections
6 or, at least, persistent infections in certain
7 identified populations, whether that's personal
8 characteristics, viral loads, who knows what, really
9 progressed to cancer, then the labeling would be of
10 this sort that the vaccine prevents persistent
11 infection with these particular types which is
12 associated in some individuals, or maybe at that point
13 in time it could be a high proportion of individuals,
14 with progression to CIN 2 and 3 and cervical cancer.

15 DR. DAUM: Dixie, thank you very much.

16 Dr. Kim.

17 DR. KIM: I also support the concept that
18 the trial can be designed large enough to address
19 perhaps a minimum of two endpoints.

20 I guess this is in part that as we heard
21 that there are many issues that are not only
22 heterogenous but also answers are not in our hand at
23 this time so that I think it is important to be able
24 to monitor all the issues which have been addressed
25 during this meeting as part of perhaps a trial so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that, again, going back to the specific questions
2 would be my preference would be looking to a
3 persistent HPV infection as a primary endpoint since
4 the HPV infection per se can be difficult to predict
5 whether you regress or you persist.

6 I would at least like to see that a vaccine
7 has been shown to be beneficial in preventing
8 persistent HPV infection due to vaccine types.

9 Then I guess the question which we do not
10 have based on the discussion is whether that can be
11 translated into the bottom line which is reduction in
12 cervical cancer. I think it's because of that I would
13 certainly like to see some data related to those
14 issues as the study is coming along.

15 Particularly I would like to see the
16 information on CIN 2 and 3. Again, I think cervical
17 cancer would be very, very difficult to achieve as an
18 endpoint so CIN 2 and 3 as a secondary endpoint as
19 part of a trial.

20 So what that means, at least to me, is that
21 when this vaccine can go through and then would be
22 presented as an accelerated format, then I would like
23 to see that vaccine has shown to be beneficial in
24 significant reduction of persistent HPV infection due
25 to serotypes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Also, I would like to see that time of
2 discussion, some information on a significant
3 reduction on CIN 2 and 3 as a sort of assurance that,
4 indeed, prevention of persistent infection has,
5 indeed, a sort of right kind of target that we all
6 want to see as part of this vaccine.

7 DR. DAUM: Thank you, Dr. Kim.

8 Dr. Katz.

9 DR. KATZ: I don't think I have any
10 disagreement with what my preceding colleagues have
11 stated. To me the most important issues or endpoints
12 would be persistent infection and the CIN 2/3.

13 But I have several questions which perhaps
14 are tangential but I would like to see some nested
15 studies within the large trial and some nested studies
16 that in a smaller cohort might be able to answer some
17 of the questions we've tossed about to which we don't
18 have answer about, the role of mucosal or secretory
19 antibody, the role of salmeated infection and what
20 could be done in looking at that along with virus
21 cultures.

22 The other issue that concerns me is the way
23 we've conducted conventional vaccine studies, and I
24 would call this one somewhat unconventional, is once
25 you've reached a point where you're comfortable that

1 you've achieved your goals, the controls then receive
2 the vaccine that the original recipients have the
3 benefit of.

4 I don't know when you would feel you've
5 reached that point. If we accept the endpoints of
6 persistent infection and CIN 2/3, then maybe that's
7 the time that you would give the controls the vaccine.

8 But that wouldn't answer what Dr. Kim wants
9 which is the next step which is cervical cancer. I
10 think I would have to consider that in my overall
11 format as I have put together the longitudinal
12 protocol.

13 DR. DAUM: Thank you very much, Dr. Katz.

14 Dr. Faggett.

15 DR. FAGGETT: I disconnected my phone.

16 DR. DAUM: I'm very grateful..

17 DR. FAGGETT: I really learned a lot these
18 past couple days. Just sitting next to Dr. Katz is
19 always an hallucinating experience.

20 Really, I think more of us primary care
21 providers need to hear this kind of very high-level
22 discussion of the science of the vaccine approval
23 process. I think it would make us better able to
24 discuss it with our patients and encourage them to get
25 the immunizations available.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com