

1 and any other kinds of suggestions that this headache is due  
2 to drug; then at some point or other, they might consider re-  
3 starting.

4 DR. HARPER: I would think that that isn't absolutely  
5 essential inasmuch as the passage of a few days or a week or  
6 two is not going to deleterious to the average patient with  
7 papilledema.

8 DR. EAGLSTEIN: Further comment or questions from  
9 the Committee, I think to any of the people who have presented.  
10 I think now or within ten minutes, we should try to start  
11 addressing the questions which we'll focus our conversation  
12 more finely.

13 Yes.

14 DR. KOEHN: Has pseudotumor cerebri, in your experi-  
15 ence, been reported following estrogen or progesterone?  
16 I notice Cecil mentions it in his textbook that people may  
17 have been on birth control pills?

18 DR. CORBETT: There are a lot of alleged associations.  
19 We just recently finished a case control study of 37 patients  
20 with pseudotumor cerebri and 37 age and sex matched controls  
21 and we matched them for -- we questioned them regarding  
22 birth control pill use, of vitamin use, a great many different  
23 things, and it turns out that the incidence of birth control  
24 pill use is the same in both groups. In the group that we  
25 studied in follow-up, 22 percent of the women were on birth

1 control pills, which is roughly what the average birth control  
2 pill use is in this country. 9 percent of the women were  
3 pregnant and 9 percent -- 10 percent of women between 15 and  
4 45 were expected to be pregnant at any given time so that the  
5 whole business of whether or not estrogens are really related  
6 to pseudotumors seems improbable.

7 DR. EAGLSTEIN: Thank you, Dr. Corbett.

8 Other questions from the Committee?

9 (No response.)

10 DR. EAGLSTEIN: I wanted to ask maybe Dr. Strauss  
11 and Dr. Peck, who is also here; so, perhaps I could get him.  
12 Is there -- would it be better to use lower doses and treat  
13 twice? The implication I got out of what you said was that it  
14 would be better to treat once at the highest dose, at the 1.0  
15 mg/kg dose, but as with other drugs, it might be the total  
16 exposure. I wonder if you have any more information or can  
17 help us think through that?

18 DR. STRAUSS: Well, I think one of the side effects  
19 that we have not seen in the acne patients are the --  
20 hyperostosis. I think that by going once and finishing one  
21 trial, side effects such as this are going to be less. My  
22 own personal feeling is that I would prefer to go with one  
23 course of drug and not have to retreat the drug and I think  
24 that is why we are recommending the higher dose rather than  
25 the lower dose and not having to retreat the patient.

1 DR. EAGLSTEIN: But that is ten times higher, 1.0  
2 compared to 0.1?

3 DR. STRAUSS: That is right, because the difference  
4 in retreatment schedules was much different between 42 percent  
5 and 10 percent.

6 DR. EAGLSTEIN: Was everybody who required retreat-  
7 ment retreated or they just were defined as requiring retreat-  
8 ment?

9 DR. STRAUSS: The ones that we have there, these were  
10 actual patients that were retreated. The judgment as to whether  
11 they were retreated -- the date of the treatment was made by  
12 the individual investigator. In answer to an earlier  
13 question that Dr. Wolfe brought up, in general, those who were  
14 retreated, particularly those who were retreated, who had had  
15 lower dose of the drug were given one milligram per kilogram  
16 per day.

17 DR. WOLFE: For how long?

18 DR. STRAUSS: For up to 16 to 20 weeks, Mr. Wolfe.  
19 Some of them did go up even a little higher, particularly those  
20 who were, say, failures at the higher dose.

21 DR. EAGLSTEIN: So, the retreatment was with the  
22 higher dose, not with the --

23 DR. STRAUSS: Yes. I don't think that any of the  
24 patients who had not responded to lower dose, I think all three  
25 of us felt that if they did not respond to lower dose on the

1 first course, it was much more appropriate to treat them at the  
2 higher dose for many reasons.

3 DR. EAGLSTEIN: You gave the adverse effects, if  
4 they occurred, in over 30 percent. Wasn't the adverse effects  
5 that occurred in over 30 percent -- were there adverse effects  
6 that occurred in less than 30 percent?

7 DR. STRAUSS: Well, I gave just -- I mean, we have  
8 the list of adverse side effects. The point was that if they  
9 occurred in less than 30 percent, telling differences between  
10 the three dosages became increasingly difficult and that's  
11 why I just presented the data for those that occurred in over  
12 30 percent.

13 DR. EAGLSTEIN: And the data also was presented in  
14 terms of the number of responders, but not the degree of response.  
15 I mean, was there a degree of response even in the low dose?

16 DR. STRAUSS: I'm not sure I'm understanding your  
17 question.

18 DR. EAGLSTEIN: I am going to presume that that  
19 there -- well, I think that the data was presented, we got the  
20 number of people who responded when they were taking, say,  
21 0.2 mg/kg?

22 DR. STRAUSS: Response clinically or --

23 DR. EAGLSTEIN: Right. Had a reduction in their  
24 lesion count, I guess, but we didn't --

25 DR. STRAUSS: The only graph that was measured in

1 these patients was the lesion counts.--

2 DR. EAGLSTEIN: -- okay.

3 DR. STRAUSS: -- as far as clinical response.

4 DR. EAGLSTEIN: Dr. Peck, do you feel that there  
5 would be any advantage to the schedule that you associated  
6 with?

7 DR. PECK: Do we have an hour?

8 DR. EAGLSTEIN: No.

9 DR. PECK: Well, first of all, I, like every other  
10 dermatologist can't speak without slides. I had last week  
11 requested the opportunity to discuss this at this meeting and  
12 was told that this meeting was just going to discuss toxicity,  
13 teratogenicity, and pseudotumor and that dosing discussion  
14 would be at a subsequent meeting when the data from Dr.  
15 Strauss's study and my study could be discussed So, I do not  
16 have specific data that I can show you, you know, for the  
17 group to evaluate. There are general comments that can be  
18 made to clarify what I did. I had two clinical trials. The  
19 first one involving 40 patients with 10 patients in each.  
20 Patients with truncal acne receive a high, low dosage schedule  
21 of 2.0 mg/kg/day for either two or four weeks, followed by  
22 0.5 for the remainder of the 16 week treatment period.

23 Patients with primarily facial acne had an initial  
24 dose of 1.0 mg/kg/day for two or four weeks, followed by a  
25 reduced schedule of 0.25.

1 MR. BOSTWICK: Excuse me, Dr. Peck, what was the  
2 truncal and the facial patients went two to four weeks on  
3 the high dose?

4 DR. PECK: Well, one group had for two weeks the  
5 high dose and then switched to the low dose.

6 MR. BOSTWICK: The truncal patients.

7 DR. PECK: Another group had four weeks and then --

8 MR. BOSTWICK: The facial patients.

9 DR. PECK: -- both.

10 MR. BOSTWICK: Both, okay. I've got you now, I think.

11 DR. PECK: One group had 2.0 for two weeks, followed  
12 by 0.5. Another had 2.0 for four weeks, followed by 0.5.

13 MR. BOSTWICK: Okay.

14 DR. PECK: And it was six-week trial.

15 MR. BOSTWICK: Okay. Thank you.

16 DR. PECK: That was the truncal. And in the facial,  
17 they had half of that. That was my first trial. The responses  
18 were similar in that -- again, I don't have the data, but the  
19 results were roughly equivalent to what I had shown in my  
20 initial study where I had used higher doses during the entire  
21 treatment period.

22 There are some specific points I would mention.  
23 In my previous studies, I found some patients did fail at  
24 1.0 mg/kg/day and they actually did require 2.0 mg/kg/day  
25 to improve. Those were patients with truncal acne.

1 I found that in those patients who did have an  
2 initial flare of acne, that the initial flare was worse with  
3 1.0 mg/kg/day than at 2.0 mg/kg/day.

4 Another point that I found unlike the study which  
5 Dr. Strauss presented, I think my patients were a more resistant  
6 group. He noticed that a 70 or 75 percent improvement at 0.5  
7 mg/kg/day. In my study, it was only 50 percent at a constant  
8 dose of 0.5.

9 In the second study I did involving 72 patients, I  
10 chose to have one treatment, a high/low schedule of 2.0 mg/kg/day  
11 for two weeks, followed by 0.5 for 14 weeks. The control  
12 groups were a constant dose of 0.5 and they -- a group that  
13 received the high dose alone just for two weeks and then placebo  
14 afterward. And a high/low schedule worked out the best,  
15 particularly for truncal acne.

16 Again, additional details, I think I would require  
17 slide --

18 DR. EAGLSTEIN: I think the question for the Committee  
19 today would such a schedule have an advantage in avoiding these  
20 serious side effects?

21 DR. PECK: Okay. In my patients, the -- certainly,  
22 the severity and also the incidence of side effects were  
23 reduced when we dropped from 2.0 to 0.5 or from 1.0 to .25.  
24 In other words, if the patient had even chapped lips that were  
25 producing fissures at 2.0 mg/kg, when they dropped, the

1 colitis was more tolerable.

2           Some patients had -- at the higher dose which  
3 disappeared at the lower dose.

4           Taken as a group, you may not see those difference  
5 in the sense that -- if you're combining -- if you have a  
6 patient with, let's say, colitis and you include mild, moderate  
7 and severe in your -- you don't separate them out statistically  
8 then you may not pick up the differences of dropping the dose.  
9 I think the question could be analyzed, you know, by the data  
10 that Roche has in terms of scoring of mild, moderate and  
11 severe dropped in those patients where we did drop the dose.  
12 We'd just have to analyze the data to give you a more specific  
13 answer.

14           DR. HASERICK: Dr. Peck, indulge me a little. I  
15 heard of your patent several months ago and in the words of  
16 my little granddaughter, "it blew my mind." I never heard of  
17 a doctor patenting a dose schedule. There must have been some  
18 good reason for that. Do you want to explain it?

19           DR. PECK: The --

20           DR. TABOR: Let me come to your rescue for a minute.  
21 I think that you ought to realize that this is probably --  
22 unless I'm incorrect -- not just an NIH policy, it's government-  
23 wide and it is conducted by the Commerce Department through  
24 the National Technical Information Service to patent any  
25 invention either for use or for a new substance in order to



1 encourage development of government inventions.

2 DR. PECK: I think there was even some suggestion  
3 that government, at least NIH, would be more self-supporting  
4 with that system, but, in general --

5 DR. HASERICK: In other words, you got no personal  
6 gain out of it? It was strictly to NIH?

7 DR. PECK: -- there is no gain at this point in  
8 the sense that, as you heard from Dr. Wolfe, there was \$50,000  
9 fee paid from Roche to the government. In terms of that  
10 3 percent that was mentioned afterwards, that would depend  
11 on the Committee approving it and apparently if -- which was  
12 something new to me about 3 percent of the increase over the  
13 previous level of sales, and I don't see any reason to  
14 expect any increase level of sales after, at this point, so,  
15 I would be receiving 3 percent of nothing. The point is that  
16 in using high/low dosage schedule was unique in a sense that  
17 the dose can be dropped at the second week at which point  
18 some patients are actually worse than they were at pretreatment,  
19 or they -- certainly there is usually no benefit at the two-  
20 week point in the patients that I've seen. So, with an un-  
21 expected finding that you could drop the dose at that point  
22 and then expect improvement later on.

23 DR. CHANCO-TURNER: I have a question to ask both  
24 Dr. Strauss and Dr. Peck. In the two studies that were  
25 presented done by either -- both of you, the graph showing the

1 effects, the end effects 12 weeks after treatment using .1  
2 and 1.0 mg/kg seems to pretty close at the end of the 12 week  
3 follow-up period, but in the other study that Dr. Peck did,  
4 there was a difference, a very marked difference at 18 to 24  
5 months between the results from .1 and 1.0 mk/kg; so, what you  
6 really are looking for is since Accutane is being touted as a  
7 cure for acne, we really are looking for that effect that would  
8 be persistent not just for 12 weeks after treatment, but for-  
9 ever. So, I think when we are discussing dosing, we have to  
10 take this into consideration. I don't think Dr. Strauss'  
11 study has been going on long enough to be able to address that  
12 question of 18 and 24 month follow-up.

13 DR. STRAUSS: In terms of the study, as you justly  
14 noted, at 12 week post-therapy, there was relatively no  
15 difference between the three doses. When we say retreatment,  
16 that questionnaire that we sent out and that data on whether  
17 they needed retreatment was based upon observation of these  
18 patients for as long as 18 to 24 months. So, it was an 18 to  
19 24-month follow-up on these patients.

20 DR. EAGLSTEIN: Dr. Evans?

21 DR. EVANS: I'd like to make a comment on the dosing  
22 regimens. You may be aware that much of this material, while  
23 it has been accumulated, has not been presented in formal  
24 fashion to the Agency. Once it is, meaning the data from Dr.  
25 Peck, and also the data from the Roche Company, which was

1 just revealed to you, we will be in a better position to  
2 digest it and bring it before the Committee. We didn't feel  
3 that this was a point to evaluate different dosage regimens  
4 because we simply didn't have the material at hand to digest  
5 and put before you.

6 DR. EAGLSTEIN: Are there are other questions from  
7 the Committee, or do you want to go on?

8 DR. GOLDNER: Would someone, maybe Dr. Strauss comment  
9 on the recent report in JAMA from the California group of  
10 Hypercalcemia. Is that an isolated report? Is this something  
11 that you have seen in others?

12 DR. STRAUSS: I haven't personally seen any.

13 DR. GOLDNER: Are you familiar with that report?

14 DR. STRAUSS: Yes. I don't know whether the company  
15 has any data of that nature in their files.

16 DR. HASERICK: Before Dr. Strauss and Dr. Peck get  
17 away, I'd like to propose a suggestion to them and let them  
18 tear it to bits or approve it, or whatever.

19 When we give out methotrexate, we give it out in  
20 our offices, don't we? We have to do this and we control it  
21 by laboratory studies. Why not give, as I do with my pregnant  
22 possible young ladies, I give them their Accutane on the third  
23 day of a menstrual period, and 28 days later, I see them.  
24 And if they have menstruated -- and I only give this, by the  
25 way, to the girls who have regular period, the rest of them

1 go on the pill. So, the girls who are regular, they get it  
2 every 28 days. Why don't we just put some kind of a control  
3 of this thing so that doctors have to give it out and see them  
4 every 28 days and make sure that they have menstruated before  
5 before they get another monthly supply?

6 DR. STRAUSS: Dr. Haserick, I'm not sure that's  
7 totally practical because there are drug laws that vary from  
8 state to state. I cannot in my pharmacy -- in my department,  
9 give out oral medication.

10 DR. HASERICK: So, what do you think of the idea of  
11 giving it on a 28-day restriction. She comes in three days  
12 after her menstrual period has started and you give her another  
13 28 days. That's a monthly thing.

14 DR. STRAUSS: I'm not sure that is completely  
15 practical, whether they can get in at that time, the extra  
16 cost involved. It is theoretically a good suggestion, but  
17 I think in terms of practicality, I'm not sure it is really  
18 practical.

19 DR. HASERICK: I'm trying to prevent birth defects.

20 DR. EAGLSTEIN: Other questions?

21 Dr. Koehn?

22 DR. KOEHN: I wanted to ask either of you, out of  
23 curiosity, after you have a recalcitrant person to Accutane  
24 to one course of it, have you used control people while putting  
25 others on and the control people being people who don't have

1 anything, or they get tetracycline or minicycline, or one of  
2 the standard antibiotics. In other words, do they -- might  
3 they again be controlled by antibiotics?

4 DR. STRAUSS: I don't think there are any control  
5 studies of that nature. I would tell you that that my own  
6 personal decision as to whether to put them back on Accutane,  
7 they have to have a severe -- continued severity of their  
8 acne.

9 If I get patients who have a mild recurrence, I then  
10 would try alternate forms of therapy, antibiotics, et cetera,  
11 et cetera, but in terms of a controlled study, particularly  
12 where we have treated these patients with the lower dosage,  
13 if they have not responded to the smaller dosages, I think  
14 we are morally responsible for giving them adequate therapy.  
15 Because once again, regardless of what Dr. Peck said that he  
16 didn't think our cases were as severe as his, I can assure you  
17 if I had the pictures here, and as you said, you need pictures  
18 as a dermatologist, I could show you patients that were very,  
19 very severe. So, I don't think -- I think we've had a moral  
20 obligation where a patient has undergone a dose which has not  
21 caused him to respond, to go to a more adequate dose.

22 DR. KENNEY: John, I would like to ask from your own  
23 experience, and what we have heard here about the possible  
24 synergistic effect of tetracycline with the Accutane, and so on,  
25 have you been keeping your patients on antibiotics along with

1 Accutane? I don't mean your studies, strictly study patients,  
2 but your clinical patients in your own practice?

3 DR. STRAUSS: My instruction to my staff members  
4 and my residents at the present moment is that if the patients  
5 are on tetracycline or monicycline, or other tetracycline  
6 derivatives that they get them off as quick as possible. And  
7 if it's required that they continue other antibiotics that we  
8 make a switch to Erothromycin, and as far as I know from talking  
9 to Dr. Corbett, there have been no cases of pseudotumor cerebri  
10 reported from Erothromycin.

11 DR. EAGLSTEIN: Professor Bilstad has several  
12 questions.

13 PROF. BILSTAD: I would like to ask Dr. Strauss,  
14 what were the total number of patients who were entered into  
15 your study, you mentioned that there were 141 evaluable  
16 patients, how many were entered and what were the reasons  
17 why patients were considered not evaluable?

18 DR. STRAUSS: There were 150 patients entered into  
19 the study. It was designed to have 50 per cell. Those patients  
20 that were excluded were ones in which there were missing  
21 laboratory or clinical values, and, therefore, were not  
22 considered to be appropriate to include in the full statistical  
23 analysis.

24 PROF. BILSTAD: Did any of the patients have to stop  
25 therapy because of side effects?

1 DR. STRAUSS: As far as I know none of them had to.

2 DR. EAGLSTEIN: I think we really -- there is always  
3 a tendency to talk about dosing and where there's data, to talk  
4 about a data. A lot, however, of the questions that we have to  
5 come to grips with deal with adjustments to labeling and other  
6 such considerations. So, why don't we take a 10-minute break  
7 and come back and work on these questions.

8 (Whereupon, at 11:40, the meeting was recessed,  
9 to reconvene at 11:50.)

10 - - - - -

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

AFTER RECESS

(11:55 a.m.)

DR. EAGLSTEIN: If we can come to order, again.

Dr. Kenney, I am sure, will be along. His cab probably got lost again.

I know that there are many, many areas -- many questions people who have been participating this morning still would like to bring or ask, and information they would like to have come out, and I think it can come out in the course of dealing with the questions addressed to the Committee, and I would like to take them up in order to try to refine our conversation a bit.

At the beginning of the booklet that the Committee members and others have, the first one is the contraindications, warnings and precaution section of the Accutane package insert. And I would like to point out this is the physician package insert. And the labels we are talking about will be under F. So, we are right now -- although the petition addressed changes in our mandated patient package insert, there were also questions about the extent or the existing physicians' package insert that has been revised once.

So, this is the contraindications, warnings and precaution section of the Accutane package insert have been revised to include new information on the teratogenic effects of Accutane, including bold face type. Is further revision



1 in the label concerning these teratogenic effects necessary?

2 So, this is a question of the teratogenic effects.

3 There have been changes in the label, as you can see in insert  
4 F, including bold face. The changes are contraindications,  
5 which is on page 2 and there are also changes on the warning  
6 section, which is also on page 2 in the middle and in the  
7 precaution section, which is on page 3 of the amended insert.

8 So, the question: should more be done? And I think  
9 the FDA has taken a position before our meeting on the latest  
10 communication that you received this morning, and they have  
11 some suggestions as to what should be said in the warning  
12 section. Do you have that memorandum?

13 See, there are really three responses, as I said  
14 at the first, and then the petitioner has some suggestions as  
15 to what should be done, and that is under tab E.

16 And as regards teratogenicity, the petitioner says  
17 it should be placed in a box along with the additional informa-  
18 tion that women of child bearing potential should not be  
19 given Accutane until pregnancy is excluded by means of a  
20 pregnancy test, which I think is also a part of the FDA's  
21 position, although they don't call for it to be in a box.

22 Was that stated correctly?

23 DR. EVANS: Yes.

24 DR. EAGLSTEIN: So, does everybody feel comfortable  
25 that they understand what is happening?

1 DR. TABOR: In terms of the FDA position, even though  
2 it is typed in capitals, we meant for the format either capitals  
3 or bold type or box to be discussed here with the Committee.

4 DR. EAGLSTEIN: Okay. So, the box would be acceptable  
5 to the FDA as would bold type.

6 The company has chosen bold type, but does not have  
7 the requirement for a pregnancy test, which the petitioner  
8 asked for and the FDA asked for.

9 So, who wants to start off the discussion of this  
10 question.

11 The question is: is further label revision necessary  
12 regard teratogenicity? And I think if the answer is, yes, we  
13 can go a bit further and say what we think the labeling should  
14 be, or what changes should be suggested.

15 Do you want to vote on this?

16 DR. GOLDNER: I think we should start some discussion.  
17 I believe that additional warning is necessary. I think we do  
18 have to funnel in on pregnancy tests as to whether or not that  
19 is mandatory. Are you going to put that in the package  
20 insert. That's the significant point of that question to me.  
21 I don't think there's any question, but that we should make  
22 our warning as strong as possible. That's where we need  
23 discussion.

24 DR. EAGLSTEIN: All right. So, you favor the idea  
25 of a required pregnancy test?

1 DR. GOLDNER: No, no. Wait a minute now. I didn't  
2 say that. I did not say that.

3 DR. EAGLSTEIN: Okay.

4 DR. GOLDNER: I said that requires discussion  
5 as to whether or not it belongs in that warning.

6 DR. EAGLSTEIN: All right.

7 DR. RASMUSSEN: I agree with Ron's position. I think  
8 that the strongest possible warning should be put in there  
9 and I think the discussion should be more focused on whether  
10 you want to do a pregnancy test primarily because -- I'm not  
11 sure that that's absolutely necessary any more. My problem  
12 is with people who develop pregnancy while they are on the  
13 medication and it would probably make you have some people  
14 get substantially bent out of shape, particularly the 15, 16  
15 and 17 year old girl who is a virgin, and then you have to  
16 send her for a pregnancy test, and they find that you don't  
17 trust them.

18 Now, that shouldn't be a major stumbling block, but  
19 I think it is worth considering.

20 DR. POMERANZ: I'd like to say I support your position  
21 that it should be as strong as possible. I feel very strongly  
22 that there should be a pregnancy test absolutely. And a 16  
23 year old girl who is bent out of shape -- 16 year old girls  
24 these days are pretty much hep --

25 (Laughter.)

1 DR. POMERANZ: -- and I don't think they are going  
2 to be bent out. They will be pleased if the test is negative  
3 and then we can start their Accutane.

4 (Laughter.)

5 DR. POMERANZ: And it will reaffirm their virginity  
6 to their parents.

7 DR. HASERICK: I disagree strongly with my fine  
8 associate, Dr. Pomeranz. I don't think we should include that  
9 at all. I think that we might suggest that it be done, but I  
10 certainly don't think we ought to insist that it be done.

11 DR. EAGLSTEIN: Do you want to add additional  
12 reasons that you don't want to do it?

13 DR. HASERICK: Well, I agree with Dr. Rasmussen on it.  
14 Our girls are a little more -- not as sophisticated as those  
15 in Cleveland.

16 (Laughter.)

17 DR. HASERICK: It really is insulting. Everybody  
18 gets the word that they've had a pregnancy test, believe me,  
19 in our area.

20 DR. TABOR: Why should anyone get the word that some-  
21 one has had a pregnancy test?

22 DR. HASERICK: Laboratory girls talk.

23 DR. TABOR: Do it in your office. You can do a  
24 slide test in your office.

25 DR. HASERICK: Under an assumed name?

DR. TABOR: Your records can be kept confidential  
*Baker, James & Burkes Reporting, Inc.*

1 surely.

2 DR. POMERANZ: Well, I recognize that that's probably  
3 a problem in a small town. I actually live in a small town  
4 outside of Cleveland; so, I can appreciate that. But I think  
5 that is small potatoes in comparison to the possibility  
6 of a malformed fetus. I think that those are the kind of things.  
7 -- I think it brings to the patient's attention, the physician's  
8 attention that this is a serious business and that there can  
9 be a malformation. If they happen to get pregnant, the baby  
10 will be malformed and it focuses -- it gets their attention  
11 more than anything else will do.

12 DR. EAGLSTEIN: Is it less pe pejorative because it  
13 would be mandated by the law?

14 DR. HASERICK: Yes. That is what I object to.  
15 I think if you said, it is suggested that a pregnancy test be  
16 performed no earlier than two weeks before the onset.

17 DR. POMERANZ: How about strongly suggested?

18 DR. HASERICK: All right, strongly. I'd even go  
19 along with that.

20 DR. EAGLSTEIN: I was saying, wouldn't you be off the  
21 hook if you had no choice, as it were? It's not that you  
22 suspect that this person is or isn't pregnant. That is just  
23 part of what has to be done. It is not a matter of your having  
24 a choice.

25 DR. POMERANZ: In a way it takes the heat off the

1 physician.

2 DR. HASERICK: Since I only give it on the third day  
3 of menstrual period --

4 (Laughter.)

5 DR. POMERANZ: Well, I like your idea. I wish that  
6 it would be practical.

7 DR. HASERICK: It is practical. The usual cycle  
8 is 28 days and that's four weeks away. Thursday to Thursday,  
9 that's when I see the patients.

10 DR. GOLDNER: If they can come in?

11 DR. HASERICK: They don't get their medicine if  
12 they don't menstruate.

13 DR. CHANCO-TURNER: I think I agree with Dr.  
14 Pomeranz. I'll go down on that side because for the very  
15 same reasons, specifically that it will show the patients how  
16 important it is. I don't have an 16 year girls on Accutane,  
17 and if I did, I probably would have the mother around before  
18 starting the patient and that would also reinforce to every-  
19 body concerned that this is a serious business. I am very  
20 conservative about using Accutane; so, it doesn't bother me  
21 at all. I think it should be really.

22 DR. EAGLSTEIN: Dr. Bilstad, you had wanted to get  
23 some further information as to exactly how many cases do we  
24 know of?

25 DR. BILSTAD: My question is to the Hoffman-La Roche

1 staff. How many pregnancies are they aware of currently, or  
2 have they been aware of prospectively, and what is the out-  
3 come in all of those pregnancies that are known and in which  
4 there was drug exposure during the first trimester?

5 DR. EAGLSTEIN: Is Dr. Yard here? Who will reply?  
6 Who would like to reply?

7 DR. YARD: Dr. Eaglstein, I would like to ask Dr.  
8 John Pepper to answer that question.

9 DR. EAGLSTEIN: Okay. The question is: how many  
10 people have been exposed while pregnant? Is that the  
11 question? That are known?

12 DR. BILSTAD: It is how many are known ahead of time,  
13 not retrospectively, but they are known to be pregnant. They  
14 are known to have been exposed to the drug during the first  
15 trimester, and the question is: how many of those is the  
16 company aware of? And what is the outcome in those in which  
17 an outcome is known?

18 DR. PEPPER: Prospectively, we have been aware of  
19 five pregnancies prospectively. Of those five, four had  
20 normal babies; however, the Accutane exposure in those four  
21 was extremely limited and not at an apparently critical time.  
22 At the moment, in addition, we have 20 ongoing prospective  
23 pregnancies where the patients became pregnant either while on  
24 Accutane or alternatively within one month of discontinuing  
25 Accutane. In other words, we are following up on those young

1 ladies who have become pregnant within a month of discontinuing  
2 Accutane therapy.

3 DR. BILSTAD: You haven't mentioned any spontaneous  
4 abortions?

5 DR. PEPPER: Spontaneous abortions, we have had  
6 a total of six. We have had two missed abortions. They were  
7 -- ended up having a D&C. There was one threatened abortion,  
8 which also ended up having a D&C.

9 DR. HASERICK: Isn't this really another question  
10 though. Why don't we decide about the pregnancy test. We can  
11 go to abortion later if we need to once the lady is pregnant.  
12 So, let's settle this issue.

13 DR. EAGLSTEIN: I thought it might give some further  
14 feeling for the magnitude.

15 Do you have further questions here?

16 DR. BILSTAD: Not on this issue.

17 DR. HASERICK: I think I am just against compulsion  
18 in general.

19 DR. EAGLSTEIN: All right.

20 DR. HASERICK: It plays against my grain.

21 DR. POMERANZ: I am, too, I really am, and I'm not  
22 happy about it, but I don't see any other way of really bringing  
23 to the patient's attention that, you know, because 20  
24 pregnancies that is terrible. I mean, that's 20 complete  
25 failures of communication somewhere along the time. To me, it



1 is just terrible.

2 DR. RASMUSSEN: Well, it doesn't have to mean that  
3 there are 20 failures of communication, although it certainly  
4 could be. I think what we have to not forget is that no  
5 form of contraception is 100 percent effective except  
6 abstinence, which somebody has already previously mentioned,  
7 and that is one of the things that I think we ought to consider  
8 whether or not down the line, we tell people who are on  
9 contraceptives that this drug is used on a total of 300,000  
10 patients. Even given the effectiveness rate of all birth  
11 control pills, 98.9 or 99 percent, you are still going to  
12 have half or 1 percent of those people who get pregnant,  
13 and even with the pregnancy test, you are still going to have  
14 to deal with this type of an issue.

15 DR. POMERANZ: But the fact is that there may be  
16 patients who will elect to abstain for the 20 weeks that they  
17 have to be on the drug, or whatever the period of time is.

18 DR. RASMUSSEN: Well, I have found --

19 DR. POMERANZ: Give them that opportunity and really  
20 nail it down. Lay it out in the strongest possible terms.  
21 Every child that is born that is going to be abnormal is going  
22 to cost the government or somebody a million dollars until  
23 they eventually die. And it is going to cost the company a  
24 lot of money too if some of those go to court because there  
25 is a failure, and I would think that everything that could

1 be done to prevent a pregnancy should be done even though  
2 I'm not happy about compulsion either.

3 DR. HASERICK: How many of those 20 children had  
4 a pregnancy test before they were put on Accutane? Do we have  
5 any idea about that? My point is, your pregnancy test, you  
6 know, is great. It would be lovely to do that on all these  
7 things, but I'm not so sure it would prevent pregnancy.

8 DR. TABOR: It is not designed --

9 DR. HASERICK: You make a good point. We have to  
10 educate them, and maybe this is leverage enough to do it, but  
11 I like to know, give it on the third day of menstrual period.

12 DR. TABOR: -- I think it is not designed to prevent  
13 pregnancy, and even though it may have the effect of bringing  
14 the message home, it is not designed for that either. It is  
15 desgined to determine whether the patient is pregnant at the  
16 time you start the therapy with Accutane and it will do that.  
17 It will do it better than asking the question, are you  
18 pregnant?

19 DR. KENNEY: How about the menstrual period?

20 DR. RASMUSSEN: And it isn't 100 percent accurate.

21 DR. TABOR: No, it isn't 100 percent accurate, that's  
22 true. I think there is a certain amount of lack of data about  
23 exactly why these 20 patients became pregnant. Was it a  
24 failure of communication, or is the drug perhaps interfering  
25 some way with some method of contraception? Is anything known

1 about that, Dr. Pepper?

2 DR. PEPPER: Nothing official that I am aware of. I  
3 understand that there was a study performed in Britain  
4 attempting to determine whether there was interference with  
5 the BCPs, but I have not seen the data on that.

6 DR. TABOR: But in these 20 patients whom you have  
7 followed up to whatever extent possible, is anything known about  
8 whether these 20 women were on birth control measures that  
9 failed, or was it a failure of communication?

10 DR. PEPPER: I think in a lot of cases, it was a  
11 decision by the young lady not to use birth control measures

12 DR. RASMUSSEN: Do you know how many of the patients  
13 were pregnant at the time the Accutane was started, or how many  
14 of them subsequently became pregnant during the course of  
15 Accutane treatment?

16 DR. PEPPER: I couldn't give you the exact numbers  
17 by memory, but a substantial number. I would say about 25  
18 percent were pregnant just at about the time they started  
19 the Accutane.

20 DR. POMERANZ: See, I see that as a failure of  
21 communication. If the patient got pregnant while she was on  
22 the drug, somebody didn't point out to her how serious this  
23 was.

24 The other point that I would like to make is that  
25 I don't see why you can't incorporate your idea into the  
package insert as well as to an alternative approach which

1 would be to give the drug every 28 days just after, starting  
2 after the menstrual period. I mean, that would be a short  
3 sentence and probably, you know, I think it is a good idea and  
4 belongs in the insert as well.

5 DR. HASERICK: Why don't you write that sentence.

6 DR. GOLDNER: In order to do that -- in order to  
7 incorporate that, you still have to take a pregnancy test --  
8 a pregnancy test should be performed to strongly suggest it,  
9 or put some other word in there.

10 DR. POMERANZ: I think both approaches could be in  
11 there.

12 DR. GOLDNER: Well, I think you are manding -- once  
13 you say, "should be," you are mandating pregnancy tests.  
14 The objection that I have to it is really that mandate rather  
15 than the fact that I want to know whether my patient is pregnant.  
16 Of course, I do, and I can use whatever clinical judgment I  
17 have, whether it's the menstrual period; whether it is my  
18 relationship with that patient and knowing how accurate her  
19 history will be, or what else, but I object to the mandate,  
20 and I object to --

21 DR. POMERANZ: How about strongly recommend?

22 DR. GOLDNER: -- I don't object to strongly recommend.  
23 I object to should be performed.

24 DR. POMERANZ: Well, I think strongly recommended  
25 is almost should be performed.

1 DR. GOLDNER: Almost, but not quite.

2 DR. RASMUSSEN: Well, you could phrase it by saying  
3 that if there is any concern that they patient may be pregnant  
4 that that would be a good avenue to go. And that would still  
5 allow you to be confident in your own mind if the patient is  
6 not pregnant, if for some reason, patient reliability, whatever  
7 you want to call it, you can still do that and that would be  
8 suggested in the recommendation.

9 DR. HASERICK: You could just add, if there is some  
10 question of pregnancy.

11 DR. RASMUSSEN: Or if the patient is absolutely  
12 not sure.

13 DR. HASERICK: Then you could ask for the test to  
14 be performed not earlier than two weeks before the onset of  
15 Accutane therapy?

16 DR. POMERANZ: I think that dilutes it. I think it  
17 is stronger to say that the pregnancy test is strongly  
18 recommended, and that in a way, too, also -- that's virtually  
19 recommended, and that's almost mandatory. From a medical  
20 legal sense in some ways it would be mandatory, and it might  
21 accomplish the same thing without making it absolutely  
22 mandatory, but I think it should be as close to mandatory as  
23 it can possibly make it.

24 DR. KOEHN: It does already say that women of child  
25 bearing potential should not be given Accutane until pregnancy  
is excluded.

1 DR. HASERICK: Where does that say that?

2 DR. EAGLSTEIN: It's on page 2.

3 That is the revised.

4 DR. GOLDSMITH: This insert is now presently being  
5 used. It is the one called revised. Already it says that  
6 blood lipids should be obtained. There is a should be in  
7 the product insert already. This is not -- that's what I  
8 wanted to say.

9 DR. EAGLSTEIN: Okay.

10 DR. TABOR: I think part of the problem revolves  
11 around how you are going to exclude pregnancy, and I think this  
12 is probably -- teratogenicity being one of the more important  
13 things we are dealing with today, one suggestion has been  
14 require or request a pregnancy test. Another suggestion has  
15 been to give it on the third day of the menstrual period and  
16 both of those, I think, most people on a scientific basis  
17 would probably find acceptable if they were practical. I think  
18 that the problem arises when you rely on history or when you  
19 rely on the patient coming in to the office with her mother, or  
20 there being other social constraints that prevent you from  
21 properly determining that there is no pregnancy.

22 I think in those situations, most people would, if  
23 they were being frank, would agree it is not reliable -- not  
24 a reliable way to exclude pregnancy. So, I think some sort of  
25 strong advice is necessary because I think a lot of people will

1 let social considerations prevent them from properly excluding  
2 pregnancy in certain instances.

3 DR. EAGLSTEIN: Further comments or discussion on this  
4 point? What we are leading to is a vote on whether or not  
5 there should be changes, not what they will be, but whether  
6 or not further revision in the labeling concerning these  
7 teratogenic effects is necessary.

8 And what I have heard so far that if the answer were  
9 to be yes, that people might want to say, should be for  
10 pregnancy test, they might want to put a box there, and they  
11 might want to say an alternative could be a 28-day prescription.  
12 Those are the things that have surfaced in our discussion.

13 DR. KENNEY: Mr. Chairman, I would like to move  
14 that there should be a change.

15 DR. EAGLSTEIN: Okay.

16 DR. KOEHN: Second.

17 DR. EAGLSTEIN: Okay. For the formality, I think  
18 we should vote on the question and then go to the revisions  
19 that we want. We're saying that there should be further  
20 revisions.

21 MR. BOSTWICK: All right, okay.

22 DR. POMERANZ: Second.

23 DR. EAGLSTEIN: Okay. Everybody ready for the vote.  
24 We are going to vote on whether or not there should be further  
25 revisions in the labeling.

1 All those in favor of further revisions?

2 (A show of hands.)

3 DR. EAGLSTEIN: All against?

4 (No response.)

5 DR. EAGLSTEIN: I don't think there is anyone left.  
6 So, that is 6-0 vote to say that there should be revisions.

7 Would someone like to make a motion, or move  
8 a specific revision? Does someone have a specific revision  
9 in mind?

10 DR. RASMUSSEN: Can we accept basically what the FDA  
11 has suggested to us in general as one vote, and then discuss  
12 the pregnancy testing as a separate vote? Because I think the  
13 only issue we're discussing here is not the little two-page  
14 handout in general, but the little sentence about pregnancy  
15 testing. Can we do that? Can we discuss this one item and  
16 go to the next?

17 DR. EAGLSTEIN: I'm not sure I am following you.

18 DR. RASMUSSEN: In other words, can we adopt this  
19 whole thing, this two-page memorandum, which was handed to us  
20 when we came in, which is the FDA's suggestion for labeling,  
21 which I think we all agree with with the exception of --

22 MR. BOSTWICK: The second page has to do with the  
23 patient insert, Dr. Rasmussen. The first page had only to do  
24 with what we're discussing now.

25 DR. EAGLSTEIN: The first is the physician insert.



1           So, you're suggesting that we accept all four of  
2 those paragraphs?

3           DR. RASMUSSEN: Except the portion that deals with  
4 the pregnancy test, which is in the third or the second  
5 sentence in paragraph one. It says, "The pregnancy test  
6 should be performed no longer than two weeks before onset of  
7 Accutane therapy."

8           And then we can discuss that as a separate sentence.

9           DR. EAGLSTEIN: I don't think the first two sentences,  
10 or the first sentence has changed from the current wording,  
11 has it?

12           MR. BOSTWICK: No, it hasn't. What he wants to do  
13 is to adopt everything except this one sentence and then  
14 discuss that sentence separately.

15           He wants to buy all this -- these next three  
16 paragraphs.

17           DR. EAGLSTEIN: I think in question is to  
18 teratogenicity, and we should stick with that. Now, we said  
19 we want to change the label and we need to say how we want to  
20 change it. I don't think it's -- if we adopt these other  
21 issues, we haven't really discussed them.

22           Well, as I have said, what has been suggested, there  
23 have been several suggestions that we can box the current one  
24 or any other one. That we should have a pregnancy test, or  
25 that we could say, may be given every 28 days, or after the

1 menstrual period.

2           Would anyone like to propose adopting any of these  
3 revisions since we've said there is a need for revision?

4           DR. WOLFE: The vote was whether there is a need  
5 for a revision of the present labeling or from what the FDA  
6 proposed.

7           DR. CHANCO-TURNER: On the present labeling.

8           Page 2 on --

9           DR. EAGLSTEIN: Dr. Rasmussen, I gather that in  
10 adopting the -- not to adopt the pregnancy test, you would  
11 would be really happier with the present labeling?

12           DR. RASMUSSEN: I didn't say that. I just said that  
13 as far as I'm concerned, we haven't had any significant  
14 dissention among us on any of the items on this first sheet.  
15 except the pregnancy issue. Now, your correction to that is  
16 that we have only been discussing teratogenicity.

17           DR. EAGLSTEIN: Right.

18           Does anyone --

19           DR. CHANCO-TURNER: May I move?

20           DR. EAGLSTEIN: -- please do?

21           DR. CHANCO-TURNER: I would like to move that  
22 we accept the FDA revision on the warning, reading, "Because  
23 abnormalities of the human fetus have been reported, it is  
24 recommended that contraception be continued, et cetera. A preg-  
25 nancy test should be performed no earlier than two weeks before

1 onset of Accutane therapy."

2 DR. EAGLSTEIN: Is there a second?

3 DR. RASMUSSEN: I second it.

4 DR. EAGLSTEIN: All right. May I ask, is this clear,  
5 "no earlier than two weeks?" Am I living too far from  
6 Washington? It doesn't instantly have meaning to me.

7 DR. RASMUSSEN: It must be within two weeks from the  
8 time you start the drug.

9 DR. EAGLSTEIN: I know. I'm saying, is that well  
10 said? Does everyone -- when you read that, does that just  
11 come right through to you?

12 DR. KOEHN: She didn't include that in her move?

13 DR. CHANCO-TURNER: I did.

14 DR. EAGLSTEIN: She did.

15 DR. KENNEY: Yes, she did.

16 DR. EAGLSTEIN: But I am just saying that that  
17 wording I find quite awkward.

18 DR. KENNEY: I don't like "no earlier."

19 DR. EAGLSTEIN: No earlier.

20 DR. CHANCO-TURNER: Within two weeks.

21 MR. BOSTWICK: Within.

22 DR. CHANCO-TURNER: Within two weeks.

23 DR. EAGLSTEIN: That is wha I was referring to.

24 DR. CHANCO-TURNER: I took it to mean within two  
25 weeks.

1 DR. EAGLSTEIN: Okay.

2 MR. BOSTWICK: Within two weeks before? Leave in the  
3 word befor?

4 DR. CHANCO-TURNER: Within two weeks of onset  
5 of Accutane therapy.

6 DR. TABOR: This is a small point, but that could  
7 mean two weeks in either direction.

8 DR. CHANCO-TURNER: Before.

9 DR. TABOR: Prior to?

10 DR. CHANCO-TURNER: Prior to.

11 MR. BOSTWICK: Now, read me the whole thing, Dr.  
12 Turner, I'm lost in the switches here?

13 DR. CHANCO-TURNER: A pregnancy test should be  
14 performed within two weeks prior to onset of Accutane therapy.

15 MR. BOSTWICK: Okay.

16 DR. EAGLSTEIN: Okay. So, that's a proposal. It  
17 has been seconded. Moved and seconded.

18 Is there a discussion on that? This is really that  
19 it should be performed. That we have in a way discussed it  
20 already.

21 Are you ready for the vote. All those in favor of  
22 this motion which would say, "should be performed." "The  
23 test should be performed."

24 All those in favor, please raise their hand?

25 (A show of hands.)

1 DR. EAGLSTEIN: Three.

2 All those opposed?

3 (A show of hands.)

4 MR. BOSTWICK: You get to vote.

5 DR. EAGLSTEIN: Four. I get to vote to make a tie.

6 (Laughter.)

7 DR. HASERICK: It is three four and three against.

8 What is your vote?

9 DR. EAGLSTEIN: I am voting for this motion. So, I  
10 make it a tie.

11 MR. BOSTWICK: Let's go through one more time.

12 DR. EAGLSTEIN: Who is in favor of it, in favor of  
13 the motion? And I will be voting.

14 (A show of hands.)

15 DR. EAGLSTEIN: Against it?

16 (A show of hands.)

17 DR. EAGLSTEIN: Four and four. So, that is not a  
18 revision that we have agreed upon.

19 MR. BOSTWICK: It is not.

20 DR. HASERICK: Back to the drawing board.

21 DR. TABOR: May I suggest a compromise, which  
22 includes as an alternative the administration immediately  
23 after onset of menstrual period?

24 DR. CHANCO-TURNER: A discussion on that. From  
25 what I remember of my obstetrics, it is not unusual for women

1 during their first two months of pregnancy to bleed.

2 DR. RASMUSSEN: That's true.

3 DR. CHANCO-TURNER: Just when they are expected  
4 to menstruate --

5 DR. RASMUSSEN: But it usually is not as heavy as  
6 a normal menstrual period.

7 DR. CHANCO-TURNER: -- yes, but then you have to  
8 get into how heavy, how many tampons.

9 DR. GOLDNER: A compromise might be though just  
10 the insertion of strongly recommended. If you make that  
11 strongly recommended.

12 DR. RASMUSSEN: How about a pregnancy test is one  
13 of the most reliable ways to ascertain pregnancy, or something  
14 like that.

15 DR. POMERANZ: Well, you could put a pregnancy test  
16 within two weeks prior to Accutane is extremely important.

17 DR. GOLDNER: I am not objecting to the concept;  
18 I'm really objecting to that little word "mandatory."

19 DR. CHANCO-TURNER: What about the others --

20 DR. POMERANZ: What about the lipid studies, and  
21 that sort of thing. If, any thing, the lipid studies are less  
22 valuable. Nobody knows what triglycerides mean.

23 DR. GOLDNER: Well, but when this Committee  
24 originally met that problem, we were aware of the triglyceride  
25 elevations and we all wanted to know them prior to and during

1 our treatment because of the potential risk to that. I mean,  
2 that was agreed to at the time.

3 DR. POMERANZ: But the potential risk of triglyceride  
4 elevation and possible coronary artery diseases is miniscule  
5 compared to the problem of the woman getting pregnant.

6 DR. RASMUSSEN: But there is no other way of  
7 assaying the triglyceride and cholesterol level other than  
8 doing laboratory tests. There are other ways that you can  
9 discover, or at least have some indication of whether a patient  
10 is pregnant and that is simply talking to people. There is --  
11 that system is not useful in determining cholesterol levels  
12 or triglycerides.

13 So, this is not the only way that you can get around  
14 that problem.

15 DR. CHANCO-TURNER: Except the potential damage,  
16 the potential impact of a birth defect is so much more serious  
17 than a transitory elevation of triglycerides.

18 DR. RASMUSSEN: I think we all agree it is a useful  
19 test. Why don't we devise some sort of wording that would con-  
20 vey that opinion without pressing people to do it.

21 DR. KENNEY: Mr. Chairman, two of those who vote in  
22 opposition say they are willing to go along with it as strongly  
23 recommend that pregnancy test; so, I so move that revision.

24 DR. EAGLSTEIN: Okay.

25 DR. RASMUSSEN: Would you read that specific sentence,

1 John?

2 DR. KENNEY: Okay, I'll try. It is strongly  
3 recommended that a pregnancy test should be performed within  
4 two week prior to the onset of Accutane therapy.

5 DR. RASMUSSEN: I could go for that.

6 DR. EAGLSTEIN: That's seconded.

7 Dr. Rosa, from the FDA.

8 DR. ROSA: I would like to point out that if you  
9 do a pregnancy test at the time of onset and it is negative;  
10 then, the woman was not pregnant two weeks or even six months.  
11 She's not carrying a pregnancy.

12 DR. GOLDNER: Would you repeat that?

13 DR. ROSA: If you did a pregnancy test at the time  
14 you start the Accutane and the woman is not pregnant; then,  
15 she was not pregnant. She is not carrying a pregnancy that she  
16 had prior to start Accutane.

17 DR. GOLDNER: That's what all that means is that  
18 she was not pregnant prior to starting it.

19 DR. ROSA: So, there is no advantage to doing it two  
20 weeks prior. You need to do it at the time, as close as  
21 possible to the time of administering the Accutane.

22 DR. GOLDNER: Oh, I see your point. I see your point.  
23 is that two weeks --

24 DR. KENNEY: He suggests even closer.

25 DR. TABOR: The only advantage of two weeks is that



1 it gives the physician more flexibility of when it's done  
2 and where it is done.

3 DR. EAGLSTEIN: Okay. Would you read us the motion  
4 again?

5 MR. BOSTWICK: As I understand Dr. Kenney's  
6 sentence, it is that a pregnancy test is strongly recommended  
7 within two weeks prior to onset of Accutane therapy. Is that  
8 correct, Dr. Kenney?

9 DR. KENNEY: It is strongly recommended that a  
10 pregnancy test should be performed within two weeks prior  
11 to the onset of Accutane therapy.

12 MR. BOSTWICK: Oh, I see.  
13 Is there any further discussion?

14 (No response.)

15 DR. EAGLSTEIN: All those in favor of this motion,  
16 which is, what, strongly recommended?

17 MR. BOSTWICK: Yes. It is strongly recommended that  
18 that a pregnancy test should be performed within two weeks  
19 prior to onset of Accutane therapy.

20 DR. KENNEY: Just take the should out and it will  
21 be all right.

22 MR. BOSTWICK: Take the should out.

23 DR. EAGLSTEIN: Okay. All those in favor of adopting  
24 this motion as a recommendation?

25 (A show of hands.)

1 DR. EAGLSTEIN: And those opposed?

2 (No response.)

3 DR. EAGLSTEIN: I think we are unanimous.

4 Okay. Now, are there further suggestions?

5 DR. BILSTAD: Are you clear now, was that with the  
6 should or without the should?

7 DR. EAGLSTEIN: No. That's with the strongly  
8 recommended.

9 DR. BILSTAD: Well, there is also a should in there.

10 DR. KENNEY: We agreed to drop the should.

11 DR. CHANCO-TURNER: It is strongly recommended.

12 DR. EAGLSTEIN: So, the should has been dropped and  
13 strongly recommended inserted.

14 Now, do you want to amend this, or suggest revisions  
15 of any other sort?

16 (No response.)

17 DR. EAGLSTEIN: Does anyone want to move that they  
18 be placed -- this information be placed in a box as was  
19 requested by the petitioner?

20 DR. RASMUSSEN: I don't see anything wrong with that.  
21 I think the problem is that this is going to sit at the end of  
22 a page and a half --

23 DR. CHANCO-TURNER: On page 2.

24 DR. RASMUSSEN: -- I presume that these are the  
25 way the pages will actually sit. That this is the type that --

1 so it would be down -- about a third of the way down the  
2 second page, and if I were doing this, I would either box it  
3 or stick it at the top, or put it as a --

4 DR. POMERANZ: If it could be put at the top.

5 DR. RASMUSSEN: -- because this is the big thing  
6 that you are talking about right now and putting it down here,  
7 it loses a little of its impact. I think that would be  
8 impossible to overlook if you put it right under the name, or  
9 something like that.

10 DR. EAGLSTEIN: So, you want to move its position, is  
11 that what you're saying?

12 DR. RASMUSSEN: I would be comfortable with that.  
13 I would like to know how other people feel.

14 DR. EAGLSTEIN: You want to move it to where, again?

15 DR. RASMUSSEN: Well, whatever. I don't know the  
16 particular format for listing drugs, but I would stick it  
17 some place close to the top. I don't know if it would go  
18 under the name --

19 DR. EAGLSTEIN: On the front page? One of the first  
20 places of information?

21 DR. RASMUSSEN: Right up under the heading Accutane;  
22 so, if you saw the word, you would see the warning.

23 DR. EAGLSTEIN: Okay. And in a box?

24 DR. POMERANZ: In red.

25 (Laughter.)

1 MR. BOSTWICK: I don't think we can do that.

2 DR. EAGLSTEIN: And in a box, is that what you  
3 are saying?

4 DR. RASMUSSEN: That's what I would do.

5 DR. EAGLSTEIN: Do you want to develop a motion?

6 DR. RASMUSSEN: I will move that this warning  
7 paragraph which we have amended and approved be placed in a  
8 box in prominent bold type. I don't know where to say to put  
9 it. Of course, under the name if it can be done.

10 DR. EVANS: Ahead of the description section?

11 DR. RASMUSSEN: Ahead of the description, all right.

12 DR. POMERANZ: Second.

13 DR. EAGLSTEIN: All right. It's been seconded.

14 Is there a discussion on this point?

15 (No response.)

16 DR. EAGLSTEIN: Do you want to vote. All those in  
17 favor, raise your hand?

18 (A show of hands.)

19 DR. EAGLSTEIN: All those in opposition?

20 (No response.)

21 MR. BOSTWICK: You only got six that time.

22 DR. EAGLSTEIN: It's unanimous.

23 Okay. Are there other suggestions for revision of  
24 this label as regard to teratogenic effects?

25 DR. RASMUSSEN: I do not know if it is important

1 to discuss it now, but we have discussed the issue of how we  
2 can as reasonable as possibly rule out the possibility that  
3 a patient is pregnant at the time the drug is presented.  
4 Do you think we should address the question of the fallibility  
5 of contraceptives other than abstinence? In other words,  
6 if people are going to be on this drug and you do it hundreds  
7 of thousands of times, somebody is going to get pregnant even  
8 with all these precautions. Do you think we should make that  
9 as a statement? Or would that be better left for consideration  
10 of a patient handout item?

11 DR. CHANCO-TURNER: What, that we make a statement?

12 DR. RASMUSSEN: The idea that even if you use  
13 contraceptives, there is no fool proof system, and even though  
14 severe acne is a moderate contraceptive in its own right,  
15 there is going to be a problem. If you use it long enough,  
16 somebody is going to get pregnant. And I'm wondering if we  
17 should discuss that as an issue? Somebody is going to have to  
18 face the problem of being pregnant even though they are doing  
19 all these precautions?

20 DR. CHANCO-TURNER: You are not going to suggest  
21 abstinence?

22 DR. RASMUSSEN: Oh, no, I wasn't dealing with that  
23 at all, but I was just wondering if we ought to remind people  
24 that somebody is going to be in this dilemma of being pregnant  
25 and on Accutane even though they are using adequate contraception.

1 DR. EAGLSTEIN: Do you want that in the physician's  
2 insert or the patient's insert?

3 DR. RASMUSSEN: If I were going to put it some place,  
4 I would probably put it in the patient's insert so that some-  
5 body, at least, has the emotional or the option of deciding  
6 that if they get pregnant, they may have to make a tough  
7 decision about abortion, although it won't be very likely.  
8 It would only be 1 percent. But if you do 300,000 people,  
9 1 percent is, what, 300?

10 DR. EVANS: It's a good point.

11 DR. RASMUSSEN: If it is half of them, 250.

12 DR. EAGLSTEIN: Do you want that in the physician's  
13 insert?

14 DR. RASMUSSEN: I don't know. I was just bringing  
15 that up as a discussion. It is something that concerns me.

16 DR. EAGLSTEIN: In one of the -- it may be in the  
17 patient's, it says, "If you accidentally get pregnant, let your  
18 doctor know."

19 DR. KOEHN: Yes, right.

20 DR. EAGLSTEIN: And you could say that since it is  
21 possible to get pregnant even with using birth control pills,  
22 or birth control methods, let your doctor know. But is that  
23 in the doctor's insert?

24 DR. KOEHN: It is here again.

25 DR. EAGLSTEIN: What page?

1 DR. KOEHN: Page 2. It is about the third paragraph  
2 down. Women of child bearing age. "The should be fully  
3 counseled on the potential risk to fetus should they become  
4 pregnant while undergoing treatment. If pregnancy does occur  
5 during treatment, the physician and patient should discuss the  
6 desirability of continuing the pregnancy."

7 DR. EAGLSTEIN: Jim, do you want to put in there  
8 somehow that since all birth control or since most birth  
9 control --

10 DR. KOEHN: No, that sentence is satisfactory.  
11 I think it is fine.

12 DR. EAGLSTEIN: -- okay. So, that satisfies your  
13 concern in that area?

14 DR. RASMUSSEN: Yes.

15 DR. EAGLSTEIN: Any other areas of revision that  
16 people would like to discuss or suggest for the teratogenic  
17 effect?

18 Dr. Koehn?

19 DR. KOEHN: Under contraindications, the first  
20 sentence, "Patients who are pregnant or who intend to become  
21 pregnant while undergoing treatment" --

22 (Laughter.)

23 DR. KOEHN: It seems that it should say, "or who  
24 may become pregnant," rather than intend to.

25 DR. EAGLSTEIN: So, you are suggesting that this  
be altered?

1 DR. KOEHN: Instead of "who intend to," to say,  
2 who may become pregnant.

3 DR. EAGLSTEIN: Who may become pregnant.

4 DR. KOEHN: I move that under contraindications,  
5 the first sentence be changed to "Patients who are pregnant or  
6 who may become pregnant while undergoing treatment must not  
7 receive Accutane."

8 DR. RASMUSSEN: I'll second the motion.

9 DR. KENNEY: I'll second the motion.

10 Is there is a discussion on this?

11 DR. RASMUSSEN: Yes. May is a very nebulous  
12 problematic word. Anybody may become pregnant while taking  
13 Accutane, any woman even using contraceptives.

14 DR. EAGLSTEIN: Well, not if she's not of child  
15 bearing potential.

16 DR. RASMUSSEN: Well, then she wouldn't be on  
17 Accutane.

18 DR. EAGLSTEIN: She might.

19 DR. RASMUSSEN: Who could possibly be on Accutane  
20 that wouldn't be of child bearing potential?

21 DR. EAGLSTEIN: Well, they may have had a hysterectomy  
22 or something.

23 DR. RASMUSSEN: All right.

24 DR. EAGLSTEIN: I think that is what you mean. If  
25 you have the chance. If you are able to become pregnant.



1 DR. RASMUSSEN: Well, that could be interpreted to  
2 exclude every woman who hasn't had a hysterectomy, because  
3 anybody could become pregnant while taking Accutane. Any  
4 woman who still has a uterus and an ovary.

5 DR. EAGLSTEIN: I see.

6 DR. RASMUSSEN: In other words, none of these systems  
7 we are talking about are infallible even tubal ligation has  
8 a failure rate.

9 DR. EAGLSTEIN: I see what you're saying.

10 DR. RASMUSSEN: In other words "may" is a word  
11 that indicates possibility.

12 DR. EAGLSTEIN: I see. And this is a contraindication,  
13 must not receive.

14 DR. RASMUSSEN: Right. So, you literally interpret  
15 that, anybody who had any potential for becoming pregnant,  
16 that is, anybody who is having sex and has a uterus and an  
17 ovary would be contraindicated. You could interpret that if  
18 you were a very strict constructionist to mean that no woman  
19 who could possibly conceive could use this drug ever.

20 DR. CHANCO-TURNER: Uh-huh.

21 DR. RASMUSSEN: And that is not what I think you  
22 are intending to say, is it?

23 DR. KOEHN: Okay. I take my motion back and I move  
24 that we strike that first sentence under contraindications.

25 DR. POMERANZ: It is redundant in a way. It really

1 is not clear. The entire sentence --

2 DR. EAGLSTEIN: Do you take away your second?

3 DR. KENNEY: I'll take away the second.

4 DR. EAGLSTEIN: Do you want to move to strike the  
5 entire sentence or do you want to leave it contraindicated for  
6 those who are pregnant?

7 DR. POMERANZ: I think women should come in and say  
8 they have cystic acne and they were thinking of having a baby.

9 DR. EAGLSTEIN: So, you think that it is clear as  
10 it stands.

11 DR. CHANCO-TURNER: As a matter of fact --

12 DR. POMERANZ: I think the sentence is very clear.  
13 It means they are planning on getting pregnant. It is something  
14 they are looking for.

15 DR. CHANCO-TURNER: -- as a matter of fact, I didn't  
16 start a patient on Accutane for that very reason.

17 DR. EAGLSTEIN: All right. Now, what motion do you  
18 want to make. I think there isn't a motion.

19 DR. CHANCO-TURNER: Just leave it there.

20 DR. EAGLSTEIN: All right.

21 MR. BOSTWICK: Leaving it there.

22 DR. EAGLSTEIN: Other proposed revisions to this  
23 teratogenic effect?

24 (No response.)

25 DR. EAGLSTEIN: I had -- these are minor, but it

1     seemed to me that in the -- that the heart and micro-  
2     ophthalmia had been left out of the reported birth defects  
3     list.

4             DR. RASMUSSEN:   These were animal birth defects.  
5     They were showing human data.

6             DR. EAGLSTEIN:   Oh, those were only animal.

7             DR. EVANS:    They probably hadn't been reported at  
8     that time.

9             DR. EAGLSTEIN:   So, I would like to ask someone to  
10    move that they be placed in for completeness unless you think  
11    that's being --

12             Jerry, would you move that?

13             DR. POMERANZ:   I move that they be.

14             DR. EAGLSTEIN:   The list of things that have  
15    occurred and I think this is quite minor, but the way we've  
16    been told that heart defects and some microphthalmia or small  
17    eyes have occurred as well.  So, I think that to complete the  
18    picture for the physician.

19             DR. KOEHN:    And spontaneous abortion is not --

20             DR. EAGLSTEIN:   Now, where does the abortion fit in,  
21    the spontaneous abortion.  Is that put in here anywhere?

22             DR. KOEHN:    -- no.  I didn't see it.  I just  
23    wondered if you are going to include the others, if that should  
24    be included.

25             MR. BOSTWICK:   Well, it is not really a fetal

1 abnormality, which is what you are listing there.

2 DR. EAGLSTEIN: Can we put this in as a fetal  
3 abnormality and then if you want to find a place for that,  
4 an appropriate place. We can suggest placing that as well?

5 DR. RASMUSSEN: Well, it is probably certain that  
6 the reason the fetus was aborted was because it had a fetal  
7 abnormality.

8 DR. EAGLSTEIN: So, you think it should be here?

9 DR. RASMUSSEN: Yes.

10 DR. EAGLSTEIN: So, your motion, Dr. Pomeranz, would  
11 be for all three?

12 DR. POMERANZ: Yes.

13 DR. EAGLSTEIN: To include heart and small eye  
14 birth defects and abortions.

15 Can we just vote to have these things added in the  
16 appropriate place?

17 MR. BOSTWICK: You sure can.

18 DR. EAGLSTEIN: Since this is real minor.

19 All those in favor of adding this information where  
20 it seems appropriate?

21 MR. BOSTWICK: Which is heart defects, microophthalmia,  
22 and birth defects.

23 DR. EAGLSTEIN: Right. Please say yes.

24 (Show of hands.)

25 DR. EAGLSTEIN: All right.

1           And one other thing I want to ask the Committee,  
2 the next sentence is (2) on the insert, page 2, the fourth  
3 paragraph, it tells about the rats and all that. I don't  
4 know what that tells the doctor that is helpful. I just don't  
5 -- maybe I just don't get it. You tell about the doses for  
6 the rat and the problems, but --

7           DR. RASMUSSEN: Well, they have far more experience  
8 with presumably hundreds or maybe thousands of laboratory  
9 animals and these are the observations that they have made  
10 implying that these are the types of things that might  
11 potentially occur letting people know that they are very  
12 serious.

13           You have already said that they are serious in your  
14 second paragraph. I don't see anything wrong in leaving it in  
15 there.

16           DR. EAGLSTEIN: Well, it dilutes the other informa-  
17 tion.

18           DR. RASMUSSEN: Yes, but as more human experience  
19 comes about, these things may also be seen in people.

20           DR. EAGLSTEIN: All right. Is that what everyone  
21 feels? Leave that there?

22           DR. CHANCO-TURNER: Uh-huh.

23           DR. DR. BILSTAD: I would also make the point that  
24 if you get concurrence between animal studies and the human  
25 data that perhaps is stronger.

1 DR. EAGLSTEIN: All right.

2 DR. BILSTAD: In other words, you are finding the  
3 same finding in both places.

4 DR. EAGLSTEIN: Okay. Now, does anyone else have  
5 a suggestion or suggestions as to what further revisions  
6 concerning teratogenic effects are necessary?

7 (No response.)

8 DR. EAGLSTEIN: Okay. If there are none, let's turn  
9 to question number two.

10 Question number two is: does the information on  
11 the occurrence of pseudotumor cerebri in patients undergoing  
12 Accutane therapy warrant a more prominent display in the  
13 package insert?

14 MR. BOSTWICK: It is presently in the adverse  
15 reactions.

16 DR. EAGLSTEIN: It's on page 3.

17 MR. BOSTWICK: Okay.

18 DR. EAGLSTEIN: Near the bottom of page 3 and where  
19 else?

20 MR. BOSTWICK: I think that's the only place we  
21 have it.

22 DR. EAGLSTEIN: It's the third paragraph from the  
23 bottom And the question is: does the Committee want to  
24 recommend that this information be more prominently displayed?

25 DR. KOEHN: Yes.

1 DR. EAGLSTEIN: Any discussion?

2 DR. HASERICK: We have already agreed on this.

3 DR. POMERANZ: Yes. We have already agreed on  
4 this.

5 MR. BOSTWICK: We can take a formal vote and then  
6 we will be done with it.

7 DR. EAGLSTEIN: And actually, I think we can probably  
8 go further. This just says, do you want it displayed. It  
9 doesn't say do you want to change the words in any way.

10 MR. BOSTWICK: No. All it says, is do you want that  
11 information in a different place?

12 DR. EAGLSTEIN: Do you want it displayed more  
13 prominently. I gather you want to vote on that?

14 DR. HASERICK: It isn't necessary from my point of  
15 view because I approved the whole thing except for that  
16 pregnancy question.

17 DR. EAGLSTEIN: All right. So, you will vote yes?

18 DR. HASERICK: Yes.

19 DR. EAGLSTEIN: Would someone move that we vote  
20 on question two?

21 DR. POMERANZ: I move we vote on question two.

22 DR. GOLDNER: Second.

23 DR. EAGLSTEIN: All those in favor --

24 DR. RASMUSSEN: Wait. Can we have a little  
25 discussion?

1 DR. EAGLSTEIN: Yes.

2 DR. RASMUSSEN: I just want to make it clear to  
3 everybody what we are discussing is not this two-page handout,  
4 but on page 3 under tab F, paragraph 3 from the bottom. It  
5 starts, "Cases of pseudotumor cerebri." That is what we are  
6 voting on, not FDA's suggestion. This is the company's  
7 proposed revised package insert, or whatever you want to call  
8 this thing. Physician insert, whatever. That is what we are  
9 voting on. We are not voting on this sheet at all.

10 DR. EAGLSTEIN: We are voting on displaying it more  
11 prominently.

12 DR. GOLDNER: I am a little confused on that. I  
13 didn't realize that's all we were voting on.

14 DR. CHANCO-TURNER: The question is this.

15 DR. RASMUSSEN: The question is, tab F, page 3,  
16 paragraph three from the bottom. It starts, "Cases of  
17 pseudotumor cerebri." We are talking about whether we want  
18 it displayed in a different fashion or place.

19 MR. BOSTWICK: That's correct.

20 DR. EAGLSTEIN: If you say yes, then you have --  
21 then it says, "What are your suggestions?" You might suggest  
22 changing the words at that point.

23 MR. BOSTWICK: Right.

24 DR. EAGLSTEIN: Are we ready we vote.

25 All those in favor of saying that they want to



1 have it displayed more prominently, raise their hand?

2 (A show of hands.)

3 DR. EAGLSTEIN: All opposed?

4 (No response.)

5 DR. EAGLSTEIN: I think it is unanimous.

6 Okay. Since the answer is yes, what suggestions  
7 do we have for implementing these changes, this change, and I  
8 think it could be a change in words as well as place, bold  
9 type, box, whatever. And I think it should be pointed out  
10 that the petitioner asked for a box again. Is that true?

11 DR. RASMUSSEN: Bill, I would like to move that we  
12 adopt, as stated, the second paragraph of this memorandum  
13 from David Bostwick to the Dermatology Advisory Committee  
14 Members, which begins, "Accutane use has been associated,"  
15 and substitute that for the insert -- I mean the tab F, page  
16 3, the one we just voted on. And the second part of that  
17 motion is that we put it underneath the previously described  
18 warning on teratogenicity which we've just discussed; that is,  
19 at the top of the area in a box in bold type, because  
20 the two main problems that we are discussing here today are  
21 teratogenicity and pseudotumor cerebri. And there is no  
22 reason why people shouldn't read about those things right off  
23 the bat.

24 DR. EAGLSTEIN: First of all, may I ask that you --  
25 can we do those one at a time?

1 DR. RASMUSSEN: Sure. I first move -- my first  
2 motion is to adopt, as written, this FDA suggested paragraph,  
3 which begins, "Accutane use has been associated," and include  
4 in that to replace this paragraph three, page 3, tab F form.

5 DR. POMERANZ: I'll second the motion.

6 DR. EAGLSTEIN: Any discussion on this? I must say,  
7 I thought as a matter of wordage, pseudotumor cerebri, it  
8 says, "The signs and symptoms" and then it says, "include  
9 intercranial hypertension," which I don't think is a sign or  
10 a symptom. And I thought maybe the way around that would be  
11 to say, "Pseudotumor cerebri (intercranial hypertension) are  
12 detected by papilledema."

13 Do you see what I'm trying to say? That it is not  
14 really a sign or a symptom. It is maybe a mechanism or a  
15 finding that you can come across if you do the proper test.

16 But that again is minor. The sense of what is being  
17 said is that we adopt this warning.

18 MR. GOLDSMITH: Bill, with this new paragraph there  
19 is no discussion of possible tetracycline interaction.

20 DR. EAGLSTEIN: That's right.

21 MR. GOLDSMITH: It dilutes that point.

22 DR. EAGLSTEIN: Which is in the current -- I think  
23 it is in the current statement, and I think that this FDA  
24 proposal is fairly consistent with the petitioner's proposal.  
25 It's almost exactly.

1 DR. GOLDNER: The problem with including it is  
2 that it dilutes the meaning again. The physician tending to  
3 think if my patient is not on tetracycline when I have her on  
4 Accutane, that in fact, I don't have to worry as much.

5 DR. EAGLSTEIN: So, you feel that this is the right  
6 way to go?

7 DR. GOLDNER: Right. I think so.

8 DR. EVANS: You could also put it in the precaution  
9 section, "Precaution if used in association with tetracycline  
10 and the following might occur."

11 DR. EAGLSTEIN: Well, could I get some feeling  
12 as to whether you would consider an amendment of your motion  
13 so that hypertension isn't a sign or a symptom?

14 DR. POMERANZ: It wouldn't bother me.

15 DR. GOLDNER: Could we get a reading from our  
16 neurologist of his interpretation of that. Is that clear to  
17 you?

18 DR. CORBETT: I'm sorry, I didn't hear the  
19 question.

20 DR. EAGLSTEIN: Well, as the wording -- the wording  
21 that's been proposed says that, "Early signs and symptoms  
22 of pseudotumor cerebri include intercranial hypertension,"  
23 and the question is: is that a sign or a symptom?

24 DR. GOLDNER: It is not a sign or a symptom. It  
25 is a diagnosis.

1 DR. CORBETT: And your question is?

2 DR. EAGLSTEIN: Is that a sign or a symptom?

3 DR. CORBETT: No, but neither is pseudotumor  
4 cerebri.

5 DR. EAGLSTEIN: No, we know that. But what are  
6 the signs and symptoms of pseudotumor cerebri?

7 DR. CORBETT: Intercranial hypertension is a sign  
8 only when you do a lumbar puncture.

9 DR. EAGLSTEIN: So, it is good enough for you?

10 DR. CORBETT: But it is not a sign when you are just  
11 examining the patient.

12 DR. EAGLSTEIN: What do you suggest?

13 DR. CORBETT: My suggestion would be that you put  
14 in parenthesis (Accutane has been associated with a number  
15 of cases of pseudotumor cerebri) and put in parenthesis,  
16 (increased intercranial pressure).

17 DR. EAGLSTEIN: That is what I suggested.

18 DR. CORBETT: Because pseudotumor cerebri dignifies  
19 it with a name. There are a lot of people who argue about that  
20 whether that should be the name.

21 DR. EAGLSTEIN: Whose motion is this now?

22 (Laughter.)

23 DR. EAGLSTEIN: Can the Committee amend your  
24 motion?

25 DR. RASMUSSEN: Go ahead.

1 DR. EAGLSTEIN: Any objection?

2 DR. RASMUSSEN: Go ahead.

3 DR. EAGLSTEIN: Okay. Do you understand this?

4 MR. BOSTWICK: I understand that we are going to  
5 -- the first sentence of this paragraph is now going to read,  
6 "Accutane use has been associated with a number of cases  
7 of pseudotumor cerebri." And we're going to put in  
8 parenthesis, (increased intracranial pressure).

9 DR. EAGLSTEIN: Right. As another name for pseduo-  
10 tumor cerebri?

11 MR. BOSTWICK: All right. And what are we going to  
12 do about the second sentence?

13 DR. EAGLSTEIN: No, it's -- "Are detected by  
14 pappiledema."

15 MR. BOSTWICK: All right. So, we are going to take  
16 that out.

17 "As detected by," right.

18 All right. So, the -- I think we've got the motion  
19 on the floor; it's seconded. Any further discussion?

20 (No response.)

21 DR. EAGLSTEIN: This does exempt the tetracycline  
22 part. So, this is a motion on the words.

23 All those in favor -- do you want to vote.

24 All those in favor of these words?

25 (A show of hands.)

1 DR. EAGLSTEIN: All those opposed?

2 (No response.)

3 DR. EAGLSTEIN: So, we are suggesting that the words  
4 be changed to -- that this motion will substitute -- the words  
5 in this motion will substitute for the words currently in the  
6 physician's insert.

7 Jim, do you want to read them?

8 DR. RASMUSSEN: You want me to read the --

9 DR. EAGLSTEIN: "Accutane has been associated with  
10 a number of cases of pseudotumore cerebri. Early signs and  
11 symptoms of pseudotumor cerebri (intercranial hypertension)  
12 are detected by papilledema, headache, nausea and vomiting."  
13 And then it is the continuation of what the FDA proposed.

14 MR. BOSTWICK: Okay.

15 DR. EAGLSTEIN: Okay, Jim, your second motion.

16 DR. RASMUSSEN: My second motion was to take this  
17 paragraph and put it under the one that we just finished voting  
18 on about teratogenicity so that both of them will be in both  
19 typed in a box at the top of the insert under -- or before  
20 description. In other words, this would be item number two.

21 DR. EAGLSTEIN: Okay. Is there a second to that  
22 motion?

23 DR. POMERANZ: I'll second.

24 DR. EAGLSTEIN: Discussion?

25 (No response.)

1 DR. EAGLSTEIN: Does the FDA have a posture on this,  
2 or is this an unusual way to do things?

3 MR. BOSTWICK: We want to know what the Committee  
4 wants to do?

5 DR. EAGLSTEIN: I understand that. I just want to  
6 know if this is --

7 DR. EVANS: It can be done either way. We have done  
8 it both ways.

9 DR. EAGLSTEIN: -- okay.

10 Now, you did not indicate the box?

11 DR. RASMUSSEN: Yes, I did. In the box, item number  
12 two, under teratogenicity.

13 DR. EAGLSTEIN: All right. Is there a discussion  
14 on this?

15 DR. GOLDNER: We are giving equal severity to the  
16 two problems, that's what we're doing by boxing them and putting  
17 them in the beginning of the insert. We are giving equal  
18 status to the two.

19 DR. CHANCO-TURNER: Should we?

20 DR. GOLDNER: Should we? That's the --

21 DR. EAGLSTEIN: That's what we are going to vote on  
22 now.

23 DR. RASMUSSEN: Well, those two seem to be the most  
24 prominent side effects that we know about today from the  
25 reasonably short-term use in the treatment of acne. Now,

1 other problems may arise for people who were using it for a  
2 longer term, for second courses, but I think that these are  
3 the two items that are the most important to people. And we  
4 are not giving them equal weight, or we would put them without  
5 numbers. If you put (1) and one (2), it is like who gets top  
6 billing the theatre. It's not that they are both super --

7 DR. EAGLSTEIN: Okay.

8 DR. RASMUSSEN: -- I think these are the things  
9 you want people to notice. You really want to emphasize that,  
10 and that's where you are going to do it as an item right under  
11 the number of the drug. It would be hard to overlook.

12 DR. EAGLSTEIN: Further discussion?

13 DR. DEL VECCHIO: Mr. Chairman, may I make a  
14 suggestion?

15 DR. EAGLSTEIN: Please do?

16 DR. DEL VECCHIO: I would just like to echo what has  
17 been said here. I think the possibility of diluting the  
18 pregnancy warning, despite the fact that you put this in a  
19 black box, is very real. And the more boxes that you have up  
20 front, the more likely you are to dilute the most important  
21 factor here, which is the prevention of pregnancy.

22 Let me suggest an alternative, which is to leave it  
23 in place in the adverse reactions area and put it in bold  
24 print to make it stand out in that particular area so that it  
25 is more prominently displayed.



1 DR. EAGLSTEIN: All right. We are discussing putting  
2 it second in a box in bold print and we've heard the suggestion  
3 that if we defeat that idea, we might put it in a box in bold  
4 print --

5 MR. BOSTWICK: Not in a box, just in bold print.

6 DR. POMERANZ: Just put it in bold print as number  
7 two.

8 DR. EAGLSTEIN: All right. That was the suggestion  
9 that it be put in bold print as number two rather than as the  
10 box. I thought I heard it --

11 DR. POMERANZ: Oh, no, that's what you did hear,  
12 but I'm just saying --

13 DR. EAGLSTEIN: -- oh, okay.

14 DR. POMERANZ: -- if there is objection to the box,  
15 just use bold print.

16 DR. RASMUSSEN: I would find that quite acceptable  
17 so long as it maintains a prominent place. I would not feel  
18 very comfortable sticking it down --

19 DR. POMERANZ: It is way down at the bottom now.

20 DR. RASMUSSEN: -- in warnings because quite honestly  
21 most people never get passed the name of the drug and the dosage.  
22 That's about the only two things that people read. It's hard  
23 to overlook that type of a warning if it is right under the  
24 name. It would be fairly easy to overlook it if it were down  
25 among six or eight or ten other problems.

1 DR. EAGLSTEIN: Uh-huh.

2 DR. RASMUSSEN: So, I would like to see it up at the  
3 top. I don't care if it is in a box or not. If somebody  
4 feels it will dilute it; then, I would be glad to amend to  
5 taking it out of the box, but still keeping it prominently  
6 displayed at the top of the description.

7 DR. EAGLSTEIN: Well, why don't you leave your  
8 motion on the floor. If they want to defeat; then --

9 DR. BILSTAD: I am not sure that we have ever  
10 allowed a warning at the beginning of labeling that is not in  
11 a box. I think if we put it at the beginning of labeling  
12 normally, we do put it in a box.

13 DR. EAGLSTEIN: So, the standard format is that if  
14 it is at the beginning, it is in a box?

15 DR. BILSTAD: That's my impression. I'm not aware  
16 that -- you can put a box elsewhere in the labeling. There  
17 have occasionally been boxes in the warning section, but I  
18 think it might be confusing if you had both a box in one case  
19 and simply the bold face print in the other case and both of  
20 them were at the beginning at the label.

21 DR. EAGLSTEIN: Other comments?

22 DR. GOLDNER: That was my point, too. I'm not  
23 familiar with any other labeling that I've seen where it has  
24 been a (1) (2). If -- the Methotrexate, or whatever else you  
25 have is a box warning, it's there, but you don't have then

1 something under that that further warns. We would be going  
2 against what has been done in the past.

3 DR. TABOR: I would just like to add my opinion  
4 which is that I think it would dilute the pregnancy warning  
5 to put it up front. I think even though there have been  
6 too many cases of pseudotumor cerebri, it is still a rare  
7 complication and that putting it in bold print at the beginning  
8 of the warning section should be adequate.

9 DR. EAGLSTEIN: Bold print at the warning section?

10 MR. BOSTWICK: Right. Just move it out of adverse  
11 reactions and put it at the top of the warnings.

12 DR. EVANS: And that's FDA's suggestion.

13 DR. EAGLSTEIN: That's FDA's suggestion.  
14 All right. Any further discussion on this motion?

15 (No response.)

16 DR. EAGLSTEIN: Do you want to withdraw this motion,  
17 or would you like us to vote on this motion? I had suggested  
18 you leave it.

19 DR. RASMUSSEN: I don't mind going down in flames,  
20 leave it.

21 (Laughter.)

22 DR. EAGLSTEIN: All right. The motion that is before  
23 is that these words, which we've adopted, be placed in a box  
24 in bold type at the beginning after the warning about  
25 teratogenicity.

1 DR. RASMUSSEN: That's correct.

2 DR. EAGLSTEIN: And this is the pseudotumor.

3 So, all those who favor doing that, who favor  
4 adopting that recommendation, raise their hand?

5 DR. BILSTAD: One question before. Are you talking  
6 about one box or two?

7 DR. EAGLSTEIN: I thought we were talking about two  
8 boxes. Am I right or wrong?

9 (A show of hands.)

10 MR. BOSTWICK: Did I get four yes on that vote?

11 DR. BILSTAD: Yes, you did.

12 DR. EAGLSTEIN: And all those opposed?

13 (A show of hands.)

14 DR. EAGLSTEIN: I think we've got it.

15 DR. BILSTAD: Did you vote on that?

16 DR. EAGLSTEIN: No, I didn't. I would have tied it.

17 MR. BOSTWICK: The Chairman has a vote. You're a  
18 member just like anybody else.

19 DR. EAGLSTEIN: I know. I think, however, that  
20 there is something unsettling about tying because we don't  
21 solve the --

22 DR. RASMUSSEN: We tie it and go back some other  
23 way.

24 DR. HASERICK: That means there is something wrong  
25 with it.

1 DR. EAGLSTEIN: Well, I'll tie that one.

2 So, we just tied that and, therefore, I guess did  
3 not adopt the motion to put these words in a box in bold type  
4 at the beginning.

5 So, does anyone want to move for another approach.

6 DR. GOLDNER: I would like to move that we leave the  
7 box warning on pregnancy in the beginning and add the warning  
8 for pseudotumor to the bold type at the beginning of the warn-  
9 ing section.

10 DR. POMERANZ: I'll second that.

11 DR. EAGLSTEIN: In a box or out of a box?

12 DR. POMERANZ: Bold type out of the box.

13 DR. GOLDNER: Can we put it in a box in the warning  
14 section?

15 DR. POMERANZ: That's the one --

16 DR. GOLDNER: No, the warning section. There's  
17 a difference between putting it in the warning section and  
18 putting it at the beginning of the --

19 DR. POMERANZ: -- I think you can put it in the box  
20 there.

21 DR. GOLDNER: You can. Then, I would still make my  
22 motion to say in a box in the warning section.

23 DR. EAGLSTEIN: Okay. So, this is a motion that  
24 these words which we have adopted on pseudotumor be left --  
25 be placed in the warning section at the beginning of the warn-

1 ing section and in bold type and a box.

2 DR. GOLDNER: Correct.

3 DR. CHANCO-TURNER: I second the motion.

4 DR. EAGLSTEIN: Any discussion?

5 (No response.)

6 DR. EAGLSTEIN: All those in favor?

7 (A show of hands.)

8 DR. EAGLSTEIN: All opposed?

9 (No response.)

10 DR. EAGLSTEIN: Well, we've got three more to go,  
11 but I don't know how much time they will take. The lunch  
12 place closes at 2:00 if its the cafeteria; so, why don't we  
13 just take a half hour. Let's be back at 1:30.

14 (Whereupon, at 1:00 p.m., the meeting was recessed,  
15 to reconvene at 1:30 p.m.)

16

17

18

19

20

21

22

23

24

25

AFTERNOON SESSION

(1:30 p.m.)

1  
2  
3 DR. EAGLSTEIN: The meeting will come to order.

4 Okay, now, number three is: does the Committee  
5 recommend any other adjustment to the labeling of Accutane?  
6 And the FDA position had been that corneal opacity warning  
7 should be placed and that there should be a warning about  
8 Chron's disease. And I think that the petitioner had the  
9 same concerns. Opacities and Chron's.

10 So, I guess we could do this in the same way.  
11 Do you want to vote each yes or no, and then if it is yes,  
12 to go over which items you want to add?

13 MR. BOSTWICK: Let me make a comment about Chron's  
14 disease. I know that the people that wrote this position is  
15 that this is not Chron's --

16 DR. EAGLSTEIN: Right, ileitis.

17 MR. BOSTWICK: -- it is ileitis instead.

18 DR. EAGLSTEIN: It is duly noted.

19 Is everybody ready to vote on number three?  
20 Somebody move that we accept a vote on number three.

21 DR. RASMUSSEN: Can I have a point of clarification  
22 before we get to voting?

23 DR. EAGLSTEIN: Yes.

24 DR. RASMUSSEN: Will someone who knows tell me if I  
25 am wrong in believing -- when I heard the presentation that

1 said that corneal opacities had only occurred in patients  
2 who had keratinizing disorders who were on higher doses than  
3 we would normally use. Is that correct or incorrect?

4 DR. EAGLSTEIN: Is there anybody here from Roche  
5 that can address that?

6 DR. CUNNINGHAM: The original NDA contained several  
7 patients with disorders of keratinization who had corneal  
8 opacities and those were resolved after Accutane was dis-  
9 continued.

10 In addition, in the post-marketing period, we've  
11 had now three patients with cystic acne develop corneal  
12 opacities. As I mentioned, those have tended to resolve as  
13 well off therapy. In fact one resolved while the patient was  
14 still on Accutane therapy and discontinuation of his contact  
15 lenses.

16 DR. RASMUSSEN: Were those visually symptomatic  
17 lesions?

18 DR. CUNNINGHAM: No, they were not visually  
19 symptomatic.

20 DR. RASMUSSEN: How were they ascertained, just  
21 on screening for other problems, or what?

22 DR. CUNNINGHAM: One patient complained of dryness  
23 and the eyes were looked at. The patient had some eye  
24 dryness, and the corneal opacities were noted. It is a  
25 coincidental finding. And including the source of



1 keratinization had been reviewed. I think it is acceptable  
2 in terms of the dry eye syndrome that one sees with the drug,  
3 but not very common.

4 DR. EAGLSTEIN: Okay. So, as regards question num-  
5 ber three, is there any more discussion?

6 (No response.)

7 DR. EAGLSTEIN: I think we can assume that it is a  
8 motion.

9 DR. RASMUSSEN: I would like to discuss it a little  
10 more.

11 DR. EAGLSTEIN: Right.

12 DR. RASMUSSEN: Either before or after it is made  
13 a motion.

14 DR. EAGLSTEIN: Why don't you make it a motion?

15 DR. RASMUSSEN: Well, because I don't want to get  
16 shot down in flames again.

17 (Laughter.)

18 DR. RASMUSSEN: I don't mind doing it. My personal  
19 feeling is that if the corneal opacities have not interfered  
20 with vision. They have only been picked up because of an eye  
21 exam for some other problem as dryness and keratitis, some-  
22 thing like that, and if they have resolved after the drug has  
23 been discontinued that I don't feel that an ophthalmological  
24 exam is necessary. The way this second sentence in this third  
25 paragraph reads, "All Accutane patients should receive routine

1 ophthalmologic exams." If that means that somebody should look  
2 in their eyes, that's one thing. If it means that an  
3 ophthalmologist should look in their eyes, that's another  
4 thing. My personal opinion is that it is not necessary to do  
5 that. This is not a major problem. It doesn't interfere with  
6 vision and it is resolved spontaneously. I would be against  
7 that.

8 DR. EAGLSTEIN: So, you, as regards corneal opacities,  
9 would not favor the FDA proposal?

10 DR. RASMUSSEN: I think you could make the statement  
11 in the warning section or in the adverse reactions, or wherever  
12 you wanted to put it that corneal opacities have occurred in  
13 the patients receiving Accutane. But then the next sentence,  
14 I disagree with, and I disagree fairly strongly saying that  
15 all Accutane patients should receive routine ophthalmological  
16 exams.

17 It doesn't appear to be major. It appears to resolve  
18 on its own. It doesn't interfere with vision.

19 DR. EAGLSTEIN: Did you want to amplify this?

20 DR. CUNNINGHAM: I want to speak to that a little  
21 bit. We did have in the original NDA, 280 patients who had  
22 baseline and follow-up eye exams and you had that data  
23 previously to look at, and, in fact, the yield is rather low,  
24 other than conjunctivitis there are very few side effects that  
25 have been picked on those screening exams. So, that would

1 support it, correct.

2 DR. EAGLSTEIN: Did those exams include slit lamp?

3 DR. CUNNINGHAM: Yes, they did.

4 DR. EAGLSTEIN: And that was more --

5 DR. CUNNINGHAM: And retinal exam.

6 DR. EAGLSTEIN: -- that was more than a dermatologist  
7 might do?

8 DR. CUNNINGHAM: Oh, yes, absolutely. They included  
9 examination of all the segments of the eye, including the  
10 retina.

11 DR. GOLDSMITH: I think the problem is with the  
12 word "ophthamological" that implies ophthamologist and I think  
13 another word like "eye" was inserted in there that might --

14 DR. RASMUSSEN: I wouldn't even feel that that was  
15 necessary because they've done 200 of these with an indepth  
16 eye exam and their post-marketing results have indicated no  
17 major problems, it doesn't bother me.

18 DR. CHANCO-TURNER: Mr. Bostwick, was it your  
19 intent to require an ophthamologic exam prior to starting or  
20 only if they have signs and symptoms?

21 I understood it to mean --

22 MR. BOSTWICK: I understood it to mean signs and  
23 symptoms.

24 DR. CHANCO-TURNER: -- signs and symptoms.

25 MR. BOSTWICK: I did not write this. How is that?

1 I do not know whose intent it was to do write it.

2 DR. EAGLSTEIN: Dr. Goldner?

3 DR. GOLDNER: Again, if we go to Section F, page 3,  
4 there is already an approved warning there at the bottom of  
5 page 3 that corneal opacities have also been reported in cystic  
6 acne patients which is the intent of Jim's wording. He would  
7 like that noted in the package insert without the recommendation  
8 for ophthamologic. That's the last paragraph on page 3 of  
9 Section F.

10 DR. RASMUSSEN: That would be sufficient for me as  
11 long as it is in there. The final part of that sentence says,  
12 "And Accutane should be discontinued immediately if they  
13 experience ophthamological signs or symptoms." That means that  
14 everybody that gets a little dryness of their eyes, you are  
15 going to have to stop the program. I don't stop it for that.  
16 I keep right on going. I tell them to use artificial tears  
17 or take their contact lenses out. I've never had any significant  
18 trouble with that.

19 DR. EAGLSTEIN: In some of the information we  
20 received, there were cases with visual loss that didn't seem  
21 to be related to pseudotumor. Were they related only to the  
22 opacities or weren't there some that just had visual loss and  
23 we just don't know why?

24 DR. CUNNINGHAM: There are two different phenomenon  
25 in here. The corneal opacities have never resulted in any

1 visual loss either in the disorders of keratinization patients  
2 in the NDA or in the post-marketing cystic acne patients.

3 DR. EAGLSTEIN: Okay.

4 DR. CUNNINGHAM: Now, as far as visual loss, I talked  
5 about that this morning in terms of that being one of the  
6 manifestations of pseudotumor cerebri. Of course, that's a  
7 rather common presenting symptom of pseudotumor cerebri.

8 Am I correct, that part?

9 DR. CORBETT: It's transient.

10 DR. CUNNINGHAM: It's transient, yes, okay.

11 Transient episodes of blurring vision, but permanent visual  
12 loss is very uncommon. Very uncommon.

13 DR. EAGLSTEIN: I am asking about the petitioner's  
14 statement that eight additional cases and 30 percent decrease  
15 in one eye and 50 percent decrease in the other.

16 DR. CUNNINGHAM: That patient is the patient that I  
17 mentioned this morning. That was the only one --

18 DR. EAGLSTEIN: Was that the pseudotumor?

19 DR. CUNNINGHAM: -- no, that patient had visual loss  
20 secondary to post-encephalitis.

21 DR. EAGLSTEIN: Okay.

22 DR. CUNNINGHAM: And we have had a couple of others  
23 that have had blurring of vision, for example, but not documented  
24 visual loss. Blurring of vision has resolved on its own. So,  
25 in essence, when we separate out only the pseudotumor cerebri

1 with some sort of visual disturbance. We have one other  
2 patient with documented visual loss which, after the  
3 encephalitis was over, resolved.

4 DR. EAGLSTEIN: Thank you. Are there other comments?  
5 We are discussing number three and actually we're discussing  
6 specific ideas as to what might still be adjusted. And on  
7 these opacities, we've had several comments. Are there more  
8 on opacities, or do you want to discuss any other adjustment?  
9 The ileitis is another proposed adjustment.

10 DR. RASMUSSEN: Why don't we do them one at a time,  
11 Bill, so it won't be so confusing?

12 DR. EAGLSTEIN: All right. We are not really  
13 answering number three right now --

14 MR. BOSTWICK: You can always answer it no.

15 DR. EAGLSTEIN: -- right. We are going to discuss  
16 the opacities in preparation and we're going to discuss the  
17 ileitis in preparation for answering number three. Are there  
18 any other areas that we need to address? Will there be any  
19 other suggested changes? In your reading of the label  
20 proposals, have you seen other areas that you wanted to --

21 DR. KOEHN: With question tetracycline with --

22 DR. EAGLSTEIN: --with tetracycline, okay.

23 Any others?

24 DR. HASERICK: There is one little bit of ambiguity  
25 on page 3 of F, the final line says, "Corneal opacities have

1 been reported in the cystic acne patients." You could view  
2 that and interpret that almost as a disclaimer that as seen in  
3 cystic acne patients, and you don't know whether they have  
4 been treated with Accutane or not. So, I think we ought to  
5 tag that with treatment of Accutane on the end of it so there  
6 is no ambiguity. It sounds as though they are saying, oh, it's  
7 nothing. It happens in cystic acne patients anyway. But what  
8 they really mean, that it comes on after Accutane.

9 DR. EAGLSTEIN: Okay. We will have that as a  
10 possible change, treated with Accutane.

11 Are there other areas. Now, ileitis, we have heard  
12 a lot about it, Chron's disease, ileitis. Do you want to  
13 discuss this. Is this an area that the FDA proposals that  
14 are being added in the warnings, and it is paragraph four on  
15 the memo from Mr. Bostwick. Ileitis is in the package insert.

16 MR. BOSTWICK: It is listed in a group of other --

17 DR. EAGLSTEIN: It is called inflammatory bowel  
18 disease, including regional ileitis. And the petitioner and  
19 the FDA are more or less congruent on this.

20 I don't get this sense of urgency about this  
21 ileitis. Was it just that you were worn out, or it is not  
22 so important?

23 DR. GOLDNER: Does the percentage still hold? Is  
24 it 1 percent, less than 1 percent still correct from the  
25 petitioner's point of view and FDA? I mean, is everybody

1 agreeing on that that it is less than 1 percent?

2 DR. EAGLSTEIN: Is anybody disagreeing?

3 (No response.)

4 DR. EAGLSTEIN: I guess so.

5 Shall we vote first on number three, should we  
6 recommend other adjustments, and then on the four areas that  
7 we've -- well, tetracycline, you really haven't told us what  
8 about tetracycline you want to do?

9 DR. KOEHN: Well, it was taken away.

10 DR. EAGLSTEIN: Right.

11 DR. KOEHN: You know, concomitant use of tetracycline.

12 DR. EAGLSTEIN: Should it be put back in?

13 DR. KOEHN: That's what I'm asking. I don't really  
14 know.

15 DR. EAGLSTEIN: I thought Dr. Goldner had expressed  
16 the opinion that it was mitigated.

17 DR. GOLDNER: Okay. We took it away because we  
18 didn't want to dilute the warning with Accutane and we said  
19 that we could put it in back here, you know, to emphasize the  
20 fact that there is a relationship between the two. I certainly  
21 have no problem with that. I think that would be warranted.

22 DR. EAGLSTEIN: Is that a fact that there is a  
23 relationship, or is it a fact that half the people did take --

24 DR. GOLDNER: Somebody's slide showed that, or some-  
25 body's data showed that that was presented this morning.



1 DR. CUNNINGHAM: As I mentioned, 10 patients with  
2 pseudotumor cerebri and/or papilledema, and out of those, five  
3 have been on concomitant tetracycline or minicycline, but it  
4 is rather difficult to say, of course, with any certainty that  
5 it is or is not related other than the fact that they are  
6 being used concomitantly.

7 DR. EAGLSTEIN: They were both in the same patient.

8 DR. CUNNINGHAM: I think as Dr. Corbett pointed out  
9 this morning, however, it is rather uncommon with tetracycline  
10 and there are large numbers of patients receiving tetracycline,  
11 so, I think there is no question that Accutane causes this  
12 syndrome. The question is, is it exacerbated? Is there any  
13 synergistic action on tetracycline? And I don't know that  
14 they can make a firm establishment of a relationship there.

15 DR. EAGLSTEIN: Okay.

16 Dr. Koehn, you are suggesting that words be entered  
17 saying that they may -- there may be a greater chance of this  
18 if both drugs are taken. Is that what you're saying?

19 DR. KOEHN: I'm saying they may, yes. But I got  
20 that from Dr. Strauss, not today but other times when he has  
21 presented that several of these cases were on tetracycline.  
22 And if that be the case; then, I think that the physicians  
23 should know that this is a possibility that they are being  
24 synergistic, and -- I don't know.

25 DR. CHANCO-TURNER: Can you just make a non-

1 committal statement that while the 10 cases of pseudotumor  
2 cerebri were on concomitant tetracycline or minicycline.

3 DR. EAGLSTEIN: Well, the question, I think, is,  
4 do you read this to mean to suggest that Accutane may not be  
5 the cause, or do you want to say that it is the combination  
6 that is the cause?

7 DR. CHANCO-TURNER: I think I am only saying that  
8 we don't know.

9 (Laughter.)

10 DR. CHANCO-TURNER: But that it has been.

11 DR. EAGLSTEIN: All right. So, let's vote on number  
12 three and then go into the four areas we have outlined.

13 Number three is: does the Committee recommend any  
14 other adjustments to the labeling of Accutane?

15 Ready to vote. All those in favor, raise their  
16 hand?

17 (A show of hands.)

18 DR. GOLDNER: We've added "were treated with Accutane."

19 We have to vote in order to get that in there.

20 DR. EAGLSTEIN: Against?

21 (No response.)

22 DR. EAGLSTEIN: Everyone is for it.

23 Now, the four, the corneal opacities, the other  
24 items, the tetracycline and the addition of these words.

25 So, starting with corneal opacities, which would be

1 the stickiest one, the proposal that the FDA and the petitioner  
2 gave us is in the third paragraph. Do you want to vote on  
3 adopting this? Does somebody want to move to adopt it?

4 DR. RASMUSSEN: I would like to move that it not be  
5 adopted.

6 DR. KENNEY: I'll second that motion. I thought we  
7 agreed that what was here was adequate.

8 DR. EAGLSTEIN: All right.

9 I think we might be wise to propose adopting it and  
10 then defeat that proposal.

11 DR. POMERANZ: I move that it be adopted.

12 DR. EAGLSTEIN: We're looking for a second.

13 DR. RASMUSSEN: I'll be glad to lead it to the  
14 execution.

15 (Laughter.)

16 DR. EAGLSTEIN: Are we ready to vote or is there  
17 a discussion?

18 (No response.)

19 DR. EAGLSTEIN: We're voting on the proposal that  
20 we adopt the statement, the third paragraph, that corneal  
21 opacities have occurred in patients and that everybody  
22 should receive ophthalmologic exams and discontinue it  
23 immediately if they experience signs or symptoms.

24 All those in favor?

25 (Show of hands.)

1 DR. EAGLSTEIN: All those opposed?

2 (A show of hands.)

3 DR. EAGLSTEIN: Okay. The motion fails.

4 Is there any change that should be made as regards  
5 this corneal opacity issue from the current statement which  
6 is in the last sentence, the last two sentences on page 3,  
7 accepting that many people will probably vote to change that,  
8 as Dr. Haserick has suggested, but leaving that aside, do  
9 you want to strengthen this in one some way, but change it in  
10 some way?

11 DR. HASERICK: We just voted not to.

12 DR. EAGLSTEIN: Is there a motion to that effect?

13 (No response.)

14 DR. EAGLSTEIN: Then, let's move on.

15 DR. GOLDNER: Oh, we just want to vote to add the  
16 words --

17 DR. EAGLSTEIN: We will. Okay. I'm sorry. Let's  
18 go ahead and do what you say now.

19 Then, there is a motion. Do you want to make this  
20 motion, Dr. Haserick, to change this?

21 DR. HASERICK: Additional words?

22 DR. EAGLSTEIN: To add the words, "treated with  
23 Accutane?" To the final sentences on page 3. "Corneal  
24 opacities have been reported in cystic acne patients treated  
25 with Accutane."

1 DR. HASERICK: Yes, right.

2 DR. EAGLSTEIN: Second.

3 DR. GOLDNER: Second.

4 DR. EAGLSTEIN: Discussion?

5 (No response.)

6 DR. EAGLSTEIN: All those in favor?

7 (A show of hands.)

8 DR. EAGLSTEIN: Opposed?

9 (No response.)

10 DR. EAGLSTEIN: It carries, "treated with Accutane"  
11 added.

12 We are moving to regional ileitis, also known as  
13 Chron's disease, or is it Chron's disease also known as ilèitis?

14 The FDA proposal is the final paragraph which is very  
15 similar to the petitioner's, and the current statement is:  
16 on page 3 of the insert, and it is called inflammatory bowel  
17 disease. It is one of the side effects and it has a  
18 paranthesis (including regional ileitis.)

19 DR. GOLDNER: I would propose for the inclusion of  
20 that paragraph with the elimination of "Chron's disease" from  
21 it.

22 DR. EAGLSTEIN: So, you would --

23 DR. GOLDNER: I would propose, "Accutane use has  
24 been associated with regional ileitis in patients without a  
25 prior history," et cetera.

DR. HASERICK: Second.

*Baker, James & Burkes - Reporting, Inc.*

202 347-8865

1 DR. EAGLSTEIN: Now, that includes patients  
2 experiencing abdominal pain, rectal bleeding, severe diarrhea  
3 discontinue immediately.

4 MR. BOSTWICK: This would be left in the adverse  
5 reaction section, Dr. Goldner? Or would you put it in warnings?

6 DR. GOLDNER: Yes.

7 DR. EAGLSTEIN: Okay. Let's just go to the words  
8 first.

9 MR. BOSTWICK: Okay.

10 DR. EAGLSTEIN: Moved and seconded.

11 Discussion?

12 DR. KOEHN: What happened to colitis?

13 DR. CHANCO-TURNER: It's not there.

14 DR. EAGLSTEIN: We left it out.

15 DR. GOLDNER: Wait a minute. Tell me what I did?

16 DR. EAGLSTEIN: She wants colitis.

17 DR. HASERICK: It's never been in there.

18 DR. GOLDNER: No, I didn't see that in here.

19 DR. CHANCO-TURNER: It was in the People's --  
20 whatever the --

21 DR. EAGLSTEIN: Was it in the petitioner's suggestion?

22 DR. CHANCO-TURNER: -- I think it was in the  
23 petitioner's suggestions.

24 DR. GOLDNER: But I didn't see any data presented on  
25 that today.

DR. EVANS: Four or five cases, but it is not in  
*Baker, Humes & Burkes Reporting, Inc.*

1 the insert.

2 DR. GOLDNER: Well, if the data was there, I missed  
3 it. Then, I certainly would like to include it, but I just  
4 don't remember seeing that.

5 DR. EAGLSTEIN: Do you want to insert these?

6 DR. CUNNINGHAM: I might point out that the present  
7 wording does include both, and it is still correct; that is,  
8 it is less than 1 percent of patients have experienced. It's  
9 in that paragraph. The next to the last sentence on the  
10 package insert, "Inflammatory bowel disease" -- this includes  
11 colitis, of course --

12 DR. EAGLSTEIN: Okay.

13 DR. CUNNINGHAM: -- and then "(including regional  
14 ileitis.) And I would propose that it be left in that context  
15 because we have looked at this rather thoroughly. I have given  
16 you some of the information on particular cases this morning  
17 as well, and I did not see anything other than a temporal  
18 relationship with this particular effect at this time and I  
19 think it is misleading to tell the practitioner otherwise at  
20 this point in time.

21 DR. EAGLSTEIN: Okay. So, you propose not changing  
22 the insert from its current status?

23 DR. CUNNINGHAM: That's right.

24 DR. EAGLSTEIN: Thank you.

25 The motion that Dr. Goldner made is to adopt this

1 statement, paragraph 4 of the FDA position, deleting Chron's  
2 disease.

3 Now, Dr. Koehn, did you want to amend his motion  
4 or ask for an amendment?

5 DR. KOEHN: No. I was just curious why --

6 DR. EAGLSTEIN: Dr. Goldner, do you want to amend  
7 your motion?

8 DR. GOLDNER: You mean to state just inflammatory  
9 bowel disease, or rather just to -- well, no, I think I'd like  
10 to make the motion the way it is and see what this Committee  
11 feels about putting that strong a warning for this.

12 The only thing we might say, "Accutane use has been  
13 associated with inflammatory bowel disease (regional ileitis)."  
14 If you'd like to do that; then, that takes in the colitis  
15 cases also. It does make it a stronger warning than the way  
16 it is now.

17 DR. EAGLSTEIN: Would you like to do that?

18 "Inflammatory bowel."

19 DR. GOLDNER: Yes.

20 DR. EAGLSTEIN: Who is your second? Is that all  
21 right?

22 DR. HASERICK: Second.

23 DR. EAGLSTEIN: Okay. Any other discussion?

24 (No response.)

25 DR. EAGLSTEIN: So, this is a motion to adopt this



1 statement which would displace the current statement and  
2 presumably strengthen it and suggest more of a relation than  
3 the sponsor feels exists.

4 Other discussion?

5 DR. KOEHN: So, you are just proposing to take out  
6 of here, "inflammatory bowel disease, mild GI bleeding, weight  
7 loss," or what are you taking out to put this in?

8 DR. EAGLSTEIN: Right now we are not taking much.  
9 We are just going to add this statement and, I guess, by  
10 implication later, you could take out something.

11 DR. KOEHN: You're just going to --

12 DR. EAGLSTEIN: But this motion is to add, I think.  
13 Although the question says adjust.

14 Any other discussions?

15 (No response.)

16 DR. EAGLSTEIN: All those in favor?

17 (Show of hands.)

18 DR. EAGLSTEIN: All those opposed?

19 (No response.)

20 DR. EAGLSTEIN: That's been adopted as the  
21 recommendation.

22 So, I think those are the four, it's tetracycline --

23 MR. BOSTWICK: I have one other question. Is this  
24 inflammatory bowel disease paragraph to stay in adverse  
25 reactions?

1 DR. EAGLSTEIN: That's a good question, because  
2 we had put that aside as to where it would belong.  
3 The FDA's recommendation was warning. It currently stands  
4 under, what, adverse reactions.

5 What does the petitioner think?

6 DR. GOLDNER: Where is this under?

7 DR. EAGLSTEIN: And the petitioner wants it under  
8 warning.

9 DR. CHANCO-TURNER: Well, a lot of these things are  
10 both in warning and adverse reactions. How do you make a  
11 decision as to which goes where?

12 DR. EVANS: It depends on how serious you think it  
13 is. Contraindications is most severe and precautions is much  
14 less.

15 DR. BILSTAD: Normally, it needs to be in the  
16 adverse reaction section and if it is serious, you put it in  
17 the warning section. You can, if you have more information  
18 in one place than the other, and don't put the same information  
19 in both places, just simply refer to the other section. For  
20 example, you can say, see warning section.

21 DR. EAGLSTEIN: But right now it is in the adverse  
22 reactions which would be less significant presumably than those  
23 above, is that right, the precaution and the warning?

24 DR. BILSTAD: Uh-huh.

25 DR. EAGLSTEIN: Now, Dr. Goldner made the motion.

1 Did you have a feeling on that?

2 DR. GOLDNER: Yes. My feeling was that it belongs  
3 under adverse reactions really rather than warnings, where  
4 it is. I think that it needs to replace the words that are  
5 there. I think it is another paragraph, but to strike out  
6 what is said here under, "The following reactions have been  
7 reported less than 1 percent and may bear no relationship to  
8 therapy." I think we have reason to believe that it is a  
9 stronger warning than that, but I really don't believe it  
10 belongs on page 2. I would like to insert it there and strike  
11 out "inflammatory bowel disease" from that paragraph.

12 DR. EAGLSTEIN: Let's have two motions. The first  
13 one to strike "inflammatory bowel disease."

14 Second, Dr. Kenney?

15 DR. KENNEY: Yes, I'll second it.

16 DR. EAGLSTEIN: All those in favor?

17 (A show of hands.)

18 DR. EAGLSTEIN: All those opposed?

19 (No response.)

20 DR. EAGLSTEIN: Okay. It passed.

21 Now, what is the next one, to add this --

22 DR. GOLDNER: That I would make a motion to add  
23 this paragraph in the appropriate part of adverse reactions?

24 DR. EAGLSTEIN: All right. The motion is that these  
25 words, which we have adopted, should be placed in the adverse

1 reaction section.

2 Any discussion?

3 DR. GOLDNER: Second.

4 DR. EAGLSTEIN: All those in favor?

5 (A show of hands.)

6 DR. EAGLSTEIN: Opposed?

7 (No response.)

8 DR. EAGLSTEIN: It is unanimous.

9 Tetracycline as an addition. Does anyone want to  
10 move adding the thought about tetracycline and the -- I guess  
11 in the pseudotumor cerebri?

12 DR. KOEHN: I'm not ready to make a motion, but I  
13 must say from the people who have used it the most, they tell  
14 us that.

15 DR. EAGLSTEIN: They'd tell you anything.

16 (Laughter.)

17 DR. KOEHN: And I believe it. I think that that  
18 should be passed on from the people who have had the most  
19 experience if indeed it is. It's been in there before.

20 DR. TABOR: The real difficulty is that where it was  
21 before was supposed to tone down the statement about pseudo-  
22 tumor cerebri. There are now additional cases, and both FDA  
23 and the company feel that at least some of the pseudotumor  
24 cerebri cases are clearly not associated with concomitant  
25 use of tetracycline. So, to add it back to the pseudotumor

1 tumor cerebri portion or anywhere near it would serve to  
2 delete the warning about pseudotumor cerebri. And from what  
3 was said a few minutes ago, it sounds that the only data to  
4 suggest an additive effect of tetracycline and pseudotumor  
5 cerebri, the only real data is -- I'm sorry, the only data  
6 to suggest an additive effect of tetracycline and Accutane is  
7 the pseudotumor cerebri data and possibly also theoretical  
8 additive effect because we know that both have been associated  
9 with pseudotumor cerebri independently. So, I think it is a  
10 mistake to put it with the pseudotumor cerebri because it  
11 dilutes it further and that is what we were trying to avoid  
12 by taking it out.

13           The only question is: do you have enough data to  
14 put it anywhere else in the labeling.

15           DR. EVANS: If you look under the precaution section,  
16 the top of page 3, it shows, "precautions, information for  
17 patients." And "Because of relationship with Accutane to  
18 vitamin A, patients should be advised against taking vitamin  
19 supplements containing vitamin A to avoid additive toxic  
20 effects."

21           It seems to me this is the same kind of thing  
22 you talked about.

23           DR. EAGLSTEIN: You are suggesting you could say  
24 "and tetracycline to avoid additive toxic effects."

25           DR. EVANS: Or.

1 DR. EAGLSTEIN: That seems to me to be a big jump  
2 though because the idea of the tetracycline was that it might  
3 have been a cause of pseudotumor independently. And now  
4 were are going to give the opposite notion that together there  
5 is a great chance. I don't think there is any reason to  
6 believe that is true, is there?

7 DR. TABOR: Well, there is a theoretical basis in  
8 that tetracycline alone can be associated with pseudotumor.

9 DR. EAGLSTEIN: So, you think the two added together.

10 DR. TABOR: Yes.

11 DR. EAGLSTEIN: I see what you are saying.

12 DR. TABOR: But it is purely theoretical. From what  
13 I have heard this morning, I don't think there is any hard  
14 data --

15 DR. KOEHN: Either way.

16 DR. TABOR: -- either way.

17 DR. EAGLSTEIN: Would that compromise the physician  
18 trying to treat acne patients?

19 MR. GOLDSMITH: We heard from Dr. Strauss this morning  
20 that he tries to get his people off minicycline, tetracycline  
21 and to get them on to a Erthromycin and with his experience  
22 we would suggest that there is some usefulness from his --

23 DR. EAGLSTEIN: Is that because the Erthromycin  
24 doesn't cause pseudotumors?

25 Let's get Dr. Strauss to help us out?

1 DR. STRAUSS: As has been pointed out from the head  
2 of the table, that is purely theoretical, and there is no  
3 hard data to support that. It is purely a theoretical gut  
4 feeling and so, I don't think there's any data there.

5 DR. EAGLSTEIN: Is that true for vitamin A as well,  
6 that is theoretical?

7 DR. TABOR: No.

8 DR. EAGLSTEIN: It is experimentally proven?

9 DR. CUNNINGHAM: Well, it is not experimentally  
10 proven, but the molecules are so closely related in terms of  
11 their chemical structure and in terms of their effect  
12 and side effect profile that even though we don't have data to  
13 support that --

14 DR. EAGLSTEIN: But it's theoretical too?

15 DR. CUNNINGHAM: -- the conclusion would obviously  
16 be that one should not take extra vitamin A on top of Accutane  
17 to prevent the additive effects. With tetracycline, we're  
18 talking about two entirely different classes of compounds,  
19 retinoids and tetracycline. The question, as I see it, cannot  
20 be resolved now, but just a simple statement puts some perspec-  
21 tive on it, that is that five of the ten cases have been  
22 associated with tetracycline or minicycline. And I don't think  
23 any of us could make a firm judgment at the present time as to  
24 what the relationship might be, but it does warn the physician  
25 to some extent.

1 DR. EAGLSTEIN: So, you would favor putting something  
2 in about tetracycline, concomitantly?

3 DR. CUNNINGHAM: Yes. Not to dilute the pseudotumor  
4 cerebri. I agree entirely with Dr. Tabor on that, but to at  
5 least bring it up as a point for thought to the practitioner.

6 DR. TABOR: Without suggesting any particular course  
7 of action, I would say that I think the theoretical concerns  
8 about tetracycline are probably greater than the concerns  
9 raised by the data that you have because -- I mean, I think  
10 everybody is agreed that some of the cases of pseudotumor  
11 are Accutane related and those who are on both medications,  
12 you really have no data to support any allegations of tetra-  
13 cycline interacts with or adds to the effect of the Accutane.

14 But the theoretical concern that the tetracycline  
15 alone can cause pseudotumor and the Accutane alone apparently,  
16 in some cases, can cause pseudotumor cerebri, I think is worth  
17 perhaps bringing out -- I'd almost be more in favor of some-  
18 thing -- stating something about the theoretical concerns than  
19 stating something specifically about pseudotumor cases which  
20 might detract from the pseudotumor warning.

21 DR. EAGLSTEIN: You would favor something like since  
22 tetracycline and Accutane both have been associated with, they  
23 should not be used together.

24 DR. TABOR: Yes. The physician should use caution  
25 in deciding to use tetracycline at the same time.



1 DR. EAGLSTEIN: Dr. Koehn, do you have some words?  
2 Do you want to write something down and propose that we adopt  
3 it?

4 DR. KOEHN: Okay. If I could say, I was just  
5 concerned, you know, it seems like a real thing to be concerned  
6 about and physicians reading this and not seeing tetracycline  
7 mentioned anywhere, I'm afraid we may have more cases of pseudo-  
8 tumor cerebri.

9 DR. EAGLSTEIN: Give us something to vote upon, to  
10 accept or reject?

11 DR. KOEHN: Okay. Give me a minute until I find my  
12 way here.

13 DR. EAGLSTEIN: All right.

14 While you are doing that, let's move to number four  
15 in the discussion phase. Number four is: how can the patient  
16 package insert, which is the one -- now, in this case, the  
17 patient package insert -- this is the insert we've been  
18 discussing, isn't it?

19 MR. BOSTWICK: No, that's the physician package  
20 insert. Why don't we call it the leaflet? It's easier to  
21 separate them that way.

22 DR. EAGLSTEIN: So, now, we are moving to the next  
23 insert, which is the voluntary patient package insert, the  
24 one that has had 600,000 or something. And what we have before  
25 us is one that lists some proposed changes, is that correct?

DR. BILSTAD: Yes.

1 DR. EAGLSTEIN: And we also have the FDA proposal,  
2 which they call the patient leaflet, and the petitioner had  
3 a further set of suggestions.

4 MR. BOSTWICK: I don't think so.

5 DR. EAGLSTEIN: The petitioner just had the idea  
6 that it should be mandatory?

7 DR. CHANCO-TURNER: That is should be mandatory.

8 DR. EAGLSTEIN: On page 7, patient package insert  
9 recommendation.

10 DR. POMERANZ: But it recapitulates is all that I  
11 got from it.

12 DR. EAGLSTEIN: Right. It does. But he has a lot  
13 about the lipids and the heart problem on page 8. That the  
14 patient is supposed to ask the doctor to reduce the dose after  
15 two weeks. On page 8 of the petitioner's letter, the bottom  
16 paragraph. Actually, it was a more extensive set of  
17 suggestions.

18 DR. CHANCO-TURNER: It does go into doses of less  
19 however.

20 DR. EAGLSTEIN: Right. He wants the patient to be  
21 told to tell the doctor to give them less.

22 DR. CHANCO-TURNER: Yes. I also have a question  
23 mark on that.

24 DR. EAGLSTEIN: Right. So, we are discussing -- it's  
25 a general question. It doesn't call for a vote. It says:

1 How can the package insert prepared by the drug sponsor be made  
2 more effective? Maybe you've looked through it and make some  
3 remarks, or maybe you'd like to go point by point through the  
4 FDA's suggestions and go through the petitioner's suggestions.  
5 What would be your pleasure?

6 DR. CHANCO-TURNER: We also have to address the  
7 petitioner's?

8 DR. EAGLSTEIN: I don't think you have to.

9 MR. BOSTWICK: You don't have to, but they are there,  
10 and if you have something to say about them, you should feel  
11 free to.

12 DR. CHANCO-TURNER: Well, on page 9, there is some-  
13 thing that says, "Labeling fails to state that permanent  
14 loss of vision can result from pseudotumor cerebri," and we've  
15 just heard that it is not true. There is no permanent loss  
16 of vision from pseudotumor cerebri.

17 But he wants this to go in the box.

18 DR. EAGLSTEIN: No. I think there can be. I  
19 think no one has said it. It could occur.

20 DR. POMERANZ: It occurred in the past, but not today.

21 DR. EAGLSTEIN: Not with current treatment.

22 DR. CHANCO-TURNER: Right.

23 DR. EAGLSTEIN: Well, the petitioner has the most  
24 extensive list of suggestions. Do you want to go through that,  
25 or do you want to go through the one as it stands?

1 DR. GOLDNER: This is as corrected, isn't it?  
2 Is this the one that they are correcting?

3 DR. EAGLSTEIN: They are amending, right.

4 MR. BOSTWICK: That leaflet there is not presently  
5 available.

6 DR. EAGLSTEIN: Let's go through it as it is and  
7 see what you see -- what your notes say if you have any, and  
8 go to the FDA and to the petitioner.

9 Looking at the amended patient, does anyone have  
10 any thoughts on the very first page, which is the page that  
11 says that background color is going to be blue.

12 (Laughter.)

13 DR. GOLDNER: The important thing is that they are  
14 changing a message to important information.

15 DR. EAGLSTEIN: Okay. They're going to do that.

16 DR. GOLDNER: They're doing to do that.

17 DR. EAGLSTEIN: So, you're not --

18 DR. GOLDNER: Which I would agree with.

19 DR. EAGLSTEIN: -- okay. Next page. That's about  
20 the acne. The next page. This is a change of a warning to  
21 the female patients. Now, this does not mention pregnancy test.

22 MR. BOSTWICK: I think when you get into the next  
23 page before treatment, you'll --

24 DR. POMERANZ: It's also in the FDA thing.

25 MR. BOSTWICK: Oh, that's right. It's in the FDA