

sgg

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

This transcript has not been edited
or corrected, but appears as received
from the commercial transcribing
service. Accordingly the Food and
Drug Administration makes no
representation as to its accuracy.

BIOLOGICAL RESPONSE MODIFIERS ADVISORY COMMITTEE
XENOTRANSPLANT^{W's} SUBCOMMITTEE_^

OPEN

Thursday, June 3, 1999

8:30 a.m.

Holiday Inn
Bethesda, Maryland

MILLER REPORTING COMPANY, INC.
507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

PARTICIPANTS

Hugh Auchincloss, Jr., M.D., Chairperson
Gail Dapolito,, Executive Secretary

MEMBERS

Prem S. Paul, D.V.M., Ph.D.
John M. Coffin, Ph.D.
Claudia A. Mickelson, Ph.D.
Jonathan S. Allan , D.V.M.
Martin S. Hirsch, M.D.
Richard Kaslow, M.D., M.P.H.
David Onions, BVSC, Ph.D., MRCVS, FRSE
Harold Y. Vanderpool, Ph.D., Th.M.
Daniel R. Salomon, M.D.
Leroy Walters, Ph.D.
Nicholas W. Lerche, D.V.M.
David Sachs, M.D.
Ms. Abbey S. Meyers

CONSULTANTS

E. Steve Woodle, M.D.
Mr. Antonio Benedi
William G. Lawrence, J.D.

GUESTS

John Conte, M.D.
Robert E. Michler, M.D.
Ralf R. Toenjes, Ph.D.
Marian Michaels, M.D., M.P.H.

OPEN

CDC

Louisa E. Chapman, M.D.

NIH

Mary Groesch, Ph.D.

FDA

Louis Marzella, M.D., Ph.D.
Karen D. Weiss, M.D.
Carolyn Wilson, Ph.D.
Eda Bloom, Ph.D.
Philip D. Noguchi, M.D.
Jay P. Siegel, M.D.

**This transcript has not been edited
or corrected, but appears as received
from the commerical transcribing
service. Accordingly the Food and
Drug Administration makes no
representation as to its accuracy.**

C O N T E N T S

Welcome and Introductions,	4
Conflict of Interest, Gail Dapolito,	7
Introduction, Jay P. Siegel,	10
Topic I:	
Porcine Endogenous Retrovirus Update, Carolyn Wilson, Ph.D.	12
Xenotransplantation: A Scientific Basis for Risk Assessment, John Coffin, Ph.D.	28
Guest Presentations:	
<u>In Vivo</u> Expression of Porcine Endogenous Retrovirus in Pigs Analysis of Non-Human Primates Transplanted with Porcine Organs for Evidence of Cross-Species Transmission of PERV, Gillain Langford, Ph.D., Imutran, Ltd	51
HepatAssist Liver Support System Containing Porcine Hepatocytes Case Study: Bioreactor PERV Analysis and Risk Assessment, Zorina Pitkin, M.D., Circe Biomedical	61
Surveillance of PERV Infection in Exposed Persons, Walid Heneine, Ph.D. CDC	70
Porcine Endogenous Retrovirus Testing in Patients with Pig Fetal Neural Cell Transplants, Jonathan Dinsmore, Ph.D., Diacrin, Inc.	80
Development of Assays for Monitoring Baboons and Humans for the Transmission of Porcine Endogenous Retrovirus, John S. Logan, Ph.D., Nextran, Inc.	84
Retrospective Patient Study - Testing Strategy and Methods, Khazal Paradis, MDCM, FRCP(C), Imutran, Ltd.	97
Questions from the Committee	105
Guest Presentation:	
Nipah Virus Outbreak, Malaysia Dr. Khabbaz, CDC	174
Committee Discussion	193
II FDA Xenotransplantation Policy Development	
FDA Perspective Eda Bloom, , Ph.D., FDA	221

C O N T E N T S

	<u>PAGE</u>
Guest Presentation: Immunoisolation Technology Taylor Wang, Vanderbilt University	227
Open Public Hearing	243
FDA Perspective	247
Open Public Hearing	254
Committee Discussion	260

P R O C E E D I N G S

Welcome

1
2
3 MS. DAPOLITO: I would to welcome the subcommittee
4 participants and guests, and all here, to this meeting of
5 the FDA Xenotransplantation Subcommittee. I am Gail
6 Dapolito, and the Executive Secretary of the subcommittee,
7 and a designated federal official for today's proceedings.

8 I would like to begin by stating today's meeting
9 will be conducted as a subcommittee meeting of the
10 Biological Response Modifiers Advisory Committee. As is
11 evident, this is a subcommittee on xenotransplantation. This
12 was listed in the Federal Register Notice.

13 There are two notable differences between a
14 subcommittee meeting and a full advisory committee meeting.
15 One is that there are only two standing advisory committee
16 members present at the table -- one right now. They will be
17 introduced to you in a moment. The other difference is the
18 that report that will be furnished by the subcommittee
19 following today's discussions will be approved by the parent
20 committee, the Biological Response Modifiers Advisory
21 Committee. Other than that, today's discussion will proceed
22 as would a full advisory committee meeting.

23 At this time, I would like to introduce today's
24 participants seated at the table. I will begin on my left:
25 Dr. Prem Paul, Iowa State University; Dr. John Coffin, who

1 will be joining us soon, Tufts University School of
2 Medicine; Dr. John Conte, the Johns Hopkins Hospital; Mr.
3 Antonio Benedi, Transplant Recipient International; Mr.
4 William Lawrence, United Network for Organ Sharing. Mr.
5 Benedi and Mr. Lawrence are participating today as patient
6 representatives of the subcommittee. Dr. Robert Michler,
7 Ohio State University Medical Center. Next we have Dr.
8 Claudia Mickelson, Massachusetts Institute of Technology.
9 Next to Dr. Mickelson is Dr. Ralf Toenjes, Paul Erlich
10 Institute; Dr. Jonathan Allan, Southwest Foundation for
11 Biomedical Research; Dr. Marian Michaels, University of
12 Pittsburgh School of Medicine, Children's Hospital of
13 Pittsburgh. Going around the table, Dr. Martin Hirsch,
14 Harvard Medical School, Massachusetts Medical Hospital; Dr.
15 Richard Kaslow, University of Alabama at Birmingham; Dr.
16 David Onions, University of Glasgow; Dr. Harold Vanderpool,
17 University of Texas Medical Branch; Dr. Daniel Salomon, the
18 Scripps Research Institute. Dr. Salomon is a current member
19 of the Biological Response Modifiers Advisory Committee.

20 Next to Dr. Salomon when he arrives; he is running
21 a little late; Dr. Hugh Auchincloss, Harvard Medical School,
22 Massachusetts General Hospital. Dr. Auchincloss is the chair
23 of this subcommittee and a current member of the Biological
24 Response Modifiers Advisory Committee and in his absence,
25 until he arrives, Dr. Salomon will be participating as the

1 chair of the subcommittee. Dr. Leroy Walters, Georgetown
2 University, Kennedy Institute of Ethics; Dr. Nicholas
3 Lerche, University of California; Ms. Abbey Meyers, National
4 Organization for Rare Disorders. Ms. Meyers is a recent past
5 member of the Biological Response Modifiers Advisory
6 Committee. She is participating today as the consumer
7 representative of the subcommittee.

8 Proceeding around the table, Dr. David Sachs, who
9 also will be joining us later, Harvard Medical School,
10 Massachusetts General Hospital; and Dr. Steve Woodle, and he
11 will be coming in later this morning too, University of
12 Chicago. Dr. Woodle also is a past member of the parent
13 committee. Dr. Mary Groesch is representing the National
14 Institutes of Health. Dr. Louisa Chapman is representing the
15 Centers for Disease Control and Prevention.

16 The FDA is represented today by Dr. Louis
17 Marzella; Dr. Karen Weiss is not here; Dr. Carolyn Wilson;
18 Dr. Eda Bloom; Dr. Philip Noguchi; and Dr. Jay Siegel.

19 There are two participants listed on your roster
20 who are unable to make it this morning, Dr. Ronald Desrosier
21 and Dr. Manikkam Suthanthiran.

22 As a courtesy to the participants and to your
23 neighbors in the audience, we ask that cellular phones be
24 turned off in the conference room. Please go outside in the
25 lobby if you would like to use your cellular phone. And, we

1 ask that pagers be set on the silent mode.

2 A couple of final announcements -- the questions
3 for the committee discussion today may be found in the
4 stapled materials where the agenda is on the front page. In
5 addition, or the speakers here today there is a speaker
6 ready-room across the hall if anyone needs to use that.

7 As you have noticed, on this floor of the hotel we
8 are having a little bit of an air-conditioning problem. They
9 are trying their best to keep this room cool. So, if you
10 will bear with us, we hope we can keep it as comfortable as
11 possible.

12 I would like to make just one final request, the
13 FDA isn't known for having a whole lot of money so,
14 unfortunately, we can only provide coffee and refreshments
15 for members. I would ask you to reserve the side table for
16 members.

17 **Conflict of Interest**

18 Thank you. Dr. Salomon, with your permission, I
19 will read the meeting statement. The following announcement
20 is made part of the public record to preclude even the
21 appearance of a conflict of interest at this meeting.
22 Pursuant to the authority granted under the Committee
23 Charter, the Director, Center for Biologics Evaluation and
24 Research, has appointed the following participants as
25 temporary voting members: Dr. Hugh Auchincloss, Jonathan

1 Allan, John Coffin, Martin Hirsch, Richard Kaslow, Nicholas
2 Lerche, Abbey Meyers, Claudia Mickelson, Prem Paul, David
3 Sachs, Daniel Salomon, Harold Vanderpool, Leroy Walters and
4 Steve Woodle. In addition, Mr. Antonio Benedi and Mr.
5 William Lawrence have been appointed as temporary voting
6 members.

7 Based on the agenda made available, it has been
8 determined that the agenda addresses general matters. All
9 financial interests in firms regulated by the Center for
10 Biologics Evaluation and Research which have been reported
11 by the subcommittee participants and consultants as of this
12 date have been considered. In accordance with 18 USC 208,
13 the following participants have been granted a general
14 matters waiver which permits them to participate in the
15 committee discussions: Dr. Martin Hirsch, Dr. David Onions,
16 Prem Paul, David Sachs and Daniel Salomon. Also, the waiver
17 approved for Dr. Hugh Auchincloss on July 10, 1995 is
18 applicable for this meeting.

19 The following guest participants have been invited
20 to participate in today's discussion by the Chair of the
21 Xenotransplantation Subcommittee: Dr. Robert Michler, Dr.
22 Marian Michaels, Dr. John Conte and Dr. Ralf Toenjes.

23 With regards to FDA's invited guests, the agency
24 has determined that the services of these guests are
25 essential. The following interests are being made public to

1 allow meeting participants to objectively evaluate any
2 presentation and/or comments made by the participants. Dr.
3 Louisa Chapman is employed by CDC. She and other CDC
4 employees are involved in collaborative research monitoring
5 for evidence of porcine endogenous retrovirus infection, and
6 performing confirmatory testing in exposed persons or firms
7 that could be affected. No financial support is received.

8 Dr. John Conte is Director of Johns Hopkins Heart
9 and Lung Transplant Program. Colleagues in this program are
10 involved in trials supported by a firm that could be
11 affected by the discussions. Some of Dr. Conte's patients
12 could be enrolled in these trials.

13 Dr. David Cooper reported that he receives
14 consulting fees from Biotransplant, and is a science advisor
15 for Biotransplant.

16 In the event that the discussions involve other
17 products of firms not already on the agenda for which FDA's
18 participants have a financial interest, the participants are
19 aware of the need to exclude themselves from such
20 involvement, and their exclusion will be noted for the
21 public record. A copy of the waivers is available by written
22 request under the Freedom of Information Act.

23 With respect to all other meeting participants, we
24 ask in the interest of fairness that they address any
25 current or previous financial involvement with any firm

1 whose products they wish to comment upon.

2 I will turn it over to you, Dr. Salomon.

3 DR. SALOMON: Well, I would like to welcome
4 everybody today, and the meeting begins with Dr. Jay Siegel
5 making some introductory remarks for the FDA.

6 **Introduction**

7 DR. SIEGEL: Thank you, and I would like to
8 welcome the members of the committee as well as the members
9 of the audience. The FDA and its sister agencies in the
10 Public Health Service, NIH and CDC, have been committed
11 through the process of dealing with xenotransplantation to
12 seeking the best in scientific input, as well as to having
13 an open process with public discussion of critical issues,
14 and we look forward to this meeting as helping to fulfill
15 both of those goals.

16 This is a particularly important and also
17 challenging area of biological product development. At this
18 particular meeting we have what I consider to be a rather
19 varied and interesting agenda that, as often is the case
20 with xenotransplantation, spans the broad range of
21 scientific and medical disciplines that come into play in
22 conducting and carrying out this research.

23 We will have three topics, the first being an
24 update on issues of porcine endogenous retrovirus, a topic
25 we have been discussing with this committee and in other

1 public fora for a little over two years now, is that right?
2 And, an update on additional findings and recent policy
3 developments and seeking further input in that area.

4 We will be discussing PHS and FDA policy
5 guidelines regarding xenotransplantation, something we have
6 also had in development and discussion for I guess going on
7 for five years now. Specifically, we are going to be
8 touching on an important aspect of that, which has perhaps
9 not been well discussed till now, which is the impact of
10 some of the varied approaches to xenotransplantation and
11 varied characteristics of xenotransplantation protocols on
12 how they may impact appropriate public health and policy
13 measures to ensure that they are conducted in a safe manner.

14 Tomorrow we will have what promises to be a very
15 informative and intriguing discussion on issues in clinical
16 development of solid organ xenotransplantation.

17 So, again, I am really excited by this agenda; I
18 am looking forward to the advice we will receive, and I
19 welcome you and all and thank you all in advance for your
20 participation.

21 DR. SALOMON: Thank you, Dr. Siegel. Well, that
22 officially then introduces us to the first topic, which is
23 an update on porcine endogenous retrovirus. I have been
24 reminded that before opening this part, because this is a
25 public hearing and in the nature of a free and open exchange

1 with the public, it is appropriate at this point that if
2 anyone in the audience would like to make any comments, any
3 introductory comments or questions to the group that they
4 may step forward at this point. There were no formal
5 previous requests but, again, that doesn't prevent anyone
6 from stepping up at this point.

7 I guess this is the point in the wedding where you
8 go, "if there are no objections to this union," --

9 [Laughter]

10 -- of course, the mike is not going away; the
11 public is more than invited or encouraged to step up at any
12 key point and contribute to this discussion. That would be
13 great.

14 Then, we will open the first topic, porcine
15 endogenous retrovirus update, and the introduction and a
16 perspective will be given by Dr. Carolyn Wilson of the
17 Office of Therapeutics Research and Review for CBER.

18 **Topic I: Porcine Endogenous Retrovirus Update**

19 [Slide]

20 DR. WILSON: Again, I am going to also welcome the
21 members of the committee and the audience. As already
22 introduced, this first session of this two-day meeting is
23 meant to update the committee on pertinent scientific and
24 medical data on the in vitro and in vivo biology of porcine
25 endogenous retrovirus.

1 [Slide]
2 Although there have been a number of scientific
3 publications of data in this area, we also, at the FDA, have
4 received data from sponsors and that data has come in, in
5 response to a letter that was issued in October of 1997. At
6 that time, all sponsors of porcine xenografts were placed on
7 clinical hold pending, first, the development of assays for
8 detection of infectious retrovirus in porcine xenografts.
9 They were asked to submit that data for review by the FDA;
10 secondly, to develop assays to detect evidence of infection
11 by this retrovirus in recipients of porcine xenografts.
12 Again, this data was asked to be submitted for review. In
13 addition, they were asked to develop contingency plans in
14 the event of a positive result in the testing of patients
15 and to update the informed consent documents in order to
16 acknowledge potential risks presented by the porcine
17 endogenous retrovirus.

18 It is the data that the FDA has received in
19 response to the first two points of this letter that will be
20 the focus of today's presentations and discussion. In
21 particular, when this committee last met in December of
22 1997, there was a lot of interest expressed by committee
23 members to hear what the results of monitoring of patients
24 were going to be. So, you will see that on the agenda there
25 are a number of presentations by sponsors of their results

1 from looking at patients, and there will be an emphasis in
2 the discussion section on this topic as well.

3 [Slide]

4 Since Dr. Coffin isn't here yet, I will proceed
5 with my talk and then he will talk after me. So, the purpose
6 of my talk is to provide an overview on the data that FDA
7 has received from the sponsors in response to that letter.
8 In addition, I will try to also incorporate data that has
9 been published in the scientific literature and, in the last
10 few minutes of my talk, discuss some data that we have
11 generated here at CBER.

12 Of the initial ten INDs that were placed on
13 clinical hold in October of '97, six have been able to
14 adequately address the issue posed in that letter. The data
15 that they have presented to the FDA on their infectivity
16 assays and their assays to monitor patients is what the
17 focus of my talk will be today.

18 [Slide]

19 This is a summary of the data we have received
20 from the sponsors, looking at their particular porcine
21 xenografts. On the left are the various tissues that have
22 been examined, and we asked them to look primarily for
23 infectious virus, since this is an endogenous virus, to look
24 for sequences that would have been positive.

25 The hepatocytes have actually been tested by more

1 than one sponsor. This is just a compilation of the data by
2 several different sponsors. One sponsor in particular,
3 though, in addition to doing a culture assay also looked
4 directly from reverse transcriptase activity. This is an
5 enzyme that is uniquely expressed in a cell that is infected
6 with a retrovirus.

7 In a number of the lots that they examined, the
8 majority were negative for RT activity. A small minority did
9 have what I would term borderline positive results. In this
10 particular assay the cut-off for a positive result is two-
11 fold above background, and in these few lots that were
12 positive it was greater than two-fold but less than three-
13 fold above positive.

14 In culture assays, though, all the hepatocytes
15 have been negative. This is culture with human 293 cells and
16 in some cases also with a porcine cell line called ST, and
17 all the results from these assays have been negative.

18 One sponsor has also done an additional analysis.
19 In their particular product they load hepatocytes into a
20 device that allows for ex vivo perfusion and, since this
21 device provides some level of a barrier they wanted to
22 determine whether or not that would be a barrier to
23 transmission of PERV if it were there. That analysis is
24 negative, and the sponsor will be presenting the details of
25 that study in a presentation later this morning.

1 In a culture assay with neuronal cells, again,
2 there was no isolation of infectious virus, and one sponsor
3 used peripheral blood mononuclear cells because their
4 product is actually a whole liver that is used for ex vivo
5 perfusion. This was a surrogate for that. In this case, they
6 activate the cells with phytohemagglutinin and interleukin-2
7 and, again, the results were negative.

8 [Slide]

9 Now, in the published literature there are several
10 other tissues that have been examined by culture assay, and
11 some are in contrast to the results on the previous slide.
12 PBMCs that were activated with phytohemagglutinin and
13 phorbolmyristic acid were positive for expression of virus
14 and actually did express virus that was infectious to a
15 human cell line.

16 In addition, a group reported in The Lancet last
17 year that primary cultures of porcine aortic endothelial
18 cells also expressed a virus that is directly infectious for
19 a human cell line.

20 In the case of islet cells and kidney cells, there
21 was one report, looking just for reverse transcriptase
22 activity directly in those primary cultures, and it was
23 negative in the case of islet cells and positive in kidney
24 cells. In that report they didn't correlate those findings
25 with infectivity studies.

1 [Slide]

2 Now to move on to data regarding recipients who
3 have been exposed to porcine cells, either ex vivo or in
4 vivo, all sponsors to date have, at a minimum, performed
5 analysis of peripheral blood mononuclear cells looking for
6 pig retrovirus sequences by DNA PCR.

7 In addition, one sponsor has also supplemented
8 that information with use of a Western blot that analyzes
9 development of antibodies that would be specific against a
10 retrovirus. The remaining sponsors are in various phases of
11 development of serologic methods.

12 A third approach for looking for PERV transmission
13 in vivo, which actually was suggested by committee members
14 at the last meeting, was to look for plasma viremia. At this
15 point, no sponsors have developed or incorporated that
16 method.

17 [Slide]

18 What I was going to show on the next slide are the
19 results first from DNA PCR analysis. This is a summary table
20 of all the negative results that we received from sponsors
21 by DNA PCR. On the next slide I go into a little bit more
22 detail on the few positive results we have obtained.

23 Because at the time of issuance of the letter some
24 patients in certain clinical trials had already been
25 treated, you will see that the data is sometimes referred to

1 as retrospective and those patients may be several months to
2 several years post treatment, and I call active those
3 patients that were treated subsequent to the issuance of the
4 letter and, so, are monitored at the time of treatment
5 forward.

6 So, in the first example the product are
7 hepatocytes which are within a barrier. Retrospective
8 analysis of 29 patients that have been treated has all been
9 negative, and these patients were examined anywhere from two
10 months to five years post treatment with hepatocytes.

11 In the 13 patients that have been treated
12 subsequently, 12 of those have been negative. Actually, this
13 number is now greater. Since I made this slide last week the
14 sponsor updated. There are additional 9 patients who have
15 been treated that are also negative at the early time
16 points.

17 For a product that uses whole liver for ex vivo
18 perfusion, 2/4 patients who have been treated had negative
19 results. In a retrospective analysis of 24 patients treated
20 with fetal neural tissue all of the results have been
21 negative, and this analysis was done on patients who had
22 been treated anywhere from 2 months to 2 years post
23 treatment.

24 On the right, you can see I just list the relative
25 sensitivity of the DNA PCR assay in each case.

1 [Slide]

2 This is the analysis of the positive results. In
3 the single positive in the clinical trial using hepatocytes
4 with a barrier, this patient had a positive result that was
5 obtained at a sample taken immediately post treatment.
6 Subsequent samples, taken at 1, 2 and 3 months post
7 treatment were all negative. Additional analysis of the
8 positive sample from mitochondrial DNA using porcine
9 specific sequences also yielded a positive result. So, the
10 interpretation of this kind of finding is that you are most
11 likely detecting porcine cells rather than truly an infected
12 human cell.

13 In the second case of the two patients that were
14 treated with whole liver perfusion, in the first there was a
15 time point at six months which was initially negative. A
16 subsequent sample at 12 months was positive, and then
17 subsequent to that the 17-month sequel has been negative.

18 In the second patient there was a positive result
19 at two weeks and one at two months time points post
20 treatment, and a negative at 11, 17 and 20 weeks.

21 In both of these particular cases there was
22 insufficient DNA to go back to test either as a repeat for
23 the pig retrovirus sequences or to do additional analysis
24 for mitochondrial DNA. So, it can't be ruled out that these
25 results may represent microchimerism. The other possibility

1 is that at the time points when the positive results were
2 found these samples were collected at a relatively short
3 period of time, a similar time period from both of these
4 patients and the samples were actually analyzed in parallel.
5 So, at that point the sponsor was concerned that it could be
6 a false positive due to introduction of a contaminant into
7 the sample, and has changed the protocol and, as you can
8 see, all subsequent samples have tested negative. So, the
9 other possible interpretation of these results is that it is
10 a PCR contaminant. Of course, the third possibility is that
11 it represents a true positive.

12 [Slide]

13 This is from the published literature. There were
14 two reports last year in The Lancet where, again,
15 retrospective analysis was done. This was performed by the
16 CDC in a cohort of ten patients treated with pancreatic
17 islet-like clusters, in Sweden. These patients were anywhere
18 from four to seven months post-transplant. The CDC used a
19 variety of methods to analyze these patients. They did DNA
20 PCR of peripheral blood cells. They looked for serum viremia
21 by both RT-PCR and by PCR-based RT assay, which is a highly
22 sensitive way to detect the viral enzyme reverse
23 transcriptase, and by Western blot to look for antibodies.
24 In all cases, all samples were negative. Walid Heneine will
25 be going through this data in more detail, as well as

1 additional data that CDC has generated from retrospective
2 studies.

3 In the second report, from Robin Weiss'
4 laboratory, two patients who had been exposed to porcine
5 kidneys for short-term ex vivo perfusion were also analyzed.
6 Again, these are retrospective samples taken anywhere from
7 six hours to three years post treatment, and by both DNA PCR
8 of PBMCs and to look for neutralizing antibodies the results
9 were negative.

10 [Slide]

11 So to summarize, the results that we have obtained
12 from sponsors -- this is just another way of looking at it
13 by exposure of the recipient, in the case where patients
14 were exposed through short-term ex vivo perfusion and there
15 was a barrier between the cells and the patient's fluids, in
16 the retrospective analysis 29 patients were negative. In
17 short-term follow-up patients, actually as I said, this
18 number of 12 has been updated and is now 21 total patients
19 who are now negative, and there was the one positive result
20 which is most likely due to microchimerism.

21 In the second type of exposure, which again
22 involves short-term in vivo exposure but in this case is
23 through whole liver so there is no barrier -- there is a
24 mistake on this slide, there are actually 2 negative
25 patients and 2 positive patients.

1 In the third type of exposure which involves in
2 vivo direct implantation of cells, we have long-term
3 survival of the cells and there is no barrier between the
4 porcine and human cells, 34 patients examined
5 retrospectively have all been negative.

6 [Slide]

7 Now, I want to again reiterate that this is a
8 summary of data we have received based on DNA PCR analysis,
9 and I just want the committee and the members of the
10 audience to be aware that there are some inherent problems
11 with DNA PCR analysis of PBMC. For example, this type of
12 analysis when you have a low-level infection, something that
13 might look like microchimerism could, in fact, be a low-
14 level infection.

15 Secondly, the inherent rate of false-positive
16 results in PCR is another problem that needs to be overcome.
17 Third, there is data suggesting that human PBMC may not be a
18 natural target for infection. So, this may not be a
19 reasonable place to look.

20 [Slide]

21 I wanted to just quickly go through some data that
22 we at CBER have generated, where we have tried to infect
23 human PBMC in vitro and we were unsuccessful. So, we
24 actually looked at a variety of hematopoietic cell lines to
25 determine whether or not there was some lineage that we were

1 missing in our in vitro culture conditions that was more
2 susceptible than others. As you can see, the cell lines that
3 are highlighted in blue were the ones that did become
4 productively infected, and the results from that data is
5 shown in the bottom half of the slide.

6 [Slide]

7 But we were able to infect cell lines representing
8 T cell, B cell and myeloid lineages. We then used these cell
9 lines that became positive as a producer virus, with the
10 idea that perhaps these may be better adapted to growing in
11 hematopoietic cells, and tried again to infect PHA-blasts of
12 human PBMCs and, after an 8-week culture period, they
13 remained negative by RT-PCR. As a positive control we were
14 able to infect 293 cells and we know the cells lines were
15 productively infected. So, this is just a note of caution
16 that PBMC, at least in vitro, don't appear to be susceptible
17 to infection.

18 [Slide]

19 In the last few minutes of my talk I wanted to
20 quickly go through some data that we have generated at CBER,
21 looking at porcine plasma-derived Factor VIII -- plasma-
22 derived product. This, actually, is something that we have
23 done in conjunction with CDC, and it was brought to our
24 attention by CDC when they contacted us about a year ago to
25 say that they were looking at lots of this particular

1 product, called hyate C, and found that there was PERV RNA
2 present when they looked by RT-PCR.

3 This particular product is used in hemophiliac
4 patients who develop inhibitors to the human Factor VIII.
5 So, it is used in a small minority of hemophiliac patients
6 and it is really a treatment of last resort for these
7 patients. So, at that time we didn't want to prematurely
8 take this product off the market. We certainly notified the
9 Hemophiliac Society that there was this data but we felt
10 that it was really imperative to do additional analysis. So,
11 we did confirmatory testing of CDC lots and did additional
12 testing to look at whether or not these results correlate
13 with infectious virus present in this product.

14 So, of five lots that CBER received from CDC, we
15 did confirmatory testing and we, like the CDC, also found
16 that they were positive for pig retrovirus RNA. We also used
17 a PCR-based reverse transcriptase activity assay. Keith
18 Peden and Tom Maudru, from the Office of Vaccines, did these
19 assays for us and they also found that they were positive.

20 [Slide]

21 So, to determine whether or not the product also
22 contains infectious virus we took six lots of hyate C, and
23 we started with lyophilized vials that had been stored at
24 minus 70. So, I wanted to emphasize that these had never
25 gone through a round of freeze/thaw which may limit your

1 ability to detect infectious virus.

2 We directly re-suspended them according to the
3 manufacturer's instructions and then inoculated them into
4 three different cell substrates, human 293 cells, feline PF-
5 4 and porcine ST cells. These are three cell substrates that
6 have been shown to be susceptible to infection by PERV. As a
7 positive control we included dilutions of PERV to look at
8 the sensitivity of these three cell lines. The human 293 and
9 the feline cell lines could detect out to 1:1000 dilution,
10 although ST at 1:100 became positive. We also did direct
11 inoculation of hyate C with PERV to control for a potential
12 inhibition of detection of virus if it were present. Then we
13 took these same six lots and directly analyzed them for
14 viral RNA and for reverse transcriptase activity.

15 [Slide]

16 These results are shown here. These are the
17 results of the PCR-based RT assay performed by Tom Maudru
18 and Keith Peden. On the Y axis are the units of activity in
19 pico units porcine endogenous retrovirus microliter. The
20 blue bar on the right represents tissue culture fluid from
21 one of our cell lines that is productively infected. So, it
22 is a positive control. All the lots tested, which I have
23 just randomly labeled A through F are positive. They all
24 have detectable levels of RT activity in this assay. As you
25 can see, they are all 4-5 logs lower than our tissue culture

1 fluid.

2 [Slide]

3 This was our positive control where we spiked
4 hyate C into the lots. The set of bars labeled "none" are
5 cells that were directly inoculated with PERV without hyate
6 C and A through F again are lots of hyate C that were
7 inoculated with PERV.

8 As you can see, we were able to recover virus in
9 all of those with the exception of lot D, where we were able
10 to get positive results but there was some significant
11 inhibition in that particular lot.

12 [Slide]

13 We carried the cultures of the hyate C without
14 PERV in them for a total of 8-9 weeks. We analyzed these
15 cultures every 2 weeks for viral RNA expression by RT-PCR
16 and in all cases they were negative. At the end of the
17 culture period, cellular DNA was analyzed by DNA PCR and,
18 again, these were negative.

19 [Slide]

20 So, we concluded from these studies that although
21 there is viral RNA and viral reverse transcriptase present
22 in final product, there is no evidence for infectious virus
23 in the final product and that, most likely, the positive
24 results for RNA and RT activity represents inactivated virus
25 that is not removed during manufacturing.

1 [Slide]

2 So, I just wanted to finish with a quick summary
3 of what I have told you this morning. First, analysis of
4 various porcine tissues, both by sponsors and what has been
5 published in the literature, shows that expression of
6 infectious virus is tissue specific. Not all porcine tissues
7 express infectious virus, and that most likely also the
8 differentiated or activated state of the cells may impact
9 whether or not infectious virus is present. These results
10 aren't surprising. It is quite similar to what is seen, for
11 example, in the murine endogenous retrovirus system.

12 Looking at transmission of PERV in recipients, to
13 date most of the data that we have seen from sponsors has
14 relied on DNA PCR analysis of PBMCs. Most of this data has
15 been negative. The very few positives that we have seen are
16 most likely due to either microchimerism or problems of a
17 false positive or contamination in the PCR reaction.

18 I showed you data suggesting that human PBMCs, at
19 least in vitro, are not susceptible to PERV infection. We
20 don't know whether or not that translates to the in vivo
21 situation, but it certainly highlights a need for developing
22 other methods to analyze patient samples.

23 Finally, in the porcine Factor VIII story,
24 although we were able to detect viral RNA enzyme in this
25 product, there was no evidence for infectious virus in this

1 particular product.

2 I am going to stop there. I think we are waiting
3 to have questions at the end of all the speakers. Correct?
4 And, I saw John Coffin come in during my talk, and I wanted
5 to welcome Dr. Coffin. He is a member of this subcommittee
6 and he was a co-organizer of this year's Banbury Conference
7 on Xenotransplantation. He has kindly agreed to provide a
8 summary to you today of the findings of that meeting in
9 order to bring to bear any emerging scientific data. Dr.
10 Coffin?

11 **Xenotransplantation: A Scientific Basis for Risk Assessment**

12 DR. COFFIN: Thank you very much, and I apologize
13 for my late arrival. I am not quite yet used to the 270
14 traffic.

15 [Slide]

16 Last month, Robin Weiss and I organized the
17 meeting at the Banbury Center, on Long Island, to discuss
18 what you see here -- Xenotransplantation: A Scientific Basis
19 for Risk Assessment. This meeting was organized partly as
20 part of the Hastings Center, which is a bioethical think
21 tank in New York. The meeting, although small, ranged over a
22 number of different areas, including applications and
23 challenges of xenotransplantation; a lot of talk on ethical
24 issues; discussion of regulatory and policy issues; and,
25 finally, discussion of infections, particularly viral,

1 disease risk associated or potentially associated with
2 xenotransplantation technology.

3 My discussion today will be limited only to the
4 last of these points because that is certainly all that I
5 feel qualified to discuss for sure. I want to make several
6 other caveats. This was a very small focus workshop. Many
7 people with interest in this field could, of necessity, not
8 be present. So, this is not by any means a comprehensive
9 report but an update simply of what was discussed at this
10 meeting. A lot of what was discussed is in the nature of
11 review, and a lot of what I will present is in that nature.
12 A portion of it will overlap what you have just heard from
13 Carolyn, who was at the meeting, and a fair amount of the
14 data she presented here was presented there. Finally, quite
15 a number of the people whom I am going to quote, to my³
16 dismay, are in the audience or on the board and, so, if I
17 misquote you, you can come up to me quietly afterwards and
18 pat me on the shoulder, or if I over-quote you or anything
19 else I will take some measure of responsibility for doing
20 that.

21 [Slide]

22 So, the infectious disease risk -- as I said, we
23 focused really pretty much only on viral diseases but we can
24 break it into two different types, first, exogenous viruses
25 and by that I mean agents which are not transmitted

1 genetically or transmitted horizontally from one animal to
2 another or vertically during birth but which do not form
3 part of the genome and, secondly, and as you have already
4 heard discussed from Carolyn and the reason for the greatest
5 concern at this point, endogenous retroviruses, particularly
6 the porcine endogenous retroviruses or PERVs, also called
7 PoEV and PoERV. This is my particular preference but I am
8 not going to impose that.

9 Several different areas were discussed -- biology
10 and distribution, testing for infection, and Carolyn just
11 gave you an overview of that, as well as risk assessment of
12 these viruses. So, these are the areas that I plan to share
13 with you in the next few minutes.

14 [Slide]

15 First, there were several examples of exogenous
16 virus infections that might be of some interest to think
17 about that were presented at the meeting. I will say here
18 that I am not going to go talk by talk; I will jump around
19 from one speaker to another but I will put attributions as
20 we go along. Parrish discussed the rather striking situation
21 of the origin of the canine parvovirus, which was originally
22 a cat only virus, and apparently a few mutations, somewhere
23 in the vicinity of 1976, or at least first detected in 1976,
24 occurred, and within a span of a very few years this virus
25 had spread worldwide, even into rather remote wild animal

1 populations. There has been a series of subsequent
2 revolutionary events that have virtually replaced this
3 virus. So, the potential for sort of mutant viruses with
4 extended host range, given the probability of viruses like
5 this to be deposited in feces and carried onto airplanes and
6 then spread around the world, which is the likely mode by
7 which this particular virus has been spread, is certainly
8 very well documented in this case.

9 The second kind of example, and this is also an
10 example of a virus which with a relatively small change in
11 its genome, a series of small changes, has changed its host
12 range so that it can spread to completely different animals
13 and then, in fact, can subsequently change and spread back
14 again into the original cat population.

15 In the case of arenaviruses, which is a family of
16 viruses found worldwide, particularly in rodent populations,
17 many of which are benign -- this was discussed by Mike
18 Buchmeier -- despite very high titers, some of which, for
19 example Lassa, are high virulent in humans but don't in
20 general spread to a great extent from one human to another.
21 Also viruses in that group are hemorrhagic fever and hanta
22 viruses of the New World.

23 Hanta viruses is a particularly interesting case.
24 They are prevalent in many parts of the United States,
25 particularly in the north east and, in fact, in Baltimore a

1 study showed that there is a 5 percent total incidence of
2 this virus in people, and this tends to be particularly in
3 households with infected mice. So, there is a lot of ongoing
4 introduction of this particular virus into the human
5 population but never taking hold.

6 [Slide]

7 A case that wasn't discussed but I thought I would
8 throw on here because it is of particular interest I think,
9 and actually I am sort of sorry we didn't have somebody
10 presenting it at the meeting, is J ALV, which is a recent
11 avian leukosis virus that only has shown up really in the
12 last few years. Avian leukosis virus is widespread in
13 commercial bird populations causing a certain amount of
14 mortality but under relative control. However, there are
15 endogenous proviruses in chickens related to these, some of
16 which have apparently been in the bird population for quite
17 a long time in the genome, and are quite old and are thought
18 to be pretty much completely defective but sometime,
19 probably not too long ago, there was a recombinant
20 combination of that between this exogenous virus and one of
21 these old ones which provided a new envelope gene,
22 therefore, a new host range. This virus probably spreads
23 both vertically and by vaccination where one takes the same
24 equipment and does thousands or hundreds of thousands of
25 chickens. This virus has spread throughout particularly the

1 broiler industry and has caused hundreds of millions of
2 dollars worth of damage in the last few years. So, there may
3 be an object lesson here that might be worth keeping in
4 mind.

5 David Onions talked about large numbers of porcine
6 viruses, 70 or 80 I believe that are known, and discussed
7 the ones that for xenotransplant should be of specific,
8 special concern, for example, viruses which cause known
9 zoonotic infections and can go from pigs to humans; viruses
10 that, although not known to cause such infections, can
11 replicate human cells; viruses that can undergo abortive
12 infection and, therefore, are potentially oncogenic; or
13 viruses that are known to change host range or potentially
14 able to do so; and also viruses that cannot be easily
15 eliminated by hysterotomy; and barrier breeding such as
16 parvoviruses and circoviruses and CMV, and these are all of
17 particular concern.

18 [Slide]

19 I am going to talk about measures that they are
20 discussing for derivation of clean animals. It seems
21 impractical to maintain animals, like mice for example,
22 under completely germ-free conditions but deriving a
23 breeding stock under conditions where there is the least
24 possibility for the passage of infectious agents and
25 maintenance under conditions that are as clean as absolutely

1 possible, including health screening of staff so that
2 infection isn't brought in by the people working with the
3 animals, including subtleties such as recent vaccinations
4 may well have the risk of bringing in viruses that could
5 infect the animals and subsequently, of course, be passed
6 on; and then close monitoring.

7 A point that can be taken about many of the
8 viruses that are of concern is that we do not seem to have
9 yet adequate tests for this purpose, good quantitative
10 either serology or PCR or other tests. So, there is a
11 considerable amount of development work required to ensure
12 that this exogenous virus problem is as well contained as
13 one thinks it should be.

14 [Slide]

15 A couple of anecdotes -- well, not anecdotes but
16 small number of examples from some recent studies involving
17 baboon transplants where Marian Michaels looked for baboon
18 cytomegalovirus in several transplants, one of which was
19 transiently positive but subsequently disappeared, and
20 Jonathan Allan talked about a couple of studies looking at
21 baboon foamy virus where he could find some DNA, no
22 seropositivity and the DNA was probably due to
23 microchimerism although, as was pointed out in the previous
24 talk, it is a very difficult issue to deal with, very hard
25 to sort out in the case of where one is looking for DNA to

1 distinguish virus in infected cells from virus that has
2 newly infected recipient cells.

3 [Slide]

4 There was a fair amount of concern expressed in
5 the meeting that perhaps disproportionate attention is being
6 paid to endogenous virus issues and one should look more
7 closely at the exogenous infection issue.

8 It was also noted that there is considerable
9 challenge in detecting and controlling these viruses; that
10 new viruses are appearing on the scene. There has been a new
11 porcine virus that is also fatal for humans. It appeared in
12 Malaysia a few months ago, and this continues to happen and
13 constant vigilance is required for this.

14 But all in all, I think the feeling -- at least
15 the feeling I came away with was that with proper controls
16 risk of infection of exogenous passage viruses are likely to
17 be less than the very severe risk of infection of the
18 currently used allotransplant technology and, therefore, at
19 least from the standpoint of exogenous viruses the benefit,
20 given proper controls, of xenotransplantation is likely to
21 be quite high.

22 [Slide]

23 Then, of course, there was considerable discussion
24 of endogenous viruses, particularly the porcine endogenous
25 viruses. I will just give a little bit of background before

1 I go into this. Endogenous viruses are retroviruses that
2 have found their way in a DNA form into the germ line. They
3 are found in all vertebrate species and humans carry
4 thousands of these. We all probably have pretty much the
5 same complement of them since, as far as we know, all the
6 viruses in humans were introduced some number of millions of
7 years ago and I think we are pretty much genetically
8 homogeneous in that respect. That is not true of many other
9 species, including pigs, where the viruses were introduced
10 into the germ line relatively recently in evolutionary terms
11 and, in some cases, some of these proviruses -- there are in
12 the group we are talking about perhaps 30 or so per animal,
13 depending on the strain. Some of these can clearly yield
14 infectious virus. You just heard Carolyn talking about some
15 particular cases of that.

16 In cats, just as an example discussed by Steve
17 O'Brien, there is the virus RD114. RD114 is an interesting
18 story for those of us who were around back in the early
19 '70s. It was found as a virus that was produced by human
20 tumor lines that had been originally generated by passage
21 through kittens. It was believed, and a press conference was
22 held -- of course, this was the early days of the Special
23 Virus Cancer Program -- this was believed originally to be
24 human retrovirus and was very rapidly shown not to be, but
25 to be a xenotropic virus of cats, that is, a virus that

1 could not infect cat cells efficiently but could infection
2 human cells and was readily transferred into these cells and
3 infected these cells in the xenotransplantation, if you
4 like, of a human tumor in kittens.

5 A very similar phenomenon was seen in mice where
6 I, as chair of the IVC over the years, have had a number of
7 people walk into my office with human tumor lines that were
8 pouring out of really lovely C-type retroviruses and usually
9 the first question I asked these people was were these
10 tumors ever passed through mice. Human tumors passed through
11 mice have a very high probability of having been infected by
12 an endogenous mouse virus and then subsequently expressing
13 that virus. In fact, there are some commonly used cell lines
14 around that have that property, some of which may be being
15 used for genetic engineering purposes.

16 This group of viruses is present only in the genus
17 to which domestic cats belong but no other groups, but it is
18 related to endogenous virus in baboons which implies that
19 there was some cross-species transmission event, perhaps
20 from baboons to cats but there may have been some
21 intermediates, and so on, some two million years ago.

22 So, this gives you a feeling for how these viruses
23 come in and can be passed on in the germ line only to
24 reappear at rather awkward moments later on when you present
25 the opportunity in this particular coculture for having

1 viruses of humans and cats together. I should also point out
2 that there has never been any evidence of infection in
3 humans with this virus by handling of cats or, so far as I
4 know, in veterinary surgical procedures. So, it doesn't mean
5 this virus, once it got in, could be spread from person to
6 person but you certainly create an opportunity here for
7 infection that may not otherwise occur in natural
8 circumstances.

9 [Slide]

10 Porcine endogenous virus is a murine leukemia
11 related endogenous virus. I believe this group is now called
12 gamma retroviruses, found in multiple copies in all pigs
13 examined, and related sequences can be found in all *suidae*,
14 in all pig-related species. Infectious virus is released by
15 most porcine cell lines, except for the ST line which was
16 mentioned before, and this, as Carolyn was discussing, is
17 therefore a useful cell line for testing infectivity of
18 these viruses and it can be released, as you have already
19 heard, as activated lymphocytes.

20 Three principal subgroups have been identified by
21 sequence testing of the envelope gene which includes both
22 sequencing host range and interference testing. These are
23 called A, B and C. A and B can detect human cell lines
24 reasonably well. C, which actually I believe is not really
25 identified as a virus but only as an envelope gene, can

1 affect almost only pig cells, with the exception of one
2 human cell line. So, these two viruses, in the rather
3 confusing terminology that was originated with mouse
4 retroviruses, could be referred to as polytrophic viruses.
5 It should be pointed out that just because they have the
6 same host range doesn't mean they use the same receptor for
7 infection. Interferon studies indicate that these two
8 viruses actually use different receptors.

9 Multiple copies of these proviruses are found in
10 all pigs tested. C is found in high copy number in mini
11 pigs and either less or not at all in other strains, and
12 there is considerable polymorphism from one individual to
13 another.

14 [Slide]

15 This is my memory of an unrooted phylogenetic tree
16 relating these envelope genes. So, it gives you the feeling
17 actually that as you sequence you can detect more and more
18 of these, like C₁, B₁ and A₁, which are not necessarily known
19 to belong to infectious proviruses but which relate to the
20 known infectious endogenous sequences, the As, Bs and Cs,
21 and as one sequences this thing will certainly bush out more
22 and more as we go along, and there may well be more
23 subgroups hiding in there. A and B are the main ones that
24 are seen as being produced by the cell lines that I
25 mentioned.

1 [Slide]

2 As Carolyn pointed out and as I think she
3 discussed earlier, virus isolated by the induction of
4 lymphocytes can adapt in some way to human cells, either by
5 getting more infectious or by segregating out less
6 infectious virus from the population.

7 The most efficient isolation actually starts -- at
8 least some cell types require starting with cocultivation
9 with pig cells and then passage through human cells, raising
10 the possibility that in many cases there may be some
11 additional either pseudotyping by, let's say bringing in
12 additional envelope genes, or actually combination events
13 where the pig cells between pig viruses may actually be
14 giving rise to the most infectious virus types for humans.
15 These viruses are not only present in pigs as endogenous
16 sequences, David Onions showed that you can detect low-level
17 viremia in at least some pigs.

18 As I said, there are a large number of proviruses,
19 at least 30 or so per animal, many of which are different
20 from one animal to another, that is to say, they are
21 integrated at different sites. So, they are different
22 coviruses probably with some different biological
23 properties. Many of those, probably most of those based on
24 our experience with other endogenous retroviruses, are
25 likely to be defective in one way or another.

1 At least six proviruses are common to all pigs
2 and, therefore, could not be bred out if one were to start
3 on an ambitious breeding program to remove proviruses at
4 different chromosomal sites. Whether these represent
5 infective ones we don't know yet.

6 In addition to the C type or gamma retroviruses,
7 if you like, if one starts looking, as with all other
8 species that we know of, at least all other mammalian
9 species and virtually perhaps all other animal species, if
10 you go looking you can find other types of endogenous
11 proviruses. For example, PCR probes that were general for
12 reverse transcriptase genes and some viruses turned up that
13 resembled groups of endogenous viruses that are found in
14 large numbers in humans and other types of species, D type
15 or also related to mammary tumor viruses. Whether these
16 represent infectious elements as they would in some monkey
17 species or non-infectious viruses which would be the case in
18 humans, remains to be determined but we have probably only
19 scratched the surface in terms of endogenous provirus-like
20 elements in pigs or many other species.

21 [Slide]

22 There was a certain amount of discussion of the
23 testing for these in animals and in human recipients.
24 Potential tests include serology. For example Western blot
25 for the p30 gag kapsa protein has been developed; use of RT-

1 PCR plasma, PCR in lymphocytes and amplified RT assays, a
2 very sensitive assay for RT-containing virions.

3 Carolyn discussed the issue of mitochimerism and
4 the difficulty of detecting that, and that still remains an
5 issue and there was actually a fair amount of discussion on
6 the problems of separating out whether you are detecting an
7 infected human cell or an infected pig cell.

8 Most testing of patient samples to date is
9 negative. I think we are going to hear a lot more about that
10 in this meeting, including some patients who have received
11 extracorporeal perfusion or allocell transplants. There are
12 a number of monkeys that had heart or kidney transplants,
13 and a large series of patients and others exposed to pig
14 products, although there are some hints that perhaps there
15 may be one Western blot positive individual. Maybe we will
16 hear more about that.

17 [Slide]

18 Finally, as I think everybody should be aware and
19 is one of the reasons we are having meetings is that the
20 real risks for this infection are unknown. In order for a
21 PERV transmission to actually result in an event of concern
22 a number of steps have to occur -- spreading infection of
23 the recipient from an initial production of virus infecting
24 a few cells of an individual, spreading infection has to
25 occur. The event has to lead, at least in some individuals,

1 to pathogenesis. If it didn't, it perhaps wouldn't be of
2 great interest although it would certainly be of some
3 concern. Finally, transmission from one individual to
4 another -- if the virus only gave rise to the sort of
5 pathogenesis one usually sees with this type of retrovirus
6 where a fraction of individual recipients had some sort of
7 malignancy at some very long time after the event, this
8 might well be an acceptable risk in cases where the initial
9 event was life-threatening and there was no choice. The risk
10 or the concern of what happens if a transmissible virus is
11 created is uncovered or created by this step is obviously
12 much more serious. The Stoye scale was brought up where each
13 of these events is considered to be progressing from likely
14 to unlikely to very unlikely to very, very unlikely, with
15 some sort of undefinable scale attachment as you go along.

16 I think there was a general feeling at the
17 meeting, and perhaps that is something that will be
18 discussed again, that it would be very valuable to develop
19 some kind of animal model for PERV infection so that, should
20 there be some problems -- at least as far as was discussed
21 at that meeting, there wasn't a feeling that the progression
22 of the development of transplant technology should be held
23 up waiting for this model, but that this should be a
24 parallel track and that it would be important, should there
25 be evidence for infection and so on, to have some sort of

1 model to be working from even if it wasn't considered to be
2 the optimal model.

3 I think with that I will close and thank very much
4 Carolyn Wilson and Jonathan Stoye for helping put this
5 presentation together. Thank you very much.

6 DR. SALOMON: Thank you very much, John and
7 Carolyn. I am actually doing very well on time, and I ask
8 for the chairman's prerogative to ask some questions at this
9 point which are not traditionally on the agenda. We do very
10 much want to stay to time so that gives us about five or so
11 minutes that we could open up for some questions.

12 DR. VANDERPOOL: I have one question that is more
13 common sensical and it certainly may be important for the
14 public members who are here, and I would appreciate it,
15 John, if you or Carolyn could comment on what you mean by
16 infectivity. It is really on your second to the end slide.
17 You distinguish between infectivity, pathogenesis and
18 transmission. But I think for commoners who are non-
19 specialists infectivity sounds really serious. It sounds
20 like you have a disease and you can pass it on. Could you,
21 for the sake of the public and other persons present, make
22 some of those distinctions a little finer for us?

23 DR. COFFIN: I should preface by saying that we
24 and other animals get infected with many agents that we
25 actually never recognize the infection of. So, infectivity

1 and disease induction are two very different things, and
2 that is particularly true in retroviruses where there are
3 many retroviruses, including the relatives of HIV in monkeys
4 actually which can infect individuals and never give rise to
5 significant disease.

6 So, it is a very important distinction, and there
7 are two levels of infectivity that are important in this
8 particular case. One is at the local site where there are
9 cells of the donor, the pig for example, immediately
10 adjacent to human cells. Whether some virus gets transmitted
11 to those cells immediately adjacent to the first step, and
12 so first infection. Then, the second step is whether those
13 cells can pass virus on to the rest of the body.

14 So, there are two very different issues here, the
15 issue of whether you can locally infect a few cells and
16 whether then that goes on to create a spreading of infection
17 that can actually spread through the whole body, very large
18 numbers of cells in the body and create much, much greater
19 opportunity for any kind of disease effect, if that is going
20 to happen.

21 The infection of a few cells I think is probably,
22 given the models we have to work with, considered to be not
23 an unlikely event. Widespread infection is probably
24 considered at this point to be much more likely but, again,
25 we don't know what the scale is. Infection of a few local

1 cells is much harder to distinguish than widespread
2 infection would be, leading to viremia, virus in the blood,
3 infection of cells at different system locations.

4 DR. HIRSCH: I have a question for Carolyn. I
5 think you importantly suggested that human PBMCs might not
6 be infectable with PERVs and, yet, a lot of requests are
7 being made to test human PBMCs. My question is have you done
8 investigations of subsets, like CD4 cells, CD8 cells,
9 monocyte macrophages within PBMCs because, as you know, in
10 other systems like HIV sometimes the CD4 cells become more
11 infectable when you take the CD8 cells out.

12 DR. WILSON: No. That is a good point, we haven't
13 tried those manipulations. Instead, we tried going back to
14 just cell lines and lineages to first get an idea if what
15 certain lineages might be more susceptible, but that is a
16 point well taken.

17 DR. ONIONS: Carolyn Wilson very elegantly showed
18 the difficulty of infecting human peripheral blood
19 mononuclear cells. The caution I have is that we know that
20 certainly in animal models we find widespread action of
21 peripheral blood mononuclear cells that are very difficult
22 to infect in vitro, just as human cells are with PERVs but
23 the root of infection has to be through a stem cell. So we
24 should be cautious in dismissing using PBMCs as a target
25 just on the basis that they are quite difficult to detect in

1 vitro.

2 DR. SALOMON: Yes, Dr. Allan?

3 DR. ALLAN: I have a question for Carolyn, and
4 this is probably something that we can discuss during the
5 meeting, which is the question of contamination with PCR
6 because when you get a positive result, obviously, the issue
7 is whether it is contamination or not and if we get positive
8 at one time point and negative at another time point do you
9 dismiss it as contamination? How do you address the issue
10 of contamination? Do you do multiple samples? Because if
11 you put it into the realm of contamination then you are sort
12 of dismissing -- you may be dismissing all PCR results and
13 why do anything? I mean, it is really difficult.

14 DR. WILSON: Well, that is why I didn't eliminate
15 the possibility that it wasn't a true positive result? I
16 don't think the data at this point -- because there weren't
17 enough samples to go back and do repeat testing, it can't be
18 eliminated as a possibility.

19 DR. ALLAN: Because you could have instances,
20 especially in microchimerism, where you get a positive at
21 one time point and you get a negative at another time point.

22 DR. WILSON: Yes.

23 DR. SALOMON: Dr. Michaels and then Dr. Mickelson.

24 DR. MICHAELS: Another question for Carolyn, I
25 don't know if you have this data; it might be something that

1 we will need to bring up with some of the sponsors later
2 but, again, bringing up some of the limitations which you
3 have shown with the PBMCs, have any of the sponsors looked
4 at other tissue samples of, for example, some of the
5 patients who had the hepatocyte barriers if they then later
6 underwent an allotransplantation for the liver is the native
7 liver available that could be tested, or has it been tested?

8 DR. WILSON: Nobody has done those types of
9 experiments.

10 DR. SALOMON: Dr. Mickelson?

11 DR. MICKELSON: Just a general question maybe for
12 Carolyn and other experts on the panel, a lot of the assays
13 seem to depend on reverse transcriptase PCR which, in the
14 context of the patient, means if you can pass it you are
15 already looking at a situation where infection has been
16 established, which is unfortunate. Is there some other way
17 or some other assay that could be used to give you a hint
18 that something might be happening before there is
19 established infection in the patient? Can you look for the
20 appearance of antibodies in patients against PERVs or
21 something? It seems that if you have an analysis that only
22 gives you a positive once something has happened, maybe if
23 you had an earlier step assay that could be done -- I would
24 like some discussion of that.

25 DR. SALOMON: Yes, specifically to that I would

1 say for the record it was a recommendation that was, I
2 believe, accepted by the FDA from this board the last time
3 we met that we should put an emphasis on developing
4 serological assays parallel to PCR technology based assays.

5 DR. MICKELSON: I just didn't see that in some of
6 the information that was here, but just the earlier you can
7 move on into the event the better it is for patients.

8 DR. SALOMON: There was mention in Dr. Coffin's
9 slides of a Western blot study. John, do you want to comment
10 on that?

11 DR. COFFIN: Well, I will ask David to comment on
12 it. He is the one who has been developing it.

13 DR. ONIONS: Well, I can comment on the serology
14 in two senses. One is that we have been using recombinant
15 p30 and we are now putting a recombinant ending to screen
16 patients for antibody. We have looked at well over 200; the
17 300 on John's slide includes controls and validation. We
18 know that the assay doesn't pick up antibody to HIV and HTLV
19 so that we are confident of its specificity. We do have
20 patients that do have antibody. We have confirmed that there
21 is antibody binding by using mass spectrometry techniques.
22 This is actually probably bound to p30. So we are confident
23 there is antibody to p30. What we don't know is whether that
24 epitope could be due to cross-reactivity. So, we can't
25 dismiss the possibility that this is quite spurious. If you

1 screen people for HTLV capsid antibodies, you will find
2 families that appear to have antibodies to that and, yet,
3 there is no other evidence that they are HTLV infected. So,
4 we need some caution there.

5 But just in terms of using serology, I think it is
6 an important adjunct in testing but my caveat is that,
7 again, this is not HIV. In the gamma retroviruses normally
8 antibody is associated with a recovery phase rather than
9 with concurrent active infection. Usually it is a secondary
10 event. So, I am not sure it is useful in a diagnostic sense
11 in the human that it is a very early indication rather, in
12 my view, it is an indicator of exposure and often of
13 recovery. So, it is a rather different indicator.

14 DR. MICKELSON: Then, what would you suggest as an
15 indication for early stage?

16 DR. ONIONS: Well, we know that the earliest phase
17 that we see in animal models and human models where you take
18 amphytropic virus and put it into primates, or you look at
19 cats, that the first thing that you see is a transient
20 infection where you see virus in the plasma at a low level.
21 You then get infection, usually in the bone marrow, and then
22 you get a higher titer plasma viremia and also virus in
23 cells. So, using, as John hinted, developing techniques to
24 look for virus in the plasma is an important adjunct in
25 testing, and one that I would encourage.

1 DR. SALOMON: I am afraid, just to stay on time,
2 we are going to have to go forward. This brings us to the
3 second phase of this morning in which we have invited some
4 guests for presentations, and the first presentation is from
5 Dr. Gillian Langford, from Imutran, entitled, In Vivo
6 Expression of Porcine Endogenous Retrovirus in Pigs Analysis
7 of Non-Human Primates Transplanted with Porcine Organs for
8 Evidence of Cross-Species Transmission of PERV.

9 **Guest Presentations**

10 **In Vivo Expression of Porcine Endogenous Retrovirus in Pigs**
11 **Analysis of Non-Human Primates Transplanted with Porcine**
12 **Organs for Evidence of Cross-Species Transmission of PERV**

13 DR. LANGFORD: Thanks.

14 [Slide]

15 I want to talk about two studies that we have done
16 at Imutran and in collaboration with GTI which is a company
17 based here, in Washington, primarily to look at expression
18 of endogenous retroviruses in the pigs that we are planning
19 to, or hope to use in clinical transplantation, and a study
20 that we have done where we have analyzed non-human primates
21 that have been transplanted with organs from pigs to look to
22 see if those primates have been infected by the virus.

23 [Slide]

24 The studies that we have done to look for
25 expression of the virus have really been performed to

1 supplement the data from the in vitro studies. We have
2 analyzed expression in out pigs using three different
3 techniques, the Northern blot analysis, transmission
4 electron microscopy and an assay that looks for an enzyme
5 that is associated with the virus.

6 [Slide]

7 We have done Northern blot analysis on families
8 and non-related pigs, from about ten different pigs within
9 our herd of animals, and what we have done is both Northern
10 blot and RT PCR analysis and we have taken a variety of
11 tissues from our pigs to see whether we could see viral mRNA
12 expression.

13 What we have found is that when we look in a
14 variety of tissues -- the heart, lung, liver, kidney and
15 spleen -- we see viral expression.

16 We have also established an in situ hybridization
17 technique which we have used to look in neural cells from
18 our pigs, and we have found that by in situ we can see viral
19 expression of our mRNA expression as well.

20 Interestingly, what we have found is that the
21 highest level of expression, and this is from tissue to
22 tissue within our pigs, is within the lung, and this is
23 consistent between all the pigs that we have looked at. We
24 see high levels of expression in the lung and much lower
25 levels of expression in the kidney and heart tissues.

1 [Slide]

2 As well as looking for mRNA expression, we wanted
3 to look to see if we could see viruses actually being
4 released from our pig tissues, and we did this by
5 transmission electron microscopy. What we did, we took a
6 series of tissues from our pigs and analyzed them by TEM. In
7 addition, from some of the primates that have been
8 transplanted with the pig organs we took a variety of
9 tissues from those primates and also analyzed those by TEM.

10 The results that we found when we looked in the
11 tissues from our pigs were that there was no evidence that
12 we could see any viral-like particles when we looked in the
13 heart, kidney and bone marrow. In addition, from our
14 primates when we looked in the spleen and lymph nodes,
15 again, we couldn't see any evidence of virions being
16 produced.

17 [Slide]

18 However, when we took serum from our pigs we
19 actually saw evidence of viral-like particles by TEM
20 analysis, although when we did the immunogold analysis these
21 TEM particles didn't bind antibodies against them, the
22 recombinant gag, or antibodies directed against the whole
23 virus.

24 However, because we had seen these TEM results of
25 these viral-like particles in porcine serum we went ahead

1 and analyzed porcine serum using the product-enhanced
2 reverse transcriptase. This is a two-stage assay. When
3 exogenous RNA is added to a test sample, if the test sample
4 contains reverse transcriptase the RNA is converted to cDNA,
5 and then that cDNA is amplified. So, if you get a positive
6 signal in your PERT assay, this indicates the sample
7 contained reverse transcriptase.

8 [Slide]

9 As I said, what we did was to take a whole series
10 of serum samples from our transgenic pigs. We fractionated
11 those serum samples through a sucrose gradient to ensure
12 that we were really looking at viral particles, and then we
13 analyzed those samples using the PERT assay. What we found
14 is that we had positive results, indicating that we may have
15 a low-level viremia in our pigs.

16 [Slide]

17 So, what we wanted to do was really to assess
18 whether that PERT activity was associated with infectious
19 virions. So, we did this using infectivity studies in which
20 we cultured human cell lines which were known to be
21 permissive for PERV. In this example we used human 293 cells
22 in the presence of porcine serum for up to ten passages.
23 After this, we harvested the human cells and analyzed them
24 by PCR to see if there was any evidence of infection.

25 [Slide]

1 What we found is that when we looked at these
2 human 293 cells there was no evidence of infection. So, that
3 led us to conclude that although we can see PERV activity in
4 our pig serum, this isn't necessarily associated with
5 infectious virions.

6 [Slide]

7 As I said at the beginning, not only did we look
8 for viral expression in our pigs, we have also analyzed
9 samples from both baboon and cynomolgus monkeys that have
10 been transplanted with transgenic pig organs to look for
11 evidence of infection in these primates. At the moment there
12 is some debate about the validity of using primates to look
13 for cross-species transmission of the virus, primarily
14 because there is very little in vitro data that shows that
15 primates are permissive or the cell lines are susceptible to
16 infection by PERV.

17 We have actually generated some in vitro data that
18 shows that both rhesus and chimpanzee cell lines are
19 actually susceptible to infection by PERV, and we think that
20 supports the model that we have used.

21 [Slide]

22 So, basically, the model that we have employed has
23 involved taking samples from baboons or cynomolgus monkeys
24 that have been transplanted or orthotopically with
25 transgenic hearts and kidneys. All the primates that we

1 analyzed samples from were actually immunosuppressed, and
2 the survival times of the primates that we took tissues from
3 were between 9-99 days.

4 [Slide]

5 As well as taking terminal necropsy samples from
6 our primates and analyzing those by PCR and Western blot
7 analysis, we are also collecting serum samples from our
8 primates so that we can analyze them by RT-PCR to see if,
9 when we transplant the porcine organ, it stimulates the
10 release of additional virions into the primate serum.

11 [Slide]

12 This slide describes the testing strategy that we
13 have used to analyze the tissues that we have collected from
14 our primates by PCR. We start the analysis by doing a PCR
15 based on the pol gene of the virus. If the result from this
16 PCR analysis is negative we can conclude that the sample
17 hasn't been infected. However, if we get a positive result
18 we don't know if that result was due to microchimerism or
19 natural infection of the primate tissue that we are looking
20 at.

21 So, to distinguish between microchimerism and
22 infection we have developed a second PCR assay based on
23 centromeric repeat sequences that are present in all pig
24 cells. We know that there are approximately 2.5 thousand
25 copies of this repeat centromeric sequence so we know that

1 we have developed a highly sensitive assay to detect pig
2 cells in our primates.

3 If when we do this PCR assay we don't detect any
4 centromeric sequences, then we can conclude, because we have
5 a positive PCR result for the virus, that the primate has
6 been infected. However, if we get a positive result for the
7 centromeric sequence, then we are in the position where we
8 have to resolve between microchimerism and infection.

9 [Slide]

10 We have tried to resolve between these two
11 possibilities of microchimerism and infection by developing
12 quantitative PCR assays. What these assays involve is
13 determining the number of copies of PERV sequences in the
14 sample and the number of copies of centromeric sequences.
15 When we have those two values, we then determine the ratio
16 of PERV to centromeric sequences.

17 What we know from analyzing a variety of our pigs
18 is that we know the ratio of PERV to centromeric in our
19 donor pigs. So, when we look in our primate sample, we can
20 then compare that ratio to the ratio that we find in the pig
21 cell. These are just examples. If you have microchimerism,
22 this ratio of PERV to centromeric sequences would be the
23 same as you would find in a pig cell. Whereas, if you had an
24 infection this ratio would be altered. You would expect to
25 see a higher number of PERV sequences relative to the number

1 of centromeric sequences. So, if this ratio is altered we
2 can conclude that the tissue that we are looking at has
3 actually been infected.

4 However, there is a caveat to this testing
5 approach that we have used. If you have a very low-level
6 infection in the presence of microchimerism the value of
7 PERV sequences that you see would only increase a fraction,
8 and statistically you wouldn't be able to distinguish
9 between this ratio and the ratio that you would see for
10 microchimerism. So, it is possible that if you have
11 microchimerism and a low-level infection you really wouldn't
12 be able to discriminate between those two possibilities.

13 [Slide]

14 This slide shows some of the work that we have
15 done to validate the PCR assays that we have used, and this
16 work is really all being done at GTI. This graph shows that
17 when we use between 10 to 10^6 copies of plasmid containing
18 the viral sequences that there is a linear relationship
19 between the number of copies of the virus and the threshold
20 signal at which you would consider the sample to be
21 positive.

22 [Slide]

23 We know that by adding spiked or by adding plasmid
24 containing the virus to a background of human cells that we
25 have been able to determine sensitivity of our assay, and we

1 know that if we spike in one copy of the virus to 500,000
2 human cells we can actually detect that virus. However,
3 because of Poisson distribution we actually claim that the
4 limit of detection of our assay is ten copies of virus per
5 500,000 cells.

6 The centromeric assay that we have detected has a
7 slightly different sensitivity than the PERV PCR assay that
8 we have detected. Here we can detect 10 copies of the
9 centromeric sequence porcine endogenous retrovirus 500,000
10 cells.

11 [Slide]

12 As well as analyzing the primate samples by PCR,
13 we have also taken the terminal serum samples and analyzed
14 them by Western blot analysis to see if there have been any
15 antibody responses. The Western blot assay that we have used
16 involves using either recombinant gag protein or whole PERV
17 virus isolated from infected human cells. As a positive
18 control we have antibodies that have been taken from rabbits
19 that have been immunized with either recombinant p30 gag
20 protein or the whole virus.

21 [Slide]

22 So what are the results that we have seen from the
23 analysis of our primate samples? What we have done is taken
24 a whole series of tissues from our primates. Predominantly
25 we have looked in spleen and lymph node samples, and we

1 chose those samples initially based on the premise that if
2 we expected to see microchimerism in allotransplantation,
3 they would be the tissues that you may expect to see it in.
4 But in addition to looking at spleen and lymph node samples,
5 we have also looked in heart, lung, liver, kidney and testes
6 samples from our primates.

7 What we found is that when we look at the ratios
8 of PERV to centromeric sequences in all the tissues that we
9 have analyzed, and that is a total of 100 tissues so far, we
10 don't detect any evidence of infection in those tissues.
11 However, what we did find, and what we were most surprised
12 to find is that in the majority of the tissues that we
13 analyzed there was evidence of pig cells. We found both PERV
14 and centromeric sequences.

15 What we don't know at the moment is whether these
16 cells are really indicative of true microchimerism or they
17 just released from the pig cells during the rejection
18 process.

19 [Slide]

20 As well as the PCR results, we have Western blot
21 results from 40 of the primates that have been transplanted
22 with transgenic organs, and in all these Western blots we
23 haven't detected any evidence of anti-PERV antibodies.

24 [Slide]

25 So, in conclusion, although we have seen PERV

1 activity in the serum from our transgenic pigs, the in vitro
2 coculture experiments we have done, where we have passaged
3 human cells in the presence of porcine serum, suggest that
4 we don't have any infectious virions present in our pigs.

5 In addition, when we have analyzed primates by
6 both PCR and Western blot analysis we haven't had any
7 evidence of infection in these primates, and that is, we
8 believe, now supported by our in vitro coculture results.

9 [Slide]

10 Finally, I would just really like to acknowledge
11 the people who were involved in these studies. Thank you.

12 DR. SALOMON: Thank you very much for staying on
13 time. I think it is obvious to everyone that Dr. Auchincloss
14 has joined me, to my right, and with that I will yield the
15 chair to him.

16 DR. AUCHINCLOSS: Dan, thanks very much for
17 filling in, and I apologize to the FDA and my committee
18 members for being late. I believe we are moving on now to
19 Zorina Pitkin, Circe Biomedical.

20 **HepatAssist Liver Support System Containing Porcine**
21 **Hepatocytes**
22 **Case Study: Bioreactor PERV Analysis and Risk Assessment**

23 DR. PITKIN: Good morning, everyone.

24 [Slide]

25 This presentation concerns in vitro PERV analysis

1 and risk assessment of the HepatAssist liver support system
2 containing porcine hepatocytes. There are three parts in
3 this presentation. First, we will introduce the HepatAssist
4 system and its specifics. Then, we will have a brief
5 overview of in vitro and in vivo PERV studies. Finally, we
6 will present a case study on in vitro PERV analysis of the
7 HepatAssist system.

8 [Slide]

9 To start with the introduction of this system, the
10 system has been designed to support patients with acute
11 liver failure until the liver regeneration or organ
12 transplantation. As an update, we have conducted a Phase
13 I/II clinical study to assess safety and tolerability of the
14 system in patients with acute liver failure indication. It
15 was completed in 1997. We are in the process of conducting a
16 Phase II/III multicenter, randomized clinical trial that was
17 initiated in 1998.

18 [Slide]

19 On the right-hand side you see the picture of the
20 HepatAssist system, and on the left-hand side you see the
21 schematic of the machine. During HepatAssist system therapy
22 a patient's plasma, collected through an apheresis machine,
23 is circulating through the bioreactor containing porcine
24 hepatocytes. In the bioreactor the hepatocytes are
25 segregated behind a hollow fiber membrane and perform many

1 of the metabolic functions of a healthy liver. This is the
2 cross-section of an individual fiber, which is magnified,
3 and the plasma is circulating through the lumen of the
4 fiber. The cells are placed outside the membrane, and the
5 membrane is not permeable to the cells. So, the case study
6 on the PERV assessment will be focusing on this bioreactor.

7 [Slide]

8 With regard to the cells placed in the bioreactor,
9 the cells are cryopreserved after isolation which allows for
10 extensive quality control testing prior to use in the
11 clinical setting.

12 [Slide]

13 This is the schematic of the quality control
14 testing of cryopreserved hepatocytes, with the time scale
15 which shows the time it takes to release cryopreserved cells
16 for the clinical duration. In the blue boxes you see the
17 critical steps of cell processing from herd qualification to
18 cryopreservation, and the cells are released for clinical
19 use only when all in-process and final release criteria are
20 met. In-process and final release testing includes
21 bacteriology, viral testing, microbiology and functionality
22 testing.

23 [Slide]

24 It is a closed system and this is a picture of one
25 aliquot of cryopreserved hepatocytes.

1 [Slide]

2 With regard to the treatment that patients are
3 receiving with the HepatAssist system, there are 5 billion
4 viable cells, hepatocytes that are introduced in each
5 treatment and the hepatocytes in this system are segregated,
6 as I said, behind the hollow fiber. Each bioreactor is 100
7 percent integrity tested, and the pores of the membrane are
8 0.15 micron size. Thus, the hepatocytes are not in direct
9 contact with human cells during treatment.

10 [Slide]

11 The duration of the treatment is 6 hours
12 administered daily to a patient, again, until either liver
13 regeneration or organ transplantation. Based on current
14 experience and the first study, on average there are 3-4
15 treatments per patient.

16 [Slide]

17 Now that I have introduced you to the system, I
18 will just present a brief overview of in vitro studies
19 studies -- PERV assessment; the strategies for risk analysis
20 concern two parts, in vivo and in vitro testing. With regard
21 to in vivo testing of patients, we have conducted three
22 prospective testing on 29 patients' PBMCs. The testing was
23 done by DNA PCR and all patients were found to be negative
24 for PERV. The exposure time was 3 months to 5 years post-
25 treatment.

1 With regard to the prospective testing, the
2 testing is ongoing and it is a critical component of our
3 protocol that all patients are to be tested at various time
4 points. Patients' PBMCs are being tested by DNA PCR, as well
5 patient serum is being tested by Western blot analysis.

6 [Slide]

7 With regard to in vitro testing, the hepatocytes
8 were tested by cocultivation with 293 cells and there was no
9 evidence of infectivity in human 293 cells cocultivated with
10 human hepatocytes for longer than 35 days. Finally, we
11 conducted a PERV assessment of the HepatAssist system.

12 [Slide]

13 The goal of this in vitro PERV assessment of the
14 HepatAssist system was to test the system by the reactor
15 that is used clinically for PERV infectivity and, second, to
16 investigate whether the membrane in the bioreactor provides
17 a barrier to PERV transmission.

18 As far as the study design, in order to simulate
19 clinical conditions under which the system or the therapy is
20 being delivered to the patients, we seeded the same number
21 of porcine hepatocytes behind the hollow fiber membrane, and
22 the hepatocyte bioreactor was perfused with culture medium.
23 However, the duration of the experiment was 24 hours, which
24 is 4 times longer than the clinical administration of the
25 therapy. That was done to simulate the increased exposure to

1 the cells.

2 [Slide]

3 As a positive control, we placed PK-15 cells
4 behind the hollow fiber membrane, and the design of that
5 positive experiment was exactly the same. Five million PK-15
6 cells were used and the bioreactor was perfused with the
7 same culture medium with 10 percent of serum, and the
8 perfusion time was 24 hours.

9 [Slide]

10 This is a schematic of the experimental setup
11 where the culture medium was used instead of plasma as in
12 the clinical setting. It was perfused through an oxygenator
13 and hollow fiber cartridge, and it was collected every two
14 hours and then pooled together. The only difference with the
15 clinical administration was that we did not use charcoal
16 column as we believed that it could potentially interfere
17 with the virus.

18 [Slide]

19 So, the medium was collected every two hours and
20 then pooled together, and concentrated by a factor of 1000
21 by ultracentrifugation, where the cell supernatant from the
22 cell compartment was not concentrated.

23 [Slide]

24 As far as the infectivity test, the circulating
25 medium collected and cell supernatant were incubated with

1 human 293 cells for 35 days. As a control they used human
2 293 cells, and the positive control was human 293 cells
3 infected with PERV.

4 [Slide]

5 In the infectivity analysis, at the end of the
6 cocultivation period human 293 cells were analyzed for PERV
7 infectivity by DNA PCR; with negative control, DNA from MRC-
8 5 cells; and positive, genomic DNA from porcine PBMCs.

9 [Slide]

10 Now I would like to present the results of this
11 study, first on the hepatocytes experiment. The media from
12 the HepatAssist bioreactor containing porcine hepatocytes
13 showed no evidence of PERV infectivity in human 293 cells
14 inoculated with either cell supernatant or circulating
15 medium which was 100 times concentrated.

16 [Slide]

17 As to the PK-15 cells, on the contrary, the media
18 from the bioreactor containing these cells showed evidence,
19 as expected, of PERV infectivity in human 293 cells
20 inoculated with both cell supernatant or 1000-fold
21 concentrate of the circulating medium.

22 [Slide]

23 However, in the PK-15 experiment the circulating
24 medium showed at least a 5-log reduction -- and I want to
25 emphasize it is a qualitative assessment in infectivity in

1 human 293 cells when compared to PK-15 cell supernatant in
2 the bioreactor.

3 [Slide]

4 I would like to show you the picture of DAN PCR
5 analysis for PERV infectivity, and want to point your
6 attention to 4 particular lanes, lane 8, 8, 10 and 11. Lanes
7 10 and 11 represent PK-15 supernatant unconcentrated,
8 incubated with 293 cells, and that represents a very strong
9 signal. Whereby, lanes 8 and 9 represent concentrated 1000
10 times circulating medium incubated with 293 cells. Lane 8 --
11 there is no signal that could be seen on this
12 autoradiograph, and lane 9 shows a very faint signal. So
13 this is our qualitative assessment of reduction in
14 infectivity.

15 [Slide]

16 In conclusion, the experiments with the
17 HepatoAssist bioreactor containing hepatocytes showed no
18 evidence of PERV infectivity in the human 293 cell line.
19 Second, the HepatAssist bioreactor membrane reduced PERV
20 infectivity by a factor of at least 5 logs using PK-15
21 cells.

22 [Slide]

23 Thirdly, the membrane based bioartificial organs
24 may help, it is our belief, to reduce the risk of
25 zoonosis such as PERV transmission. Further, membrane

1 based bioartificial organs segregate xenogeneic cells, thus
2 preventing direct contact with patient tissues. Finally,
3 cryopreservation of animal cells allows for conclusive
4 quality control testing in this setting.

5 [Slide]

6 Now I would like to acknowledge my colleagues at
7 Circe Biomedical and people we have collaborated with in the
8 past, Primedica Corporation and Q-One Biotech. Thank you
9 very much for your attention.

10 DR. AUCHINCLOSS: Thank you. We will move on now
11 to a presentation fm the CDC by Walid Heneine, and I
12 probably massacred the way you pronounce your last name.

13 **Surveillance of PERV Infection in Exposed Persons**

14 DR. HENEINE: Thank you for inviting me.

15 [Slide]

16 I would like to start first by going over some
17 diagnostic strategies that we are using at the CDC to look
18 for PERV infection in exposed persons or animal models, and
19 then move quickly to the applications of these assays and
20 what data we have obtained by using those assays.

21 One major point in the discussions today is the
22 different strategies we could use, and what we have been
23 doing, developing and evaluating at the CDC lab is both
24 molecular and serologic approaches for the diagnosis. On
25 this slide we see a listing of the assays we have to detect

1 PERV in the peripheral blood lymphocytes using primers and
2 probes derived from preserved PERV sequences available to us
3 to date.

4 We have also developed a PCR assay to detect pig-
5 specific mitochondrial DNA to evaluate whether there is any
6 evidence of microchimerism in the sample. What is not
7 mentioned in the slide here is the assays we have using the
8 PERV primers and probes to look for PERV RNA as a marker of
9 productive infection or viremia, and we have applied those
10 to the analysis of serum or plasma.

11 In addition, we recognize the importance of
12 looking for antibodies against PERV as an adjunct approach
13 for diagnosis, and we have also developed a Western blot
14 assay which I will mention to you again later.

15 I have added here that in the case of a positive
16 result by PCR and serology we should not stop there. We
17 should also try to see if we can isolate virus from infected
18 individuals.

19 [Slide]

20 The issue of microchimerism, as you have heard
21 this morning, is very important for adequate diagnosis of
22 PERV infection, and what we have developed is a simple
23 method. The way we have adopted it is to use dilution of
24 peripheral blood mononuclear cells and not DNA extracts of
25 PBMCs. Rather, before extraction of DNA use dilution of

1 these peripheral blood mononuclear cells and then go back
2 and test those dilutions for the presence of PERV sequences
3 and for the presence of pig mitochondrial sequences, and
4 then look at the titer of the positive signal that you will
5 see.

6 Here, I have highlighted two scenarios that you
7 may see. In the case of a chimerism the titer of PERV
8 sequences will be equivalent to that of the pig
9 mitochondrial DNA because it will reflect the presence of
10 any pig cell in the dilution.

11 In the case of an infection you will see a higher
12 titer of PERV sequence compared to the pig mitochondrial DNA
13 sequences. This scenario, for example, reflects low level
14 microchimerism in the presence of PERV-infected human cells.
15 Again, I reiterate here that the key point is not dilution
16 of the DNA but dilution of the cells, and we usually do a
17 two-fold dilution so that you can very quickly determine
18 what state you have.

19 [Slide]

20 Let me go back a little bit further to the
21 serologic assay we have used and that we reported last month
22 in Transplantation, with validation. The Western blot assay
23 we use is a whole-cell lysate assay of 293 human cells
24 infected with PERV. We use as a diagnostic marker the p30
25 activity of the gag protein. For seroreactive samples we

1 usually run another Western blot that has a control antigen,
2 which is the uninfected 293 cells, to make sure that any
3 reactivity we see is due to the viral protein and not to any
4 non-specific reactivity associated with cellular 293
5 proteins.

6 This is an example of how we applied this assay on
7 the evaluation of antibodies to PERV in pig islet-cell
8 recipients which we have previously reported, as mentioned
9 this morning.

10 [Slide]

11 I can go back and show you some of these assays
12 that we have applied. For example, here, looking for PERV in
13 humans that have received pig liver perfusions, we have
14 looked at four patients. All four have been consistently
15 negative by PCR. We have done the serology. The first three
16 have also been seronegative. This is the time post-exposure.
17 In patient "D", the fourth patient, we have seen a very weak
18 seroreactivity at two months after the exposure but then
19 afterwards we were not able to detect any reactivity at
20 seven months, and we have now, two months later, another
21 time point here. There was no molecular evidence of PERV in
22 this patient, which led us to interpret this transient
23 seroreactivity as not evidence of infection but maybe as
24 evidence of exposure to viral protein.

25 [Slide]

1 This is the pig islet-cell recipients, the ten
2 patients we have looked at. These are some characteristics
3 that I would like to go over very quickly with you. This is
4 the number of islet-cell clusters that they have received,
5 and this is the evidence of prolonged survival seen in some
6 of them, which was the detection of C-peptide.

7 We have also looked in a different way for
8 evidence of xenograft survival by looking at the
9 mitochondrial pig DNA in the plasma, and we have seen a
10 strong correlation between detection of C-peptide and
11 presence of pig mitochondrial DNA.

12 Nevertheless, despite the evidence of prolonged
13 xenograft survival, none of the ten patients had evidence of
14 PERV infection by the molecular and serologic tests that we
15 have done.

16 [Slide]

17 So, so far from this limited surveillance, we can
18 conclude that the data are reassuring. However, we would
19 like to highlight that generalization of these results to
20 other types of exposures is limited, and we need to evaluate
21 PERV transmission by type of xenograft. However, our studies
22 illustrate the minimum standard for laboratory surveillance
23 for PERV.

24 [Slide]

25 I would like to move to another study we have done

1 that was mentioned by Carolyn this morning, which is looking
2 at the evidence we found of PERV in porcine Factor VIII
3 products. This study, actually, began when we looked for
4 evidence of reverse transcriptase activity in the plasma of
5 pigs. This was part of the Swedish collaboration we have had
6 for pig islet recipients. When we looked at the plasma of
7 the recipients for any evidence of RT activity that could be
8 a marker of the presence of any retrovirus, we did not find
9 any RT activity, however, when we looked at the controls,
10 the pigs that we have tested, we detected very easily RT
11 activity. These are duplicate results from two pigs. This is
12 HIV-1 infected plasma. There were really equivalent signals.

13 [Slide]

14 So, that indicated to us that there is some level
15 of viremia, as you have heard this morning, in pig plasma
16 and, therefore, led us to analyze products of pigs that are
17 made from pig plasma. We analyzed the porcine Factor VIII
18 that is used now in licensed product for use for
19 hemophiliacs.

20 [Slide]

21 Again, this is more specific information on the
22 delectability of PERV RNA in pig plasma, and 18/20 that we
23 have looked at were positive. Of these 18, 16 also had
24 detectable RT activity by the Amp-RT assay. This is another
25 PCR-based assay. Again, the correlation between detection of

1 both markers was positive, and that indicated to us that we
2 need to look a little bit closer into this product.

3 [Slide]

4 So, we tested 13 lots of Hyate C -- this is the
5 name of the product -- for both PERV RNA by RT-PCT and also
6 we tested for RT activity.

7 [Slide]

8 We were able to detect the PERV RNA in all the
9 lots tested, 13/13 for this sequence; again, 9/9 for the
10 pol; and 5/5 for the env.

11 [Slide]

12 I will show you an example here. You see very
13 strong signals here only when you reverse transcribe. When
14 you don't reverse transcribe you don't see anything
15 indicating that it is RNA in origin.

16 [Slide]

17 This is an example from the pol RT-PCR product.

18 [Slide]

19 We also wanted to see whether these sequences are
20 associated with particles. As you have heard this morning,
21 you can do that by looking at reverse transcriptase
22 activity, which is a particle associated enzyme. We were
23 able to detect RT activity in many lots. However, some of
24 these lots had evidence of inhibition of the assay so we had
25 to play around with the testing procedure by pelleting and

1 dilution of the product to be able to dilute out the
2 inhibitor.

3 [Slide]

4 However, we were able to demonstrate the presence
5 of RT activity in 10/13 lots tested.

6 [Slide]

7 As Carolyn said, we were also interested in seeing
8 whether there is any infectious PERV and we carried out
9 experiments by inoculating some of these lots into
10 susceptible cells, 293, HT1080, and these cultures are still
11 ongoing and, so far, we do not have any evidence of
12 infectious PERV detected that could be isolated in these
13 systems.

14 [Slide]

15 The key question here is do we see any evidence of
16 transmission to hemophiliacs that have used this product?
17 We collaborated with the Hematologic Diseases Branch at CDC
18 that had previously collected a lot of samples from these
19 recipients, and we tested a total of 111 samples and 88 were
20 from the Factor VIII recipients and 23 were from unexposed
21 hemophiliacs. We did blinded testing for antibodies to PERV.

22 [Slide]

23 None of the 88 samples were found to be positive;
24 all were negative, as well as the 23 other controls. This is
25 an example of one of these experiments about the

1 seronegative findings we have.

2 [Slide]

3 We could try to speculate on what could explain
4 those results, and keep in mind here the scenario we have or
5 the experiences we have in that population with the HIV-
6 contaminated human Factor VIII, how HIV was transmitted to
7 that population while, in this case, we have had no evidence
8 of transmission so far.

9 [Slide]

10 So, you could look at the explanations for the
11 lack of PERV transmission as related to the product itself,
12 like possible presence of the infectious PERV -- possible
13 absence of the infectious PERV in the product. We don't have
14 evidence for that from the infectivity studies done by us
15 and the FDA. This could be due to a little or no infectious
16 PERV in the pig plasma originally. You have heard some data
17 also from Gillian about the lack of any detectable
18 infectious virus in pig plasma.

19 However, we still don't know very much about the
20 negative findings in these assays, and what confidence we
21 need to put into negative results using our knowledge today
22 and the information in this culture system. So, we need to
23 take this with caution and say that the negative finding may
24 not confirm really absence of infectious virus.

25 We could also think that maybe there was some

1 infectious virus originally but that it has been lost or
2 reduced during the manufacturing. The manufacture of that
3 product includes cryoprecipitation, polyethylene glycol
4 precipitation and freeze drying which all can reduce
5 infectivity of retroviruses. However, this manufacture does
6 not include any inactivation by heat or by detergent and
7 this may explain how we were able to detect RT activity in
8 these samples.

9 Also, there may be factors that are host related
10 and that maybe PERV, which is present in this product, could
11 have been infectious but then these PERVs were quickly
12 inactivated by the complement system that we have that can
13 be triggered by our naturally occurring anti-gal antibodies.
14 So this could protect the hemophiliac recipients from
15 infection.

16 [Slide]

17 I would like to stop here and recognize our
18 collaborators at CDC. This is the team that is involved with
19 all this work, and some collaborators also from other
20 studies outside the CDC. Thank you very much.

21 DR. AUCHINCLOSS: Thank you very much. I am sure
22 it is true for the other members, but my questions are
23 building up for the end of the presentations but right now
24 we are going to take a break for about ten minutes and we
25 will start promptly at 10:45 to resume this session.

1 [Brief recess]

2 DR. AUCHINCLOSS: We will resume with a
3 presentation from Diacrin by Jonathan Dinsmore.

4 **Porcine Endogenous Retrovirus Testing in Patients with**
5 **Pig Fetal Neural Cell Transplants**

6 DR. DINSMORE: Thanks a lot.

7 [Slide]

8 My task today is just to present the patient
9 testing data that we have generated to date. I wanted to
10 spend a few minutes going over the application that we are
11 involved in using pig cells, and we are using fetal pig
12 cells and these are for treatments of contractable
13 neurologic diseases. The cells are actually implanted
14 stereotactically directly into the brain. Animal studies
15 and patient data indicate that we may achieve and maintain
16 long-term survival of these cells. These are stable grafts
17 that maintained within the patients.

18 [Slide]

19 The patient data that I am going to summarize for
20 you applies to three separate applications that are in
21 clinical trials currently. One is for the treatment of
22 Parkinson's disease. This is a Phase I safety study. It
23 involves 12 patients. The transplant, as I said, involved
24 fetal pig neuronal cells. These patients received
25 transplants to one side of the brain. A total of 12 million

1 cells were implanted. There are two modes of
2 immunosuppression utilized in this study. Six patients
3 received systemic immunosuppression with cyclosporine and
4 six patients received cells that were treated with a F(ab)
5 fragment, a monoclonal antibody, and this is a proprietary
6 methodology that Diacrin is developing.

7 [Slide]

8 The other trial that I will be presenting today is
9 for a trial in Huntington's disease. This is a fatal disease
10 for which there is no currently available treatment. Again,
11 it is a Phase I safety study and 12 patients were involved.
12 Actually, there is an error on this slide. The dose of
13 cells was 24 million cells. Again, it was a unilateral
14 transplant to one side of the brain. Again, two groups of
15 patients, six receiving cyclosporin and six receiving²
16 antibody-treated cells.

17 [Slide]

18 A third trial that I will present patient data for
19 involves the treatment of focal epilepsy. So, this is
20 patients who have intractable focal epilepsy that are
21 refractory to available drug treatments. Again, it is a
22 Phase I safety study. There are three patients enrolled
23 currently in this study of a planned six. Again, fetal pig
24 neural cells with stereotactic delivery directly to the
25 brain, four million cells. In this case, all the patients

1 received antibody-treated cells.

2 [Slide]

3 A little bit about the patient samples that were
4 tested. These were tested at Primedica Labs in Washington.
5 PBMCs were the test sample utilized. For the Parkinson's and
6 Huntington's disease patients these trials were initiated
7 prior to the institution of testing for PERV so we utilized
8 our archival samples which were frozen at minus 70. For the
9 epilepsy patients the trial was initiated after the
10 initiation of PERV testing and, therefore, those patients
11 were tested on a real-time basis as these samples were
12 collected. For all of these, additional blood samples from
13 the patients are reserved for additional testing, for
14 serological testing or for testing with assays that may be
15 developed as we go forward.

16 [Slide]

17 The assay procedure is simple. You have seen it
18 before with the other presentations. DNA is extracted from
19 the test sample. PCR is performed. We utilize the primers
20 which recognize the protease region of the PERV. These were
21 primers which were developed and published in 1997 in Nature
22 Medicine. After the PCR, there is separation of the PCR
23 products from the gel. It is then transferred to a membrane
24 and then probed with a fluorescein tagged probe. After the
25 PCR there is a third oligonucleotide which is used as a

1 probe on a Southern blot and the detection is via a light
2 detection exposure to x-ray film.

3 [Slide]

4 These are the primers that we utilized. These are
5 the PCR primers and this is the probe that is used on the
6 Southern blot.

7 [Slide]

8 These assays were validated first before
9 initiating testing on patient samples; to confirm that they
10 were negative, these primers on human cells, human PBMCs and
11 human cell lines with integrated human retroviruses. These
12 same samples were concurrently run with positive controls
13 for human DNA. They were all positive with human beta-globin
14 primers. All of these samples were samples containing pig
15 cells, either pig PBMCs from the pigs we used for isolating
16 cells or from pig cell lines.

17 [Slide]

18 That is just summarized here on a table with the
19 pig cells being positive for the PERV primers, the human
20 lines all being negative but positive for the beta-globin
21 sequences, and human samples that have various amounts of
22 pig DNA spiked are all positive for both probes.

23 [Slide]

24 This is just an example of what you see on these
25 Southern blots after running these assays. So, human PBMCs

1 are negative. Human PBMCs with various amounts of added pig
2 DNA are positive. Pig PBMCs are positive, with various
3 amounts of pig PBMC loaded. Human cell line and cells lines
4 with integrated human retrovirus are all negative. Run on
5 the same gel are the positive pig controls. So, with the
6 validation of the assay we went forward with patient
7 testing.

8 [Slide]

9 This summarizes the results for the Parkinson's
10 disease patients as well as control samples which were taken
11 from Diacrin employees. The time sampled is listed here.
12 They are all negative for PERV sequences; all positive with
13 the pig DNA spiked samples.

14 [Slide]

15 There were similar results for the Huntington's
16 samples, again, taken at various times post-transplant.

17 [Slide]

18 Then, for the epilepsy patients, as I said, there
19 is real time testing. So, baseline, three weeks, two months,
20 three months and six months. More samples than this were
21 actually collected but these are the samples that were
22 tested, and they have all been negative. There is one
23 missing here because a blood sample could not be drawn from
24 the patient on that day.

25 [Slide]

1 This is just a representation of what one sees on
2 the Southern blot analyses. There are duplicate samples here
3 of patient PBMCs that are all negative; those same samples
4 spiked with various amounts of pig DNA are all positive.

5 In addition to these patient tests that I have
6 shown you, we have an ongoing Phase II clinical trial for
7 Parkinson's disease. It is a blinded trial, therefore, I am
8 not presenting the data on those patients here. PBMCs from
9 those patients are being tested in real time and, to date,
10 all of those samples have been negative as well.

11 One final note, although I am not going to present
12 it here, we have done the cocultivation assay that you have
13 heard about today with fetal pig cells, and those have been
14 negative for the presence of any infectious virus being
15 activated from the donor cells themselves. That is it.

16 DR. AUCHINCLOSS: Thank you very much. Our next
17 presentation is by John Logan, from Nextran.

18 **Development of Assays for Monitoring Baboons and**
19 **Humans for the Transmission of Porcine Endogenous Retrovirus**

20 DR. LOGAN: Thank you.

21 [Slide]

22 I would like to talk today about the development
23 of assays for monitoring both baboons and humans for the
24 possible transmission of porcine endogenous retrovirus
25 sequences, and I would really like to split my talk into

1 actually three parts. In the first part I would like to talk
2 a little bit about experiments done in vitro in cells and
3 culture and coculture assays looking for potential
4 transmission. I would also like to talk about looking in
5 human patients who have been exposed to porcine livers and
6 extracorporeal circuit. Then I would like to spend the last
7 part of the talk talking a little bit about baboons that
8 have been transplanted with various pig tissues.

9 [Slide]

10 To talk first of all about the issue of coculture,
11 we performed a series of coculture experiments really to
12 look at the possibility that transmission of PERV sequences
13 from both hepatocytes and also stimulated lymphocytes from
14 our transgenic pigs using human 293 cells as the indicator
15 cell line. Our positive control in all these samples was PK-
16 15 cells, either cocultured with 293 cells or virus produced
17 from PK-15 pigs infected directly into 293 cells. The
18 lymphocytes themselves were stimulated with PHA and IL2.

19 [Slide]

20 This is just a summary of that data. We actually
21 analyzed the data in a number of different ways. We looked
22 at both hepatocytes that were non-irradiated and irradiated
23 hepatocytes, and stimulated lymphocytes, as well as PK-15
24 cells. We looked for the production of virus after 35 days
25 of coculture in the 293 cells and we assays for the

1 production of infectious virus using standard RT assays, as
2 well as RT-PCR assays of the supernatant at the endpoint of
3 35 days. All of these assays of hepatocytes, irradiated
4 hepatocytes or lymphocytes from the transgenic pigs were all
5 negative.

6 When we looked by DNA PCR at the end of the 35-day
7 period, the results in the case of the lymphocytes were
8 clear. There were no PERV sequences; no porcine genomic
9 sequences. In the case of the non-irradiated hepatocytes in
10 one set of experiments it was negative. In the other set of
11 experiments it was positive for PERV sequences. The results
12 were positive for pig genomic DNA. In the case of the
13 irradiated hepatocytes, one set of experiments was negative.
14 One was very weakly positive with PERV. It was variably
15 positive for porcine genomic sequences.

16 Our conclusion essentially from this slide is that
17 we certainly could see no evidence of the presence of
18 infectious virus isolated from either hepatocytes or
19 lymphocytes from pigs.

20 [Slide]

21 We then went on and we looked in various assays
22 for both patient and baboon samples. We looked at two sets
23 of assays. The first set of assays was the assays you have
24 heard about in detail this morning, which are really PCR-
25 based assays looking at various probes for the PERV genome,

1 either protease regions or envelope regions. We also
2 developed an antibody-based test to try and look for the
3 presence of antibodies, either in patients or various human
4 populations or in baboons after transplantation of pig
5 tissues, to see if that could be another methodology to look
6 for potential evidence of exposure to virus.

7 [Slide]

8 If you look first at patients that underwent
9 extracorporeal liver perfusion, in general the patients were
10 all negative using PCR-based analysis of peripheral blood
11 mononuclear cells.

12 [Slide]

13 There was one exception to that. That one
14 exception we saw in two patients that were analyzed at the
15 same processing time point but at different points, and
16 those patients turned out positive in the PERV assay.

17 We then had a problem with getting enough DNA to
18 see if they were positive for porcine genomic sequences and
19 we couldn't equivocally say whether they were positive or
20 negative. At subsequent time points for both of those
21 patients were seen to be negative, and we felt the most
22 reasonable explanation at that time was a contamination
23 issue although, as Carolyn said this morning, we certainly
24 could not exclude the possibility of a transient low-level
25 infection.

1 [Slide]

2 We have modified our PCR technique in order to
3 make sure we reproducibly get enough DNA for analysis. We
4 actually store DNA now as well as lymphocytes. And, we have
5 modified our DNA prep method for lymphocytes to get DNA more
6 efficiently amplified.

7 [Slide]

8 Let me change gears a little bit and talk about
9 the development of an antibody-based test. Clearly, the PCR-
10 based technology is very useful in terms of level of
11 sensitivity but can suffer from problems of contamination,
12 and we wanted a second methodology that we could utilize to
13 look at potential infection sites throughout the body, as
14 well as a method which would give us complementary data in
15 the case of a PERV positive. For that reason, we tried to
16 establish some serological-based assays.

17 In the case of PERV this is a real challenge
18 because, as you well know, there are no animal model systems
19 which we can elucidate what potential levels of antibodies
20 or time periods that we would obtain. So, we attempted to do
21 this by trying to assemble various components of the assay
22 in order to try and validate that we were capable of
23 detecting anti-probe antibodies.

24 [Slide]

25 To do that we required an antigen source. In the

1 first instance we used recombinant gag protein in a number
2 of different systems, bacterial and mammalian. We have
3 produced anti-gag antibodies against the antigen produced in
4 those systems. Then we tried to development an assay format
5 using these antibodies as positive controls which would
6 actually detect the presence of antibodies.

7 [Slide]

8 Let me turn now to the production of antigen. Just
9 illustrated here are two systems that we utilized in E.
10 coli. One of them was a fusion system with a gag coding
11 sequence used to the protein A region. We could produce
12 fusion protein containing gag. The second system was used as
13 GST fusion, again with a recombinant gag sequence, and
14 again, we could produce antigen and purified anti-antigen.
15 Those antigens then were used for two different immunization
16 strategies, one in mice for production of monoclonal
17 antibodies and the other in rabbits for production of
18 polyclonal antibodies. In addition to that, we also
19 expressed the gag sequence in CHO cells as a separate
20 indicator system for the development of antibodies against
21 protein.

22 [Slide]

23 As we look at this system, what we have done here
24 is we have taken two cell lines, one of Chinese hamster
25 ovary cells, the second of Chinese hamster ovary cells which

1 actually express the gag antigen. In this case the gag
2 antigen has a myc tag on it to verify that we could detect
3 protein expressed in these cells.

4 In this case, this is mouse immunization.
5 Obviously, the mice before exposure to the immunizing
6 antigen has no detectable antibody either in CHO gag or CHO
7 control cells. With immunization we see a specific increase
8 in antibody binding to the CHO gag cells but not to the CHO
9 control cells. In the case of the myc, that is just a
10 control to show that we can detect this antigen produced in
11 the cell.

12 [Slide]

13 We went on to look more closely at these
14 antibodies. In this case we used five different test
15 subjects. One was PBS media. one was a monoclonal antibody
16 isolated against gag. One was rabbit pre-immune serum; and
17 one was rabbit post-immune serum. With an ELISA type format,
18 we then used five different antigens. We used Chinese
19 hamster ovary cells; CHO cells with the gag protein; basic
20 E. coli cells and E. coli cells that contained the GST gag
21 fusion, as well as purified GST gag fusion protein.

22 We looked first at monoclonal antibody lanes. We
23 looked first in CHO cells. Obviously, monoclonal antibody
24 doesn't bind CHO cells. The CHO cells expressed in gag cells
25 by significant binding. It doesn't bind to E. coli extracts

1 but it does bind to E. coli extracts containing the gag
2 fusion protein as well as the purified gag antigen.

3 In the case of the rabbit pre-immune serum, we see
4 no binding to any of the substrates. In rabbit post-immune
5 serum, no binding to CHO cells; significant binding to CHO
6 gag cells. However, in the case of rabbit post-immune serum,
7 this is immunized with protein A gag fusion which has E.
8 coli protein so we do see production of E. coli antibodies
9 but there is some stimulation on the GST gag E. coli cell.

10 [Slide]

11 This is just a summary of series of monoclonal
12 antibodies that we have isolated against the gag protein,
13 the polyclonal antibodies that we have utilized. All of them
14 bind in an ELISA type format. Some of them bind quite well
15 on the Western blot format, and some of them actually
16 identify infected 293 cells in fluorescent-based cell
17 assays. So we felt we now had a good resource of antibodies
18 in order to start the process of trying to develop an
19 antibody-based test.

20 [Slide]

21 We expressed three different PERV gag fusion
22 proteins. We confirmed integrity of the gag reading frame by
23 sequencing peptides. We then immunized mice and rabbits with
24 this fusion protein.

25 [Slide]

1 We tried to development an assay. We decided
2 initially to utilize PERV-infected human 293 cells as a
3 source. Clearly, the PERV-infected 293 cells produced more
4 of the gag antigen, produced envelope and, therefore, in
5 theory we could see production of many different potential
6 antibodies produced.

7 [Slide]

8 This is just a very quick look at some data to
9 show that we can, in fact, detect specific binding to PERV-
10 infected human 293 cells, and these are 293 cells obtained
11 from Robin Weiss' group. We looked at rabbit pre-immune
12 sera. There is no binding to either uninfected 293 cells or
13 to 293 cells which have been infected with virus and PK-15
14 cells. With rabbit post-immune sera, no binding to 293
15 cells, but significant binding to infected 293 cells. And,
16 the same with monoclonal antibodies, no binding to 293, good
17 binding to 293 infected cells. So, we thought that these
18 cells would be good in an ELISA type format initially just
19 to look for the production of antibodies against PERV.

20 [Slide]

21 We decided to do that initially looking at patient
22 samples, and this is actually looking at patients' post-
23 liver perfusion. We are actually looking at post-allografts.
24 These patients are on immunosuppression. We looked initially
25 at the anti-porcine response that these patients have

1 against pig cells in general and not just against PERV. What
2 we see is that in the first few day post-perfusion a rapid
3 induction of anti-gal antibodies with IgG and IgM. We
4 actually failed to detect any significant level of binding
5 to other pig antigens. So, the antibody response seemed
6 dominated, at least in this case, by alpha-gal antibodies.
7 So, these patients saw porcine tissue, and that porcine
8 tissue stimulated an immune response.

9 [Slide]

10 We looked now in an ELISA type format to see if
11 these patients over time had expressed antibodies which
12 could now recognize PERV-infected cells. We looked first
13 with PK-15 virus-infected 293 cells. This is rabbit serum
14 pre-immune and post-immune, and we see a significant binding
15 to 293 cells. If we now look at these patient samples over
16 time, both IgG and IgM, we see no difference in binding
17 between uninfected and infected 293 cells.

18 If we look in a Western blot type format where we
19 now take extracts from 293 cells, either infected or
20 uninfected, and we also do an additional test where we take
21 uninfected 293 cells and add pertussis toxin -- we add
22 pertussis toxin to show that these patients can, in fact,
23 have antibodies that we can detect.

24 We looked first in the case of the monoclonal
25 antibody to look at infected 293 cells versus uninfected 293

1 cells. We see binding to gag-related peptides in infected
2 cells but not uninfected cells.

3 What is consistent in this patient and also in the
4 other patient is that we no specific binding or no
5 antibodies with specifically recognized bands present in
6 only 293 infected cells as opposed to 293 uninfected cells.
7 We do see good binding to pertussis toxin.

8 [Slide]

9 We also went on and apart from analyzing these
10 patients, we also looked at the human population in general
11 in a small part, and we looked at two given populations. We
12 took a random human serum population, and also a population
13 which should have greater exposure to pig cells. In this
14 case, we looked at swine practitioners. These are
15 veterinarians who specifically work with swine on a day-to-
16 day basis and have reported during the last year to have
17 lots of blood contact with pigs.

18 If you look in an ELISA type format again, these
19 are the control antibodies. We see good binding only to
20 infected, not uninfected 293 cells. But in case of either
21 the random human population or the swine practitioner serum
22 we see really no evidence of any difference in binding
23 between infected and uninfected 293 cells.

24 [Slide]

25 We have also done that same experiment using a

1 Western blot format. I show you a few examples of that here
2 from the random population as well as the swine practitioner
3 population and, again, there is no difference in binding
4 between infected or uninfected 293 cells.

5 [Slide]

6 We then also went on to look at pig to baboon
7 xenografts -- and I told you the xenografts have actually
8 been done some time ago -- to look at DNA PCR-based
9 techniques as well as antibody-based techniques to see if we
10 could detect presence of PERV sequences. Realizing that
11 there is some controversy over whether PERV could infect
12 baboon cells or not, and I think we have heard today results
13 both ways in this particular assay, at least with regard to
14 one primate species, we felt, however, it was still
15 worthwhile to look at these samples rather than to wait to
16 have conclusive evidence either way.

17 [Slide]

18 We looked first of all, and this is just one
19 example of many that we have done -- we decided to adopt a
20 slightly different regime in which we looked at xenografts
21 that in this case had only been in for a few days, and then
22 looked at both the antibody response of the baboon against
23 the xenograft after a heterotopic heart transplant or the
24 response against specifically PERV antigens in 293-infected
25 cells. After removal of the xenograft, the baboon in this

1 case was maintained on immunosuppression for a further 90
2 days. So, we put the xenograft in with immunosuppression,
3 removed it and continued the immunosuppression.

4 What we see is that there is actually a lack of
5 fairly strong anti-pig response in these baboons against pig
6 tissue. It is dominated again by alpha-gal response. I only
7 show the alpha-gal response in this case.

8 However, if we look at the ELISA technique to pick
9 up potential anti-PERV antibodies, the controls show good
10 binding again. However, in the case of various time points,
11 looking at 293 infected and uninfected cells, we see no
12 difference in binding. So we see in the baboons again an
13 anti-pig response showing they can respond to pig antigen
14 but no anti-PERV response.

15 [Slide]

16 We have also looked by DNA PCR. In this case we
17 show lymphocytes. This is just an indication of the
18 sensitivity. It essentially goes down 1 cell in 10⁶ cells.
19 We can detect the PERV sequences. In this case, we show 3
20 baboons here, and these are baboons before transplant and 90
21 days after transplant, and we see no evidence of PERV
22 sequences on the lymphocytes. We are following this up and
23 looking at multiple tissues throughout the baboons but that
24 analysis is not yet complete.

25 [Slide]

1 We have looked, in this particular case, at longer
2 surviving grafts. Again, 90 days post-transplant with
3 constant immunosuppression we see no evidence by PCR probe
4 sequences on lymphocytes.

5 [Slide]

6 The conclusion then in terms of an anti-PERV
7 summary is that we really see no evidence of anti-PERV
8 antibody either in the normal human population or in swine
9 practitioners. In the baboons we have looked at today,
10 again, no evidence of anti-PERV response in antibody-based
11 tests; and the same thing in terms of control and patient
12 serum.

13 Clearly, we will continue to expand these studies
14 to look at multiple tissues in baboons at multiple different
15 time points with longer surviving xenografts, and we will
16 continue to follow-up the data. Thank you very much.

17 DR. AUCHINCLOSS: Thank you very much. To conclude
18 the morning's presentations, we will hear from Khazal
19 Paradis, from Imutran, on retrospective patient studies.

20 **Retrospective Patient Study - Testing Strategy and Methods**

21 DR. PARADIS: Good morning. I first want to
22 apologize that I am going to be presenting again just the
23 patients that are participating in this study, which we have
24 code names XEN 111, but I am not going to be presenting the
25 results. I particularly want to apologize to those who have

1 heard this apology several times.

2 [Slide]

3 XEN 111 is a study that had multiple testing labs,
4 many collaborators, and has taken a lot of time to get
5 everything together. The objectives of the study were to
6 detect circulating endogenous porcine retrovirus or
7 antibodies to PERV in subjects who had been in intimate
8 contact to living porcine tissue. So the first aim was to
9 search for evidence of transmission of PERV in those who had
10 been in intimate contact.

11 [Slide]

12 The secondary aims were to search for horizontal
13 transmission or human to human transmission of PERV in
14 intimate contacts of patients who had been found to be
15 positive for PERV by either antibody or PCR screen.

16 Then we also looked retrospectively to see if
17 there were any unusual signs or symptoms in patients who had
18 been found to be positive by PCR or antibody screen.

19 Finally, in those who had been found to be
20 infected we would then look to see at the source pig line,
21 the sequence of the PERV and compare it to the PERV that we
22 would find in the patient.

23 [Slide]

24 The methods -- after a informed consent we
25 obtained a short medical history and a questionnaire on

1 exposure to pig tissue. Peripheral blood mononuclear cells
2 and serum were prepared and aliquoted at the sites, frozen
3 at minus 70 and then shipped on dry ice to the testing labs.

4 [Slide]

5 In terms of patient samples, we have 100 patients
6 that come from St. Petersburg, Russia where they have a
7 particular extracorporeal splenic perfusion for what they
8 call immunotherapy. This is usually severe burns or cancer.
9 These patients had their perfusion either on the same day as
10 when we took the sample or up to almost ten years before.

11 We had 28 patients who were treated with the
12 HepatAssist device that you heard Zorina Pitkin talk about
13 earlier today. These patients come from the United States,
14 France and Israel. We had 15 patients who had skin grafts up
15 to 10 years ago, from Germany. Then there were 14 patients
16 who had received pig islet cell transplants, and 9 of them
17 were in association with a kidney transplant, and these
18 patients have been immunosuppressed since. These come from
19 Sweden and Auckland, New Zealand. We then have 2 patients
20 who participated in an experiment with extracorporeal kidney
21 perfusion. These come from Sweden. These lasted for 15
22 minutes and 65 minutes. Finally, we have 1 patient from
23 Montreal, Canada who received extracorporeal perfusion
24 through a whole pig liver for about 4.5 hours before having
25 a successful liver transplant.