

UNITED STATES OF AMERICA
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH
VACCINES AND RELATED BIOLOGICAL PRODUCT
ADVISORY COMMITTEE

MEETING

FRIDAY, FEBRUARY 17, 2006

The meeting was held in Conference Rooms A&B and C, Building 29B of the NIH campus, 8800 Rockville Pike, Bethesda, MD, at 1:00 p.m., Ruth A. Karron, Acting Chair, presiding.

PRESENT:

- | | |
|---------------------------------|-------------------------------------------------|
| CHRISTINE WALSH, R.N. | EXECUTIVE SECRETARY |
| RUTH A. KARRON, M.D. | ACTING CHAIR |
| MONICA M. FARLEY, M.D. | VOTING MEMBER
(via teleconference) |
| PHILIP LARUSSA, M.D. | VOTING MEMBER
(via teleconference) |
| STEVEN SELF, Ph.D. | VOTING MEMBER
(via teleconference) |
| BONNIE WORD, M.D. | VOTING MEMBER
(via teleconference) |
| JOHN MODLIN, M.D. | VOTING MEMBER
(via teleconference) |
| WALTER ROYAL III, M.D. | VOTING MEMBER
(via teleconference) |
| CINDY LYN PROVINCE, RN, MSN, MA | CONSUMER REPRESENTATIVE
(via teleconference) |
| SETH HETHERINGTON, M.D. | INDUSTRY REPRESENTATIVE
(via teleconference) |

2660 6 MAR -7 P2:55

This transcript has not been edited nor corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

OPEN

2006-4207T1

PRESENT: (continued)

ROBERT COUCH, M.D.	TEMPORARY VOTING MEMBER (via teleconference)
THEODORE EICKHOFF, M.D.	TEMPORARY VOTING MEMBER (via teleconference)
BRUCE GELLIN, M.D., MPH	TEMPORARY VOTING MEMBER (via teleconference)
WAYNE HACHEY, D.O., MPH	TEMPORARY VOTING MEMBER (via teleconference)
PAMELA McINNES, DDS, MSC	TEMPORARY VOTING MEMBER (via teleconference)
MELINDA WHARTON, M.D., MPH	TEMPORARY VOTING MEMBER (via teleconference)
NORMAN BAYLOR, PhD	FDA
KAREN MIDTHUN, M.D.	CBER/FDA

SPEAKERS:

NANCY COX, Ph.D.	Centers for Disease Control and Prevention (via teleconference)
JERRY WEIR, Ph.D.	CBER/OVRR
ZHIPING YE, M.D., PhD	CBER/OVRR/LPRVD
ALBERT THOMAS	sanofi pasteur (via teleconference)

I-N-D-E-X

	<u>PAGE</u>
Call to Order (Ruth A. Karron, M.D., Chair)	
Administrative Matters (Christine Walsh, R.N., FDA)	4
<u>Open Committee Discussion: Strain Selection for Influenza Virus for the 2006-2007 Season</u>	
Introduction (Dr. Jerry Weir, FDA)	14
U.S. and World Surveillance and Strain Characterization (Dr. Nancy Cox, CDC)	22
Vaccine Responses (Dr. Zhiping Ye, FDA)	55
Availability of Strain and Reagents (Dr. Zhiping Ye, FDA)	68
Comments from Manufacturers (Albert Thomas, sanofi pasteur)	72
Open Public Hearing	87
Strain Selection Options (Dr. Jerry Weir, FDA)	91
Influenza A(H5N1) Viruses Update (Dr. Nancy Cox, CDC)	118
Adjournment	136

P-R-O-C-E-E-D-I-N-G-S

(1:08 p.m.)

1
2
3 MS. WALSH: Good afternoon. I am
4 Christine Walsh, the Executive Secretary for today's
5 meeting of the Vaccines and Related Biological
6 Products Advisory Committee.

7 I would like to welcome you all to this
8 Advisory Committee meeting. There is a speakerphone
9 for public participation located here in Conference
10 A&A of Building 29B on the NIH campus.

11 This afternoon's session will consist of
12 presentations and committee discussions that are open
13 to the public. Michele, can you please remove the
14 "Listen only" so I can do roll call now. Thank you.

15 At this time I would like to introduce the
16 Committee members and ask that you acknowledge by
17 saying Present.

18 The Committee Acting Chair, Dr. Ruth A.
19 Karron, Professor, Department of International Health,
20 Johns Hopkins School of Hygiene and Public Health.

21 ACTING CHAIR KARRON: Present.

22 MS. WALSH: Dr. Monica M. Farley,

1 Professor of Medicine, Department of Medicine, Emory
2 University School of Medicine.

3 DR. FARLEY: Present.

4 MS. WALSH: Dr. Philip S. LaRussa,
5 Professor of Clinical Pediatrics, Columbia University.

6 DR. LaRUSSA: Present.

7 MS. WALSH: Our Consumer Representative,
8 Cindy Lyn Province, R.N., M.S.N., Associate Director,
9 Bioethics Center of St. Louis.

10 MS. PROVINCE: Present.

11 MS. WALSH: Dr. Steven Self, Professor,
12 Department of Biostatistics, University of Washington.

13 DR. SELF: Present.

14 MS. WALSH: Dr. Walter Royal III,
15 Associate Professor, Department of Neurology,
16 University of Maryland School of Medicine.

17 DR. ROYAL: Present.

18 MS. WALSH: Our Industry Representative,
19 Dr. Seth Hetherington, Chief Medical Officer and Vice
20 President, Clinical Development, Inhibitex,
21 Alpharetta, Georgia.

22 DR. HETHERINGTON: Present.

1 MS. WALSH: Dr. Bonnie M. Word, Assistant
2 Professor of Pediatrics, Baylor College of Medicine,
3 Texas Children's Hospital. Dr. Word, are you on the
4 line?

5 Dr. John Modlin, Professor of Pediatrics,
6 Dartmouth Hitchcock Medical Center. Dr. Modlin, are
7 you on the line?

8 Now I would like to introduce our
9 consultants and speakers for today's meeting.

10 Dr. Nancy Cox, Influenza Branch, Centers
11 for Disease Control and Prevention.

12 DR. COX: Present.

13 MS. WALSH: Dr. Theodore Eickhoff,
14 Professor of Medicine, Division of Infectious
15 Diseases, University of Colorado, Health Science
16 Center.

17 DR. EICKHOFF: Present.

18 MS. WALSH: Dr. Bruce Gellin, Director,
19 National Vaccines Program Office, Department of Health
20 and Human Services.

21 DR. GELLIN: Present.

22 MS. WALSH: Lieutenant Colonel Wayne

1 Hachey, Director of Deployment Medicine and
2 Surveillance Force, Health Protection and Readiness,
3 Office of the Assistant Secretary of Defense for
4 Health Affairs.

5 LT. COL. HACHEY: Present.

6 MS. WALSH: Dr. Pamela McInnes, Director,
7 Center for Integrative Biology and Infectious
8 Diseases, National Institutes of Dental and Cranial
9 Facial Research, National Institutes of Health. Dr.
10 McInnes did tell me that she may be a little bit late
11 in dialing in today.

12 Dr. Melinda Wharton, Acting Deputy
13 Director of the National Immunization Program, Center
14 for Disease Control and Prevention.

15 DR. WHARTON: Present.

16 MS. WALSH: Dr. Robert Couch, Professor of
17 Medicine, Microbiology and Immunology, Department of
18 Microbiology, Baylor College of Medicine.

19 DR. COUCH: Present.

20 MS. WALSH: Michele, if you can put the
21 lines back on silence mode, that would be terrific, if
22 you could; and could you please tell me when Dr.

1 Bonnie Word and Dr. John Modlin join us?

2 DR. COUCH: Dr. Bonnie Word, I told the
3 operator, is here with Robert Couch. We are at the
4 same site. She is here.

5 DR. MODLIN: And this is John Modlin. I
6 have just joined.

7 MS. WALSH: Okay. Thank you.

8 Now I would like to introduce the
9 Influenza Vaccine Manufacturer that will be
10 participating and speaking at this teleconference:
11 Albert Thomas, Director of Viral Manufacturing, Sanofi
12 Pasteur.

13 MR. THOMAS: Present.

14 MS. WALSH: I would like to thank all
15 Committee members, consultants and manufacturers for
16 taking the time to join us today. I also wish to
17 thank Dr. Karron for agreeing to step into the Acting
18 Chair role for today's meeting.

19 Now I would like to introduce some CBER
20 staff members that will be participating in today's
21 meeting and are currently seated in this room.

22 Dr. Karen Midthun, Deputy Director for

1 Medicine, CBER; Dr. Jerry P. Weir, Director, Division
2 of Viral Products, OVR; Dr. Zhiping Ye, Research
3 Microbiologist, Laboratory Pediatrics and Respiratory
4 Viral Diseases, Division of Viral Products, OVR; and
5 Dr. Norman Baylor will be joining us today also,
6 Director, Office of Vaccines Research and Review.

7 I would like to thank Denise Royster,
8 Committee Management Specialist, VRBPAC Advisory
9 Committee.

10 I would ask that all Committee members
11 speak slowly and clearly each time you speak. We do
12 have a transcriber present who will need your
13 assistance in order to accurately transcribe all
14 comments to the appropriate Committee member.

15 I would now like to read into the public
16 record the conflict of interest statement for this
17 meeting.

18 The Food and Drug Administration is
19 convening today's meeting of the Vaccines and Related
20 Biological Products Advisory Committee under the
21 authority of the Federal Advisory Committee Act, FACA,
22 of 1972. With the exception of the Industry

1 Representative, all members and consultants of the
2 Committee are Special Government Employees, SGEs, or
3 regular Federal employees from other agencies, and are
4 subject to the Federal conflict of interest laws and
5 regulations.

6 The following information on the status of
7 this Advisory Committee's compliance with Federal
8 ethics and conflict of interest laws, including but
9 not limited to 18 USC 208 and 21 USC 355(n)(4), is
10 being provided to today's participants in today's
11 meeting and to the public.

12 FDA has determined that members of this
13 Advisory Committee and consultants of the Committee
14 are in compliance with Federal ethics and conflict of
15 interest laws, including but not limited to 18 USC 208
16 and 21 USC 355(n)(4).

17 Under 18 USC 208 applicable to all
18 government agencies and 21 USC 355(n)(4) applicable to
19 certain FDA committees, Congress has authorized FDA to
20 grant waivers to special Government Employees who have
21 financial conflicts when it is determined that the
22 agency's need for a particular individual's services

1 outweighs his or her potential financial conflict of
2 interest (Section 208) and where participation is
3 necessary to afford essential expertise (Section
4 355).

5 Members and consultants of the Committee
6 who are Special Government Employees at today's
7 meeting, including Special Government Employees
8 appointed as Temporary Voting Members, have been
9 screened for potential financial conflicts of interest
10 of their own as well as those imputed to them,
11 including those of their employer, spouse or minor
12 child, related to discussions on the strain selection
13 for the influenza virus vaccine for the 2006-2007
14 season.

15 These interests may include investments,
16 consulting, expert witness testimony, contracts,
17 grants, CRADAs, teachings, writing, patents and
18 royalties and primary employment.

19 For today's agenda, the Committee will
20 review and discuss the strain selection for the
21 influenza virus vaccine for the 2006-2007 season. In
22 accordance with 18 USC Section 208(b)(3), waivers have

1 been granted to Dr. Robert Couch, Dr. John Modlin, and
2 Dr. Ruth Karron. A copy of the written waiver may be
3 obtained by submitting a written request to the
4 agency's Freedom of Information Office, Room 18A30 of
5 the Parklawn Building.

6 Dr. Seth Hetherington is serving as the
7 Industry Representative, acting on behalf of all
8 related industry, and is employed by Inhibitex, Inc.
9 Inhibitex has licensed a technology to Wyeth for
10 potential unrelated vaccine.

11 In addition, his spouse is employed by
12 GlaxoSmithKline and has a financial interest in her
13 employer. Industry representatives are not Special
14 Government Employees and do not vote.

15 This conflict of interest statement will
16 be available for review at the registration table. We
17 would like to remind members and consultants that, if
18 the discussions involve any other products or firms
19 not already on the agenda for which an FDA participant
20 has a personal or imputed financial interest, the
21 participants need to exclude themselves from such
22 involvement, and their exclusion will be noted for the

1 record. FDA encourages all other participants to
2 advise the Committee of any financial relationship
3 that you may have with the sponsor, its products and,
4 if known, its direct competitors.

5 That ends the reading of the conflict of
6 interest statement. Dr. Karron, I turn the meeting
7 over to you.

8 ACTING CHAIR KARRON: Welcome, everyone,
9 to the annual VRBPAC Influenza Strain Selection
10 meeting. I would particularly like to welcome Dr.
11 John Modlin, our newest VRBPAC member, and our guest
12 speakers and consultants for today.

13 As you know, this is the first influenza
14 strain selection meeting to be conducted by
15 teleconference. After each presentation, you will be
16 able to notify the operator if you have any questions
17 or comments, and she will announce you. Please be
18 patient if there are technical difficulties, and
19 please do ask questions, since I think our discussions
20 are an important part of this process.

21 I also know that all of us would like to
22 hear from Dr. Cox about H5N1 influenza, and we have

1 provided time for a presentation and a brief
2 discussion at the end of the meeting after the strain
3 selection process is completed.

4 Our first speaker for today is Dr. Jerry
5 Weir from the FDA. Dr. Weir.

6 DR. WEIR: Thank you. I will be reading
7 and presenting from my slides that Christine Walsh has
8 sent out to all of the members.

9 To get started, first of all, thank you
10 all for being here, for being on the phone. I am
11 Jerry Weir, the Director of the Division of Viral
12 Products, and I am going to provide the introduction
13 today to the Vaccines and Related Biological Products
14 Advisory Committee.

15 As you know, we are here to discuss the
16 influenza virus vaccine composition for the year 2006-
17 2007. Go to the slide 2.

18 Specifically, we are here to obtain the
19 VRBPAC committee recommendation regarding the
20 selection of influenza A, H1N1 and H3N2 and B virus
21 for the 2007-2006 influenza vaccines for use in the
22 United States. Slide 3.

1 The reason that we change strains of
2 influenza vaccines is to ensure vaccine efficacy.
3 Basically, vaccine efficacy relates to vaccine potency
4 and directly to immunogenicity, as well as to the
5 match of the vaccine hemagglutinin neuraminidase with
6 wild type viruses.

7 As everyone knows, antigenic drift of HA
8 and NA is continuous in influenza A and B viruses. In
9 fact, the first evidence that there was evidence of
10 reduced vaccine effectiveness because of antigenic
11 drift occurred within two years after influenza
12 vaccines were first licensed in the United States.
13 Next slide.

14 Each year when we get together for this
15 annual strain selection committee, we ask ourselves
16 four questions. These are shown on the next slide.

17 First of all, are new drifted or shifted
18 influenza viruses present? Second, are these new
19 viruses spreading in people? Third, do current
20 vaccines induce antibodies against the new viruses,
21 particularly and specifically hemagglutinin? Finally, are
22 strains suitable for vaccines available? This is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 essentially what we will be asking ourselves today.
2 Next slide.

3 To remind everyone, the strains of virus
4 that are in the current vaccine, the 2005-2006 virus,
5 are listed on this slide. Vaccines contain an A/New
6 Caledonia 20/99(H1N1)-like virus, an A/California
7 7/2004(H3N2)-like virus. This was changed from the
8 previous 2004-2005 season, and specifically in the
9 vaccine is an A/New York 55/2004 virus, and the B
10 strain B-Shanghai/361/2002-like virus -- this is of a
11 B/Yamagata/16/88 lineage -- and two viruses
12 specifically were in the vaccines, a B/Jilin/20/2003
13 in the live attenuated virus and a B/Jiangsu/10/2003
14 in the trivalent inactivated vaccine. Next slide.

15 Also, as you know, we now have four
16 licensed manufacturers in the United States, three
17 inactivated influenza vaccines, Sanofi Pasteur,
18 Chiron, GSK, and one live attenuated vaccine
19 manufacturer, Medimmune. Next slide.

20 This slide and the one that will follow
21 show the approximate timelines for vaccine production.
22 Now part of the reason that we are here today is

1 because these timelines for influenza vaccine
2 production are tight and relatively inflexible.

3 If you look at this slide, you see some
4 of the activities that go on during the year and the
5 times during the year at which they take place.
6 Surveillance, of course, takes place throughout the
7 year in both hemispheres, as well as work on new
8 reference strains and reagents.

9 Two times a year, recommendations are made
10 for the composition of the vaccines. This time of the
11 year in February and sometimes as late as March,
12 recommendations are made for the strains to be used in
13 the northern hemisphere for the next year, and that is
14 why we are here today.

15 In the fall of each year, usually around
16 September, recommendations are made for the
17 composition of strains to be included in the southern
18 hemisphere vaccine. Also, you see preparation of new
19 seed viruses takes place throughout the year, and
20 monovalent production begins in January and sometimes
21 continues as late as November of the same year.
22 Trivalent formulation follows this, hopefully, and

1 usually beginning as early as late May/June for
2 distribution of vaccine, hopefully, starting in July,
3 and vaccine usage beginning in September. As I said,
4 this is a very tight time schedule that we all have to
5 deal with.

6 The next slide, in fact, shows an example
7 of how long it actually takes to incorporate a new
8 strain into the vaccine from the time that it is
9 identified at a meeting such as we are in today.
10 There's actually some blue and some black on this
11 slide.

12 The blue represents a new strain change,
13 and you can see, without spending too much time on it,
14 by the time we go through the process of obtaining
15 references viruses, reference reagents, seed virus
16 preparation, staggered production of the three
17 monovalents, release formulation, filling, it can take
18 20 to 24 weeks before a vaccine can actually be
19 distributed.

20 So again, essentially the sooner we can
21 make recommendations, the sooner we can move forward
22 with vaccine production. Next slide.

1 Earlier this week there was a WHO
2 consultation on the composition of vaccines to be used
3 for the northern hemisphere 2006-2007. This occurred
4 on Monday and Tuesday of this week, February 13-14,
5 and on Wednesday, February 15, these results were
6 announced to the public.

7 At this meeting, the WHO discussed the
8 antigenic and genetic characterization of influenza
9 viruses that had been investigated in the WHO
10 collaborating centers for reference and research on
11 influenza.

12 The WHO also reviewed the serological
13 studies with inactivated influenza vaccine and, as I
14 said, on Wednesday issued recommendations for vaccine
15 composition for 2006-2007 northern hemisphere. This
16 information is available on their website, which is
17 listed in this slide, and I think Christine Walsh also
18 sent that to the VRBPAC member separately. Next
19 slide.

20 A list of WHO recommendations that were
21 made earlier this week: It is recommended that
22 vaccines to be used in the 2006-2007 northern

1 hemisphere winter contain the following: An A/New
2 Caledonia 20/99(H1N1)-like virus; an A/Wisconsin
3 67/2005(H3N2)-like virus -- this is a new strain
4 recommendation by the WHO; and finally, a B/Malaysia
5 2506/2004-like virus. This is a new strain
6 recommendation for the northern hemisphere, but it is
7 the same strain that was recommended this past
8 September for use in the southern hemisphere. Next
9 slide.

10 Now as always, it is the responsibility of
11 each national regulatory authority to approve the
12 specific vaccines used in each country. So today we
13 will review the surveillance data, both the
14 epidemiology and the antigenic characteristics of
15 recent virus isolates. Dr. Nancy Cox from the CDC
16 will be presenting that information in the following
17 talk.

18 We will also review serological responses
19 to current vaccines. Dr. Zhiping Ye from CBER, the
20 Food and Drug Administration, will review that data,
21 and Dr. Ye will also present information concerning
22 the availability of candidate strains and reagents.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We will also hear from the manufacturers in a brief
2 presentation.

3 Then we will ask the Committee to discuss
4 which strains should be recommended for the antigenic
5 composition of the 2006-2007 influenza virus vaccine.

6 Now I will stop here. There are two more
7 slides on my presentation, but I will come back to
8 those before the Committee begins deliberations and
9 discussion. I will turn it back over to Dr. Karron.

10 ACTING CHAIR KARRON: Thank you, Dr. Weir.
11 Are there questions for Dr. Weir?

12 MS. WALSH: Excuse me, Michele. Can you
13 turn everyone on -- remove the silent?

14 I think at this time we will move on to
15 our next speaker, Dr. Nancy Cox from the CDC. Dr.
16 Cox.

17 DR. COX: Yes.

18 MS. WALSH: Excuse me. Dr. Cox, before
19 you start, this is Christine. I will be running your
20 slide show from the projector that I have in the room
21 here. So if you could just say "next slide," and for
22 all the members, that would be very helpful.

1 DR. COX: Okay. Thank you very much.

2 I would like to have everyone turn to page
3 2, the second slide where we have acknowledged all the
4 people from CDC who have contributed to the
5 information that we are presenting here today. Next
6 slide, please.

7 First, I am going to briefly review U.S.
8 surveillance data. I would like to preface my
9 specific remarks with a comment that we have had a
10 relatively mild and moderate influenza season. Of
11 course, it varies state by state and region by region,
12 but as I go through the slides you will see how
13 relatively mild this season has been compared to the
14 last two influenza seasons.

15 So on page 4, or slide 4, you will see
16 that we have had predominantly influenza A viruses
17 reported to us through the WHO and the NREVSS
18 Collaborating Laboratories. Of the influenza A
19 viruses that have been subtyped, the majority are
20 influenza A(H3N2).

21 I will just make a comment here that,
22 while not all of the H1N1 viruses that have been

1 identified, or all the H1 viruses that have been
2 identified have had their neuraminidases subtyped.

3 All that have, both from the United States and from
4 abroad, have been H1N1 viruses, and this is true for
5 all of the four collaborating centers. So it may be
6 that H1N2 viruses have ceased to circulate.

7 We have, in addition, had relatively small
8 numbers of influenza B viruses reported in the United
9 States. The black line that you see represents the
10 percent positive of the total number of respiratory
11 virus specimens that are sent in to the states for
12 analysis or are isolated by the state health
13 departments.

14 You can see that we haven't really reached
15 15 percent positive; whereas, in some years we have
16 reached a percent positivity of between 20 and 30
17 percent. Next slide, please.

18 The next slide shows that the sentinel
19 physicians who have reported to us -- and we have
20 approximately 1,000 sentinel physicians who report on
21 a weekly basis; we have about 2,000 enrolled, and of
22 those 2,000 about half report each week. You can see

1 the red line in the graph, which shows the relative
2 influenza activity this year compared to the activity
3 the previous two years.

4 Of course, you will remember that during
5 the 2003-2004 season we had a very early season, and
6 then last year we had a relatively late season. This
7 year, we saw a small peak of influenza-like illness
8 reported by the sentinel providers in week 52 with a
9 subsequent overall national decline in activity and a
10 little bit of an increase again in week four.

11 We are still not very far above the
12 baseline which at this time of year is just slightly
13 above two percent. Next slide, please.

14 This slide on page 6 shows the pneumonia
15 and influenza mortality for the 122 U.S. cities. This
16 shows cumulative data up to the week ending the 4th of
17 February, and the most recent data are consistent with
18 mortality being below the baseline.

19 So we really haven't seen any excess
20 deaths attributed to pneumonia and influenza this
21 year, in contrast to the previous years and,
22 certainly, in contrast to the 2003-2004 season where

1 we saw a very substantial peak of excess deaths. Next
2 slide, please.

3 We have added some new components to our
4 surveillance system, as you probably know if you have
5 been reading our weekly reports on the web; and slide
6 7 shows the laboratory confirmed cumulative
7 hospitalization rates for children aged zero to four
8 and, separately, five to 17 years of age for the 2005-
9 2006 season in red, for the 2003-2004 severe season in
10 blue, and for the 2004-2005 season in green.

11 What you can tell very clearly is that the
12 current season is less severe in terms of
13 hospitalization of children in both age groups and, of
14 course, in 2003-2004 we had substantial
15 hospitalization and mortality in young children. Next
16 slide, please.

17 We also have a second system which looks
18 at laboratory confirmed cumulative hospitalization
19 rates, this time just for children zero to four years
20 of age. This is a very intensive surveillance
21 network, much more limited than the previous one, and
22 it is an active surveillance where all the children

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are swabbed, and PCR is used to try to determine the
2 cause of respiratory illness.

3 Once again, you will see the current
4 season shown in red, and it is -- the hospitalization
5 is lower than in previous years. You will once again
6 note that in blue you see the very substantial
7 hospitalization of children less than four years of
8 age during the 2003-2004 season. Next slide, please.

9 This slide shows the level of activity in
10 the United States in each state, and in contrast to
11 some years where we have seen almost the entire map
12 turn red at some point in the season, this year we
13 have seen activity starting primarily in the west and
14 southwestern part of the United States. Now we are
15 seeing widespread activity on the east coast, a good
16 bit of regional activity in the southeast, in the
17 midwest and on the west coast as well.

18 In addition, there is some local activity
19 in certain states, but again this has been a
20 relatively mild season when we look state by state.
21 Next slide, please.

22 I will be talking about influenza A(H1)

1 viruses. First, and on the slide on page 11 you will
2 encounter the first hemagglutination inhibition table,
3 and I will walk you through this fairly slowly and
4 carefully, for those of you who are less accustomed to
5 looking at hemagglutination inhibition data.

6 In the top part of the chart we have our
7 reference antigens, and going from reference antigen
8 1 through 6, we have developed post-infection ferret
9 serum for each of these reference antigens, and we do
10 a hemagglutination inhibition test where we look for
11 the homologous titers -- that is the titer of
12 hemagglutinating antibody -- hemagglutination
13 inhibition antibody that you see for each of the
14 reference antigens diagonally in red and underlined
15 across the top part of the chart.

16 You will note that, for different
17 antigens, you get different homologous titers, and
18 that is because some HAs are intrinsically more
19 immunogenic than others, and you will note that we
20 have highlighted the second column, which is the
21 column that represents reactions between the New
22 Caledonia antiserum and all of the reference antigens

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and the test antigens.

2 Now what we are looking for in terms of
3 antigenic variation is a reduction in titer of
4 fourfold or greater compared to the homologous titer
5 for that reference virus. So for the New Caledonia
6 virus, which is the reference virus which has been in
7 the vaccine for a number of years, we see we have a
8 homologous titer of 1280, and you can see that even
9 within our reference antigen panel, we have two
10 antigens, the Hawaii/15 and the Jiangxi/160, which
11 have titers of 40, which is certainly a significant
12 reduction.

13 We have over the course of time since the
14 New Caledonia strain was chosen to be a vaccine strain
15 seen occasional isolates with reductions in titer as
16 compared with a homologous titer. But there has been
17 no distinct pattern, and the proportion of viruses
18 that have reduced titers has remained low over time.

19 Now if you look at the test antigens, you
20 will see the first two test antigens are viruses from
21 the United States, one from Arizona and one from
22 Pennsylvania. The remainder of the viruses on that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 particular table are from Asia. All of the isolates
2 that are shown in this particular test have collection
3 dates between the middle of October and early January.
4 So they are relatively recent viruses.

5 The reason that the majority of the
6 viruses in this table are from Asia is that Asia has
7 had more H1N1 activity to date than other parts of the
8 world, and many of the most recent viruses are from
9 Asia. So we have put this data before you today.

10 Now you notice that the majority of the
11 viruses shown on this table are well inhibited by
12 antiserum to the New Caledonia, but you will see that
13 there are some viruses at the bottom of the table
14 which are less well inhibited by antiserum to the New
15 Caledonia virus, and there is one at the very bottom
16 which is from Korea, the Daejeion virus, which has a
17 titer of only 40 against the New Caledonia serum, but
18 a titer of 320 against the Jiangxi/160 serum. But it
19 is relatively rare to see viruses that have low titers
20 within the New Caledonia that actually have higher
21 titers with any of the newer sera that we have
22 developed and, of course, we have simplified our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 table.

2 We have put many more viruses into ferrets
3 than you can see here, and I will make some additional
4 comments about differentiating viruses when I get to
5 the evolutionary tree. But now I would like to move
6 on to the next slide, please, Slide 12, where we have
7 our frequency table showing for the period April 2005
8 to September 2006 -- sorry, April 2005 to September
9 2005, that all of the viruses that we tested from
10 North America, Europe, Asia, Central and South
11 America, Australia, New Zealand and Africa were New
12 Caledonia-like.

13 We have had a few, as I mentioned, lower
14 reacting viruses during the most recent period,
15 October 2005 to January 2006. So that now we have a
16 total of only 12 or 16 percent out of the total of 77
17 viruses isolated during this period that have
18 reductions in titer. We should go to the next slide,
19 please.

20 Here on this slide you are looking at the
21 evolutionary relationships among the HA genes of the
22 H1 viruses. I hope you are looking at this in color.

1 You will see the vaccine strain, New Caledonia, in
2 red. In blue you will see a number of the egg
3 isolates that we have obtained through the egg CRADA,
4 and you can see that we have really quite a large
5 number of egg isolates.

6 Then you will also notice that we have
7 shown amantadine resistant and amantadine sensitive
8 viruses by symbols. There is a circle with an "R,"
9 indicating that the virus has been tested and is
10 resistant to amantadine, and amantadine sensitive
11 where you have green squares and an "S" in the middle,
12 and that indicates that the virus has been tested and
13 is sensitive. For the viruses with no symbols behind
14 them, we haven't yet tested the viruses.

15 What you will notice about the
16 evolutionary tree is that the virus -- the HAs of the
17 H1 viruses that are circulating are falling into two
18 distinct sub-lineages, not nearly as distinct as the
19 D sub-lineages, but you can see that there are a
20 number of amino acid changes between viruses in the
21 upper and lower parts of the dendrogram. However, I
22 would like to emphasize that, in spite of the fact

1 that we do see some low reactors in both the upper and
2 the lower parts of the dendrogram, and those low
3 reactors are indicated by LR, and by low reactor I
4 mean a virus that has an HAI antibody titer that is
5 reduced by fourfold or greater compared to the
6 homologous titer for the New Caledonia vaccine strain.

7 We have low reactors in both parts of the
8 dendrogram and, furthermore, we cannot or have not yet
9 been able to antigenically distinguish viruses in the
10 upper and lower parts of the dendrogram. So you will
11 see both viruses that are inhibited very well by the
12 New Caledonia antiserum in the upper and the lower
13 parts of the dendrogram, and viruses that are less
14 well inhibited in both the upper and lower parts of
15 the dendrogram.

16 It does appear to us when we have done a
17 timed series looking at where the most recent viruses
18 are that they are tending to cluster in the lower part
19 of the dendrogram, although you will indeed see
20 viruses that were isolated during 2005 in the upper
21 portion of the dendrogram. Next slide, please.

22 Likewise, the neuraminidase genes of

1 currently circulating viruses actually separate less
2 clearly, but still you can see that there are two
3 groups, and they share different amino acid changes.
4 The egg isolates -- Once again, we have fewer data for
5 neuraminidase, because we don't sequence as many
6 neuraminidase genes as we do HA genes, but we do try
7 to keep up with the primary reference viruses and some
8 key egg isolates which might possibly, if needed,
9 become vaccine candidates.

10 You will see that there are two groups,
11 one represented by Shenzhen/141 at the bottom and
12 another represented by Kentucky/1 at the top, and
13 those neuraminidases tend to segregate with the HAs,
14 as I said before.

15 I am going to skip over slide 15, because
16 the serology data will be discussed by Dr. Zhiping Ye
17 later on.

18 Ruth, would you like me to stop here and
19 ask for questions or shall I go through my
20 presentation and take all questions at the end?

21 ACTING CHAIR KARRON: Why don't you go
22 through the whole presentation, Nancy, and then we

1 will take questions at the end.

2 DR. COX: Okay, that sounds good.

3 Moving right along to the H3N2 viruses, if
4 you will look at Slide 17, you will see that there is
5 an HI table that looks fairly complex, because we have
6 different color coding, and we have some additional
7 information at the top right under where it says
8 "reference ferret antisera."

9 You can see that there is a blue line over
10 the antigens Hong Kong/2831, Anhui/1239 and
11 Hiroshima/52 and Wisconsin/67. In that blue bar it
12 says: 193F plus 225N. These are two amino acid
13 changes that are signature changes for the majority of
14 the viruses that we are seeing now.

15 The first column is the column for the
16 vaccine strain, the recommended vaccine strain
17 California/704. We made a recommendation that the
18 vaccine strain be California/704-like.

19 In the second column you will see the
20 actual vaccine reassortant that was used, and this is
21 the New York/5504 PRA reassortant X-157. So what we
22 can see is that we have a homologous titer of 640, and

1 there are a number of viruses that have titers of 160,
2 which is a fourfold reduction compared to the
3 homologous California/7 titer.

4 Likewise, if we look at the homologous
5 titer for the New York reassortant virus, we see that
6 it is 1280 and that there are really quite a number of
7 viruses that have a four- to eightfold reduction in
8 titer as compared to the homologous New York/55
9 titer.

10 I would like to point out that the
11 Wisconsin/67 virus on the far right has a homologous
12 titer of 1280 with its antiserum, and that -- and to
13 just point out that it does a relatively better job at
14 covering the currently circulating strains than either
15 the California serum or the New York serum. You can
16 see that we have higher titers along the righthand
17 side of the table than we do on the lefthand side.

18 Represented here, please note that we have
19 a number of viruses from the United States. We have
20 a couple from Mexico. We actually had quite a number
21 of viruses from Mexico that we were able to analyze
22 before the vaccine strain selection meeting from the

1 National Influenza Center in Mexico, and that was
2 indeed very good.

3 The rest of the viruses are all from Asia
4 except for the last one, which is from Italy. You
5 will notice that the viruses, antigens Number 24, 25,
6 and 26, are all from Mongolia, and all of those
7 viruses have somewhat reduced titers to the California
8 and New York antisera. And I would just like to point
9 out that these are relatively recent viruses with some
10 of them being fairly recent, I guess. Most of them
11 are from December.

12 On Slide 18 we have a frequency table
13 which shows that, during our summer season, the winter
14 season and the summer at southern hemisphere from
15 April 2005 to September 2005, that we were seeing
16 approximately 27 -- sorry, 20 percent of 346 viruses
17 characterized that had a fourfold or greater reduction
18 in titer to both the California and the New York/55
19 reference strains; and we have used fairly strict
20 criteria here. We had to have reductions to both the
21 California and the New York antisera.

22 We noted that there had been an increase

1 this year in the proportion of viruses with reductions
2 in titer, though for the period between October 2005
3 and the current time we have seen 28 percent of 200
4 viruses that were characterized with reductions in
5 titer that were fourfold or greater.

6 If we could move on to slide 19, please:
7 Here we are looking at what I referred to before in
8 terms of the coding at the top of the HI table. This
9 is a dendrogram showing the evolutionary relationships
10 among the HA genes of H3 viruses.

11 Once again, you will note that all of the
12 HAs representing the viruses listed in blue have egg
13 isolates. So we have a large number of egg isolates
14 this year, thanks to the egg CRADA, and we also have
15 focused a lot of attention on determining whether the
16 currently circulating strains were resistant to
17 amantadine and rimantadine.

18 You will see that on this particular slide
19 the amantadine resistant viruses are designated by the
20 blue triangles, while the sensitive viruses are
21 designated by the green triangles.

22 You will see that the majority of the

1 viruses are in that top group -- the majority of the
2 current viruses, the 2005 viruses, that we have been
3 seeing in the United States and around the world are
4 in that top group which share the serine, the
5 phenylalanine change at 193 and the aspartic acid to
6 asparagine change at 225; and we noted this group of
7 viruses first emerged in Asia, particularly in China
8 and Hong Kong, and now this group of -- this genetic
9 group of viruses is predominating worldwide and, of
10 course, the majority of these viruses are resistant to
11 amantadine and rimantadine.

12 If you would note that the vaccine strain,
13 the current vaccine strain, A/California/7/2004,
14 which is shown in red and with a box around it, is
15 amantadine sensitive, and the majority of the viruses
16 last year were rimantadine and amantadine sensitive.
17 Next slide, please.

18 Here you will see the evolutionary
19 relationships among the N2 neuraminidase genes. There
20 is not so much variation among the neuraminidase genes
21 of currently circulating viruses.

22 The majority of recent strains have been

1 in that top group where you see Wisconsin/67, our
2 reference strain, Hiroshima/52, another reference
3 strain, and all of these current viruses share the
4 aspartic to asparagine change at amino acid 93 in the
5 neuraminidase.

6 I don't have very much more to say about
7 the neuraminidases. They just haven't changed very
8 dramatically. Next slide, please. We will skip the
9 serology, because I believe Zhiping will be covering
10 that. So we will move on to the influenza B viruses
11 and start with Slide 23.

12 This chart is a compilation of data which
13 was provided by WHO Collaborating Centers in Atlanta,
14 London, Melbourne and Tokyo. So we put all of our
15 data together, and I would like to thank Sasha Klimov
16 for putting this slide together while I was gone to
17 Geneva.

18 We also took reports from the European
19 Influenza Surveillance Scheme which are posted on
20 their website and from the National Influenza Center
21 in Canada which sends us weekly reports.

22 I just wanted to demonstrate here that

1 H3N2 viruses have predominated in reports worldwide,
2 and they have constituted 45 percent of the influenza
3 isolates that have been characterized between October
4 2005 and the current time.

5 H1N1 viruses have made up a smaller
6 proportion, of course, but still there have been a
7 number of isolates, and they constitute 19 percent of
8 the total influenza viruses characterized. But I want
9 now especially to focus on the influenza B viruses,
10 because as you know from participating during the past
11 few years, that we have two very distinct lineages of
12 influenza B viruses circulating worldwide.

13 They are represented by the reference
14 strains, Yamagata and Victoria, and we refer to these
15 distinct antigenic and genetic lineages as the
16 B/Yamagata and the B/Victoria lineages.

17 You will note that the B/Victoria lineage
18 is predominating at the current time, and so 27
19 percent of the current influenza viruses are of the
20 B/Victoria lineage, as opposed to 9 percent of the
21 total influenza viruses being B/Yamagata lineage
22 viruses; and it is actually about a third of the B

1 viruses being B/Yamagata, and about two-thirds are
2 B/Victoria lineage viruses. Next slide, please.

3 I would like to thank my WHO colleague,
4 Wenqing Zhang, for compiling the next graph which
5 shows the number of influenza B viruses characterized
6 and reported to Geneva headquarters monthly from
7 September 2005 through January 2006.

8 In black you will see the B/Yamagata
9 lineage viruses represented. In pink you will see the
10 B/Victoria viruses represented, and you can see that
11 in September Victoria viruses predominated. There was
12 a switchover in October and November where Yamagata
13 lineage viruses predominated by a small margin, very
14 small margin when you look at the numbers worldwide,
15 and now you see that, once again, the Victoria lineage
16 is predominating.

17 Dr. Alan Hay, my colleague from the WHO
18 Collaborating Center in London, mentioned that
19 approximately 400 outbreaks in schools had been
20 reported in the UK. There were influenza B outbreaks
21 in the schools, and as far as the viruses had been
22 characterized to date, they had been B/Victoria

1 viruses that were causing these outbreaks.

2 So if you go to the next slide on page 25,
3 you will see an HI table of Type B influenza viruses,
4 and you will note that you can very easily and very
5 distinctly distinguish the Yamagata lineage viruses
6 shown on the righthand side of the table and
7 represented by Shanghai/361/2002 and B/Florida/7
8 reference strains. Those are highlighted in yellow.

9 On the righthand side of the table you
10 will see four strains, starting with Shanghai/7, Hong
11 Kong/310, Malaysia/2506/ and Ohio/1, which represent
12 the B/Victoria lineage.

13 You will note that the titers of the
14 Yamagata lineage viruses have become somewhat reduced
15 to the Shanghai/361 reference virus which was
16 recommended for inclusion. Shanghai, a 361/like
17 virus, was recommended for inclusion in the previous
18 Yamagata lineage vaccine strain.

19 The Florida/7 virus, which is an egg
20 isolate, induces antibodies which actually cover the
21 currently circulating B/Yamagata viruses very well.

22 On the righthand side you will see that

1 titers are reduced, especially to some of the viruses

1 in the lower part of the table, to the Shandong/7 and
2 Hong Kong/310 reference ferret antisera, and you will
3 see that, in our hands at least, antiserum to the
4 Ohio/1 virus covers recently circulating influenza B
5 viruses on the Victoria lineage shown in the green
6 block. Here we have represented viruses from the
7 U.S., from Asia and from the United Kingdom.

8 We will go on to the next slide, which
9 shows data from the WHO Collaborating Center in
10 Melbourne, Australia. They are seeing a similar
11 pattern, only they switched their viruses around, and
12 they have the B/Victoria viruses on the lefthand side
13 of the table and the B/Yamagata lineage viruses on the
14 righthand side of the table.

15 Just going to the B/Yamagata-like viruses,
16 you will see that their Florida antiserum, like ours,
17 covers the currently circulating Yamagata lineage
18 viruses quite well, and that if you move to the
19 lefthand side of the table and look at the Victoria
20 lineage viruses, you will see that the Malaysia/2506
21 and Ohio/1/2005 antisera cover currently circulating
22 B/Victoria lineage viruses quite well compared to the

1 Brisbane/32, which was their vaccine strain
2 previously.

3 If you move on to the frequency table on
4 Slide 27, you will see that the viruses that have
5 circulated during the southern hemisphere winter
6 season have been predominantly Victoria lineage or
7 Hong Kong-like and low to Hong Kong, primarily low to
8 Hong Kong/330, and a minority were on the Yamagata
9 lineage.

10 The same holds true, even though there are
11 relatively fewer viruses analyzed, actually many fewer
12 viruses analyzed during the most recent period,
13 October 2005 to December 2005. You will see the
14 majority of the viruses are in the Victoria lineage
15 and represented by Hong Kong/330, and they are low to
16 Hong Kong; and of the viruses that were on the
17 Yamagata lineage, most of them were like the
18 B/Florida. Next slide, please.

19 Here we have demonstrated the evolutionary
20 relationships among the HAs of the B/Yamagata lineage
21 viruses. If we were to put the B/Yamagata and
22 B/Victoria viruses on the same dendrogram, you would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 see that they have really drifted very far apart.

2 The reference antigens are pointed out
3 with the black stars. The egg isolates, once again,
4 are shown in blue with a hash-mark behind. The
5 vaccine strain is shown toward the bottom of the
6 dendrogram in red and a box, Shanghai/361/2002. You
7 can see that the viruses have moved on somewhat, but
8 remember that the Yamagata lineage is in the minority.

9 The Florida virus is quite representative
10 of the strains that are circulating at the current
11 time. Next slide, please.

12 Now you are looking at the evolutionary
13 relationships among influenza B viruses on the
14 Victoria lineage. Again, we have the egg isolates
15 shown in blue, and we have a large number of them.

16 We can see here that there are two
17 genetically distinguishable groups, one at the bottom
18 of the dendrogram represented by the
19 Malaysia/2506/2004 which is the vaccine strain that
20 was chosen for the southern hemisphere for next year,
21 and a group at the top which is represented by the
22 Ohio/1/2005 virus.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 As you can recall from the previous HI
2 tables, we have not been able to distinguish these
3 viruses by antigenic means. So in spite of the fact
4 that we have seen these genetic changes between the
5 Ohio/1 and the Malaysia/2506, we have not been able to
6 distinguish viruses in these two parts of the
7 dendrogram using ferret -- post-infection ferret
8 antisera.

9 The next slide shows the evolutionary
10 relationships of the neuraminidase genes and, as you
11 may recall, there was a reassortment event that
12 occurred between viruses on the Yamagata and Victoria
13 lineages sometime ago, and we have indicated the
14 neuraminidases along the right with a bar that says
15 SHD-SIC reassortants.

16 You will see that at the bottom of the
17 dendrogram you have the Florida/7 neuraminidase
18 represented, and that is a virus of the Yamagata
19 lineage. Then you can see the Malaysia and Ohio virus
20 neuraminidases represented by the majority of the
21 neuraminidases in that region where we saw
22 neuraminidases of the reassortant viruses.

1 Okay. If you would move on to the next
2 slide, we will skip that, because that shows the
3 serology in the pediatric populations, and I think
4 Zhiping will be going through that data later. I will
5 stop there, because the last part of my presentation
6 concerns H5N1 viruses, and we will be covering those
7 viruses at the very end of our discussions. Thank
8 you.

9 ACTING CHAIR KARRON: Thank you, Nancy.
10 We can get questions and, while we are waiting for
11 some questions, I have one for you, Nancy, which is:
12 I was wondering if you could contrast our situation
13 with B/Victoria and B/Yamagata this year as compared
14 with last year.

15 DR. COX: Yes. I think I would
16 characterize it as being clearer in terms of
17 directionality than last year. We have had -- At
18 least for us in the United States, we have had
19 relatively little B activity in the United States.

20 So I think that that indicates that we
21 could have B activity next year; and because the
22 majority of B activity in Asia and Europe is clearly

1 B/Victoria lineage activity, now which is either at
2 the peak or somewhat late in the season, I think that
3 we are much more likely to have B/Victoria activity
4 next year based on previous patterns.

5 I would say that with a caveat, that
6 influenza viruses are inherently unpredictable, but
7 just in terms of the patterns we have seen before, I
8 would say that the directionality is a bit more clear
9 to me than it has been sometimes in the past.

10 ACTING CHAIR KARRON: Thank you. Are
11 there questions for Dr. Cox?

12 MS. WALSH: Excuse me, Michele. Is the
13 silence mode off?

14 OPERATOR: No. Thank you. Once again,
15 for the questions press *1, please. We do have a
16 question from Philip LaRussa. Your line is open.

17 DR. LaRUSSA: Dr. Cox, you may have
18 mentioned this, but is the percentage of A strains
19 that were fully characterized the same or different
20 than it is in previous years?

21 DR. COX: It is actually greater than in
22 previous years.

1 DR. LaRUSSA: Thank you.

2 OPERATOR: Thank you. Robert Couch, your
3 line is open.

4 DR. COUCH: I have two questions, Nancy.
5 You can answer both of them. One is: Has H1N1 been
6 the dominant virus in any of the outbreaks or any of
7 the populations from which you have sampling from
8 Asia? Do we have it as the dominant outbreak virus
9 anywhere?

10 The second question is: In looking at the
11 B dendrogram, you note that Christchurch is way out to
12 the right and Wellington not far from it, and they had
13 a sizeable B outbreak, is my recollection. Are their
14 viruses still covered by the ferret serum fairly well?

15 DR. COX: Okay. Your first question, was
16 H1N1 dominant in any countries? Yes. H1 was the
17 dominant strain isolated in China, and I believe
18 Taiwan, but they didn't have particularly severe
19 outbreaks, of course.

20 Then let's see, let me flip back.

21 DR. COUCH: Christchurch.

22 DR. COX: Would be Christchurch. Their

1 viruses were -- Okay. Their viruses were actually
2 well inhibited by their antiserum to Malaysia/2506.

3 DR. COUCH: Thank you.

4 OPERATOR: Next question, Monica Farley,
5 your line is open.

6 DR. FARLEY: Thank you. Nancy, on page
7 27, the table of the influenza B isolates that have
8 been characterized by CDC: At the bottom half, the
9 more recent isolates, it looks -- Is it not showing
10 that more of the isolates were actually Yamagata?

11 DR. COX: It is, for the U.S. So this is
12 just -- So if you look -- The numbers are really
13 small. I didn't go through the table very carefully.
14 I sort of skipped over it. The numbers are very
15 small, and the majority of them are from the U.S., but
16 when you look globally, you see a very different
17 pattern, as shown on --

18 DR. FARLEY: The top of the table?

19 DR. COX: Yes. Well, as shown on the
20 table on page 23, Slide number 23, where when you look
21 globally, you see that the numbers are much greater.
22 You have 453 influenza B viruses, and about two-thirds

1 of them are B/Victoria, and also that the most recent
2 isolates that haven't even been characterized yet from
3 the school outbreaks, or hadn't been tested -- they
4 had been -- it had been determined if they were
5 Victoria or Yamagata lineage, but they hadn't been
6 fully characterized using post-infection ferret sera,
7 though they are B/Victoria-like.

8 DR. FARLEY: Thank you.

9 DR. COX: So I just would like to
10 emphasize that what we are looking toward is what we
11 are likely to see next year and, even though -- and we
12 have had relatively few B viruses in the United
13 States, and even though if you look at the United
14 States, the majority of them are -- sorry, eight out
15 of 11 are Yamagata lineage viruses, that's not true
16 globally, and the n is very small.

17 OPERATOR: Next question, Theodore -- I'm
18 sorry, he has disconnected, and once again for
19 questions press *1, please. Robert Couch, your line
20 is open.

21 DR. COUCH: Well, Nancy, just a comment.
22 I hear you saying what we hope the sequence will be,

1 but on the other hand, we hope the sequence for this
2 winter would be a Yamagata derivative, and yet
3 Victoria appears to be dominant.

4 DR. COX: I'm sorry. I don't quite
5 understand your question.

6 DR. COUCH: Well, it was a comment that we
7 guessed last year that this year our B would be a
8 Yamagata derivative Shanghai, and yet it's turned out
9 to be more Victoria-like than Shanghai. I don't
10 differ with you in hoping that it is correct that the
11 guess for next year would be Victoria, but you did
12 concede that it is something of a guess.

13 DR. COX: Oh, of course. It always is.
14 I mean, we have -- We can't predict with certainty,
15 but the pattern -- the numbers of strains that we have
16 globally, I think, are greater than the numbers we
17 have had in some previous years, and we also note that
18 we had -- In the vaccine we did have the Yamagata
19 strain, the Yamagata lineage virus. We have
20 Jiangsu/10 in the vaccine this year.

21 DR. COUCH: I don't think we need any
22 further discussion. I just thought we ought to make

1 the comment.

2 DR. COX: Yes. Well, we did predict
3 correctly this year, but we have had very little B
4 activity.

5 OPERATOR: All right. Next question,
6 Theodore Eickhoff, your line is open.

7 DR. EICKHOFF: Thank you. Nancy, what do
8 you see as the significance of amantadine resistance
9 in selecting strains for vaccine production?

10 DR. COX: I don't see any significance at
11 all. So in other words, I brought it up simply
12 because I wanted to emphasize that we are conducting
13 routine surveillance for amantadine resistance, but in
14 terms of vaccine selection, it does not have relevance
15 because for influenza A viruses, of course, we put the
16 HA and NA on the PR8 backbone.

17 It certainly does have significance for
18 clinicians, particularly with respect to managing
19 institutional outbreaks.

20 DR. EICKHOFF: Thank you. Just wanted to
21 clarify that.

22 OPERATOR: Thank you. We are showing no

1 further questions.

2 ACTING CHAIR KARRON: Thank you. I think
3 at this time I would like to call Dr. Zhiping Ye from
4 the FDA.

5 DR. YE: All right. Let's move on next
6 slide 2. The main points of doing this serological
7 study is to see whether the post-immunization of HA
8 antibody to current vaccine confirms the antigenic and
9 the genetic characteristics that has been presented
10 already by Dr. Nancy Cox.

11 The serological study -- In a serological
12 study, the panels of sera from 2005 and in 2006
13 influenza vaccine will be tested for their ability to
14 inhibit hemagglutination of current influenza virus
15 isolates.

16 The post-immunization HA antibody titer of
17 current virus isolates in the panel will be compared
18 to the vaccine strain. For easy understanding, the
19 vaccine strain, at least in the table in which way I
20 am going to present it, either in bold or in colored
21 as blue; where the lower titer of current virus
22 isolates indicating poor antibody inhibition will be

1 in italic font or colored as red.

2 Now I will move on to slide 3. As you can
3 see in the slide 3, the serological data I am going to
4 be presenting come from four different centers, which
5 are Australia, Europe, Japan and the U.S. This sera
6 panel will be tested in five different laboratories,
7 which are CBER, CDC, NIBSC in UK, and in Australia, as
8 well as NIID in Japan.

9 We share the sera panels between several
10 different laboratories and tested these sera panel
11 each within those laboratories. Slide 4.

12 It seems the majority of the current
13 influenza H1N1 influenza viruses were antigenically
14 indistinguishable from current influenza virus
15 vaccine, and I am not going to show the serological
16 studies or serological data for the H1N1 influenza
17 virus.

18 Instead, in Slide 4 shows the antigens
19 used for H3N2 serological study. The virus -- The
20 vaccine strain of H3N2 for 2005 and 2006 is New
21 York/5504. Unlike antigen listed in the table in the
22 ferret sera presented by Dr. Nancy Cox, the antigen

1 for the serological study are relatively few, and has
2 been selected to be representative of the current
3 circulating virus, such as A/Wisconsin/7605,
4 A/Hiroshima/5205, A/Anhui/1239-05, and the rest of the
5 strains -- I am not going to read it.

6 Now we move on to Slide 5. In terms of
7 the response, I will show you some of the tables, just
8 representative of the results that can come from
9 different centers.

10 The main purpose -- Again, the main
11 purpose of the serological study is to compare the
12 antibody responses between the different antigens.
13 Slide 5 shows HI antibody response of pediatric
14 population to the H3N2 components of the 2005 and 2006
15 influenza virus vaccine.

16 The table I am going to show to you
17 contains the percentage of fourfold increase, the
18 percentage of the people who have above arbitrary cut
19 of antibody titer, like one to 30 or one to 40, but I
20 am really going to focus on the titer that is listed
21 in the center of the table colored with blue or red.

22 On average every one of the slides, the

1 vaccine strain, as I said, in bold or in blue, and
2 those are -- Red would represent 50 percent or greater
3 reduction in post-immunization titer as compared to
4 the vaccine strain.

5 As you can see here, antibody response to
6 the pediatric population to H3N2 components was tested
7 in three centers, CDC, CBER and the UK. Now I will
8 just focus on the panel from CDC.

9 The CDC sera panel, you can see that we
10 not only test for the A/New York/5504, which is the
11 vaccine strain, but also we tested for A/Brazil/1742,
12 Wisconsin/67/05, Hiroshima/5205, Anhui/1239/05, and
13 North Carolina/13/05.

14 Except the strain of Brazil/1742/05, the
15 most part of the post-immunization response to the
16 individual who received the vaccine of New York/55/04
17 were more than 50 percent reduction against
18 A/Wisconsin, A/Hiroshima, A/Anhui, and A/North
19 Carolina. The same result, of course, was obtained
20 from the test of different centers such as CBER and
21 the UK.

22 So the bottom line from this slide shows

1 that the majority of the new isolates has reduction of
2 the GMT to the vaccine strain.

3 Now I will move on to Slide 6. As you can
4 see in Slide 6, that holds true for the adults. This
5 slide shows the adults. So this is similar patterns
6 to the results which is shown in the pediatric. The
7 most part of the immunization response of the adults
8 who were immunized with New York/55/04 were about 50
9 percent reduction against strains such as
10 Hiroshima/52/05 and Wisconsin/67/05.

11 Now move on to slide 7. Slide 7 shows a
12 summary of the adult results across the different
13 centers. Again, please focus on the last column on
14 the right, which shows the percentage of GMT of the
15 test of the virus compared to the vaccine strain.

16 The greater percentage of reduction in
17 this there are, they are more antigenically different
18 of the current strain from the vaccine strain. As you
19 can see here, North Carolina/13/2005, Lyon/21/2006,
20 Gunma/16/2005 has the reduction from 65 to 83 percent
21 compared to the vaccine strain.

22 Also, the reduction of the -- the

1 percentage of reduction of Wisconsin is 42 percent,
2 amount of tested serum.

3 It is true also for the greater than 50
4 percent GMT reduction of current strain against the
5 vaccine strain, which is listed in the column next to
6 the percentage reduction, which I colored as red. In
7 here, again to emphasize that, this represents the
8 proportion of the GMT which has more than 50 percent
9 reduction compared to those of the vaccine strain.

10 As you can see, that the post-immunization
11 GMT titer for the most of the part of the new strain
12 was not well inhibited by the antisera that are raised
13 against the vaccine strain, suggesting the replacement
14 of the current vaccine.

15 Now we move on to B, influenza B, which is
16 the slide 8. Slide 8 lists the antigen for influenza
17 B viruses. There were two HA lineages for influenza
18 B viruses, which are B/Yamagata/1688 in lineage which
19 is our current inactivated influenza virus vaccine,
20 which is B/Jiangsu/10/2003, and another B lineage is
21 the B/Victoria/288 lineage, which contains the
22 Malaysia/2506/2004, Ohio/1/2005. So there are two HA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 lineages in this table which we used for serological
2 studies.

3 Move on to slide 9. Again, HI antibody
4 response to pediatric population to the B components
5 of 2005 and 2006 influenza virus vaccine was tested in
6 three centers, CDC, CBER and the UK.

7 Except the strain B/Florida/7/2004, the
8 individuals who received the vaccine with
9 B/Jiangsu/10/2003 antigen were more than 50 percent
10 reduction against B/Yamagata lineage, such as
11 B/Florida/7/04 and B/Gansu/9/2005, as well as a
12 reduction against B/Victoria lineage antigens such as
13 B/Malaysia/2506/04 and B/Ohio/1/2005, as compared with
14 those to the vaccine strain.

15 So the same results are showing from the
16 study at CBER and the UK.

17 Slide 10: So you can see in Slide 10 the
18 results of the serological panel from adult population
19 tested by CDC, part of a post-immunization response of
20 adults who received the immunization with B/Jiangsu
21 was more than 50 percent of reduction, against
22 B/Victoria/288-like viruses such as the B/Ohio and the

1 B/Malaysia/2504.

2 Now to move on to Slide 11. Slide 11
3 shows the summary of all the adults' results across
4 all the centers, which I mentioned are five centers.
5 Again, please focus on the last column on the right,
6 which shows the percentage reduction of the GMT of a
7 tested virus as compared to the vaccine strain.

8 The percentage GMT reduction to the
9 B/Malaysia/2506/2005, B/Ohio/1/2005, and the
10 B/Guangdong/321/2005 was from 50 percent to 67
11 percent.

12 Looking at more than 50 percent of
13 reduction between the different sera tested in the
14 different labs, the most part of post-immunization
15 responses of the adults who were immunized with
16 B/Jiangsu/10/2003 was about 50 percent reduction
17 against the different HA lineage such as
18 B/Malaysia/2506, B/Ohio/1/2005, B/Guangdong/321/2005,
19 which were found in more than -- found most in the
20 recent isolates, indicating the current vaccine did
21 not cover well to the current B isolates.

22 Now move on to the last slide. Here is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the summary. In summary, study with sera collected
2 after immunization with the current vaccine shows that
3 for H1N1 representative recent isolates were well
4 inhibited as compared to the vaccine strain A/New
5 Caledonia/20/99, the data I didn't show in my slide.

6 For H3N2, A/Wisconsin/67/2005-like
7 viruses, less well inhibited compared to the vaccine
8 strain with some of the exceptions such as the
9 A/Brazil/1742/2005 and Anhui/1239/2005.

10 Now for the B strain, the representative
11 recent Yamagata/1699 lineage viruses, generally well
12 inhibited compared to the vaccine strain. However,
13 the representative recent virus that is in
14 B/Victoria/2/1987 lineage such as
15 B/Yamagata/2506/2004-like strain were poorly inhibited
16 compared to the vaccine strain.

17 I will stop here.

18 ACTING CHAIR KARRON: Okay. We will take
19 questions for Dr. Ye. Press *1. Ask questions.

20 While we are waiting for people to log in,
21 I actually have a question about Slide 5. My question
22 is, it seems to me that the UK data are discordant

1 with the data from CDC and CBER, in that children seem
2 to actually have reasonable responses to Wisconsin.
3 I was just wondering if there is any explanation for
4 that or whether there was perhaps discussion of that
5 at the WHO meeting.

6 DR. YE: Actually, the test in the
7 different centers is slightly -- although we used the
8 same method for the HI test, but in a different
9 condition, a different preparation may have different
10 -- slightly different results.

11 I think another difference, the possible
12 explanation is that initially we sent the sera to CDC,
13 and we do not have enough sera for UK, and then later
14 on we received additional sera panels, and we sent
15 that to UK. So the UK panel is not exactly the same
16 as the panel that is studied in CDC and CBER.

17 As you can see in Slide 5, they have 19
18 samples, where CBER would have 30, and CDC usually is
19 24 out of 30.

20 ACTING CHAIR KARRON: Okay. I'm just
21 wondering, would you happen to know, were those
22 perhaps older children than that were sent in the

1 panel to the UK?

2 DR. YE: The children -- The age of the
3 children is the same, but only the different --
4 slightly different samples. We did not preselect the
5 pediatric serum. So probably this reflects the
6 different individuals.

7 ACTING CHAIR KARRON: Okay. Additional
8 questions for Dr. Ye?

9 OPERATOR: On the phone, we have a
10 question from Robert Couch. Your line is open.

11 DR. COUCH: Dr. Ye, I guess my first
12 question -- I think I know the answer, but just that
13 there are some errors in the table that I don't think
14 we need to stop and correct, but just to acknowledge
15 on your part.

16 For example, if we look at Table 5 and the
17 CBER data on Anhui, the GMT pre- is 12, the GMT post-
18 is 12, and that shouldn't be with 82 percent rises
19 and the 68 post.

20 DR. YE: I noticed some errors, and you
21 can see in Slide Number 6 there are some errors on the
22 study centers. I am sorry about that.

1 DR. COUCH: Yes, and the same was true
2 with the pediatric population for B where we are
3 looking at no rises on the UK sera, and yet we have 95
4 percent equal to or greater than 1 to 40. But I don't
5 think we need to dwell on that, but to acknowledge
6 that.

7 I just wanted to make the point that some
8 of the group won't be surprised to hear from me, and
9 that is that the data vary, as we all know. My
10 recollection of earlier data when we have looked at
11 the B/Yamagata derivatives and looked at B/Victoria
12 responses among adult populations that it has always
13 been reasonably good.

14 For example, if you look at the Table 10
15 and the USA data -- I think you have indicated that
16 was CDC data -- the disparity is a little bit
17 surprising, but the B/Yamagata strain, the Jiangsu,
18 had -- if you look at the B/Malaysia result, the 17
19 percent rises must be wrong, but the post is 83, which
20 is not a whole lot different than the post from Gansu
21 and from the homotypic strain, even though it is
22 surprisingly lower for Ohio/1 and Guangdong. But it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that generality of you do very reasonably well with
2 either of the derivatives in adults with cross-
3 reacting antibodies.

4 So that you might say, well, if we miss on
5 selecting the B, it may not be so critical for adults.
6 But on the other hand, if you look at Slide 9, every
7 time we look at children with regard to the cross-
8 reactivity, it doesn't exist. The children, each time
9 they receive a Victoria and you look at Yamagata
10 derivative or receive a Yamagata derivative and you
11 look at Victoria, they look like the ferrets. They
12 just don't carry any cross-reacting antibodies.

13 So that if we miss on selecting that
14 strain, the most vulnerable population for having
15 missed will be the pediatric population, and while
16 they are not a big population for mortality, those
17 school age children represent the major peak for
18 influenza B epidemics every time we have one.

19 So some of the group have heard me speak
20 before, and I'll bring it up again maybe, but I
21 continue to have concern. I guess it's three years in
22 a row that we end up being a little bit too much at

1 the mercy of our guess for influenza B in terms of the
2 kind of protection that we would like to be more
3 confident we are inducing.

4 And as Dr. Cox and all of us know, it's an
5 educated guess, and the educated guess is for Victoria
6 this year, but maybe I'm emphasizing it a little too
7 much, but nevertheless, it's a guess.

8 That's more of a comment, not a question.

9 OPERATOR: We are showing no further
10 questions.

11 ACTING CHAIR KARRON: Okay. Dr. Ye will
12 now speak to us about the availability of strains and
13 reagents.

14 DR. YE: Now I am going to present to you
15 the status of candidate vaccine strains and related
16 potency reagents. Next slide.

17 Now this slide shows the influenza A-H1N1
18 influenza viruses. The current vaccine strain is New
19 Caledonia/20/99, a reassortant which is a reassortant
20 between wild type A/New Caledonia/29/99 and the PR8.
21 The reassortant is IVR-116. This virus grows pretty
22 well in eggs.

1 Currently, we do not have a new
2 antigenically divergent strain available this time for
3 the candidate strain.

4 Now we move on to next slide, influenza
5 H3N2 viruses. The current vaccine for H3N2 is New
6 York/55/04, which is a Caledonia/07/2004-like strain.
7 The reassortant NYMCX/155 again is a reassortant
8 mutant wild type New York/55/04 and PR8, and this
9 virus again grows pretty well in eggs.

10 There are two candidate strains currently
11 under investigation for the possible use of a
12 reassortant. One, as you already noticed, is the
13 A/Wisconsin/67/05, A/Hiroshima/52/2005. Again, the
14 preparation of the reassortant of those two strains is
15 ongoing.

16 Now we move to influenza B viruses. The
17 current vaccine for influenza B is a
18 B/Shanghai/361/02-like strain, which is Yamagata
19 lineage. There are three strains used in vaccine.
20 One is Shanghai/361/02 itself; B/Jiangsu/10/2003 and
21 B/Jilin/20/2003, which is used in live attenuated
22 influenza virus vaccine. All of them grow moderately

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in eggs.

2 Now we move on to influenza B candidate
3 strains. Again I mentioned that there are two HA
4 lineages for influenza B viruses. Victoria lineage is
5 the representative for the base lineage are two
6 strains: B/Malaysia/2506/04 and B/Ohio/01/2005.

7 The B/Malaysia/2506/04 is current vaccine
8 strain for southern hemisphere, and this virus grows
9 moderately in eggs; where the Ohio/01/2005 grows at
10 low to moderate.

11 Another lineage which is Yamagata lineage,
12 the representative candidate is B/Florida/07/04, and
13 this virus grows low to moderate rate in eggs.

14 Now we move on to potency reagents. The
15 potency reagent currently available for A from CBER
16 follows. For H1N1, A/New Caledonia/2099, as you can
17 imagine. Otherwise, for H3N2, New York/55/04,
18 currently available from CBER, but if a new strain is
19 chosen such as A/Wisconsin/67/05-like strain going to
20 be chosen, the reagents will be prepared, and the
21 reagent will be available in May at the earliest.

22 Now on the next slide reagents currently

1 available for B, for influenza B. The reagents for
2 B/Shanghai/361/02-like strain, which is available from
3 CBER: The strain is B/Jiangsu/10/2003, where for
4 Yamagata lineage CBER has B/Hong Kong/330/2001, B/
5 Hong Kong/144/2002, and B/Shanghai/07/1997.

6 For B/Malaysia/2506/2004, since this
7 strain is the current southern hemisphere vaccine
8 strain, so the reagents for this particular strain is
9 available from TGA in Australia, NIBSC in UK and NIID
10 in UK. If we choose this strain, the fresh, new
11 reagent is going to be prepared, and that will be
12 available in May at earliest.

13 I will stop here for the questions.

14 ACTING CHAIR KARRON: Are there questions
15 for Dr. Ye?

16 OPERATOR: Thank you. We have a question
17 from Alexander Klimov. Your line is open.

18 DR. KLIMOV: Yes. Hi. I just wanted to
19 make a statement to Dr. Couch. You notice that in
20 the adult population in some serology data presented
21 by CBER, actually, against Malaysia. In that case,
22 Dr. Couch was talking the percentage of people with HI

1 type -- against Malaysia should be 38, not 98, as
2 indicated in the Table 10 of the presentation. So it
3 is probably just a typo.

4 ACTING CHAIR KARRON: Thank you. Are
5 there other questions or comments?

6 OPERATOR: We are showing no further
7 questions.

8 ACTING CHAIR KARRON: Okay. Our next
9 speaker is Mr. Albert Thomas of sanofi pasteur, who is
10 representing the vaccine manufacturers. Mr. Thomas.

11 MR. THOMAS: Good afternoon. I am just
12 checking if you can hear me.

13 ACTING CHAIR KARRON: Yes, we can hear
14 you.

15 MR. THOMAS: My name is Albert Thomas. I
16 am the Director of Viral Manufacturing for sanofi
17 pasteur.

18 I would first like to thank the Committee
19 for the opportunity to present today, and would like
20 to begin by talking about some of the critical factors
21 that are involved with influenza vaccine supplies and
22 how the strain selection process can impact each of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these factors. First slide.

2 The first critical factor is the growth
3 potential of each monovalent strain seed virus.
4 Obviously, there are many factors that can impact the
5 number of doses of influenza vaccine that can be
6 produced, such as the overall capacity that is
7 available to each manufacturer, the average yield of
8 all three monovalent strains, but most typically the
9 number of doses of trivalent vaccine is limited by the
10 yield of the poorest growing monovalent strain.

11 For example, a manufacturer may be
12 successful in producing 40 million doses of the H1N1
13 monovalent strain, 40 million doses of the B strain,
14 but if only 20 million doses of the H3N2 strain can be
15 produced, there will only be 20 million doses of
16 trivalent vaccine available for immunization.

17 The most critical overall factor is time.
18 the timing for influenza vaccine manufacturing is
19 limited at the beginning by the timing of the strain
20 selection process, and is then limited at the end by
21 the need to distribute and administer the vaccine
22 prior to the onset of the influenza season.

1 Thus, the actual time to develop
2 production seeds, manufacture the monovalent,
3 formulate the trivalent vaccine, fill, package,
4 release and ultimately distribute is quite limited.
5 Also, please keep in mind that production seeds
6 typically require at least four weeks from time of
7 receipt to development and release prior to beginning
8 large scale manufacturing.

9 The availability of potency test reagents
10 are another factor that must be taken into account.
11 The potency of each monovalent component lot must
12 first be determined prior to formulation of the
13 trivalent vaccine, and that is done via single radial
14 amino diffusion, which requires a strain-specific
15 reference antigen and antiserum.

16 These two potency test reagents must first
17 be manufactured and standardized for each new strain
18 prior to the initiation of trivalent formulation. The
19 time to prepare and standardize the reference reagents
20 can take anywhere from eight to 12 weeks.

21 Please turn to the next slide.

22 Page 2 of the presentation depicts a

1 typical fine line in the manufacture of trivalent
2 influenza vaccines. The timeline assumes that there is
3 one strain change from the previous to the current
4 year, and the new strain here is listed as strain 3.

5 The upper half of the timeline is related
6 to the production of the individual monovalent
7 components. The lower half lists the timing of the
8 preparation of the reference reagent as well as the
9 formulation of the bulk trivalent vaccine, filling,
10 packaging, and ultimate distribution.

11 As mentioned before, the time to
12 distribute the vaccine is fixed, so that distribution
13 can begin typically in the early August time frame and
14 continue until early November. The past two seasons
15 have been a bit of an exception to the typical timing,
16 in that distribution of vaccine has extended later
17 into the year.

18 So the overall timing of influenza vaccine
19 is, again, limited at the beginning by strain
20 selection and limited at the end by the need to
21 distribute vaccine in time for immunizations.

22 At the top of the timeline, an arrow was

1 included in mid-February to highlight the timing of
2 today's meeting on strain selection. You may have
3 also noticed that the timeline shows that production
4 of one monovalent strain is already underway.

5 Manufacturers may choose to begin
6 production of one of the strains at risk, and that
7 risk is a strain that may not ultimately be included
8 in the vaccine formulation for the coming year. The
9 reason why manufacturers may choose to do this is due
10 to the limited time available for production of the
11 monovalent component.

12 Thus, at the time of the mid-February
13 VRBPAC strain selection meeting, manufacturers are
14 looking to begin production of the second monovalent
15 strain. Assuming the availability of an appropriate
16 production seed, manufacturing of the second strain
17 typically begins immediately following the strain
18 selection announcement.

19 Once a production seed is available for
20 the third strain, manufacture of that strain would
21 also commence. This timing assists with ensuring a
22 balanced production plan of all three monovalent

1 components.

2 In parallel with the large scale
3 manufacture of the monovalent components is the
4 production and standardization of the potency test
5 reagents. So the reagents are available; the yield of
6 any new strain is announced.

7 Once the reagents are available,
8 manufacturers can begin balancing production of all
9 three monovalent strains, with the goal of having an
10 equal dose equivalence of each strain at the end of
11 the production campaign.

12 Formulation of the trivalent vaccine can
13 also begin once the potency reagents are available,
14 which would then be followed by filling, packaging,
15 and distribution. Next slide, please.

16 Page 3 of the presentation lists the
17 current manufacturing status. As previously
18 mentioned, some manufacturers may have chosen to begin
19 production of one strain at risk. For this year,
20 production of the A/H1N1/New Caledonia strain was
21 initiated at risk.

22 At this time the manufacturers are also

1 evaluating the growth potential of any new potential
2 strain candidates. Next slide, please.

3 In conclusion, a successful influenza
4 manufacturing and ultimate vaccination program is
5 based on cooperation among all the involved parties.
6 The consideration of both antigenic match, the
7 availability of seed candidates, including high growth
8 reassortants, as well as the potential growth of each
9 candidate strain is necessary to ensure a successful
10 influenza vaccine supply scenario.

11 A good example of the success of this
12 cooperation is the increased availability of egg
13 isolates and high growth reassortants that
14 manufacturers can evaluate for potential growth that
15 are antigenically similar but may have different --
16 very different growth characteristics in large scale
17 production.

18 During the evaluation of potential strains
19 for the 2004 and 2005 season, manufacturers were able
20 to select from three A/Shanghai/361/2002-like strains.
21 Given that the manufacturers of the inactivated
22 influenza vaccine all chose the B/Jiangsu/10/2003

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 strain again shows that antigenically similar strains
2 may have significantly different growth
3 characteristics.

4 The increased availability of both egg
5 isolates as well as high growth reassortants are
6 significant contributors to improving the quality of
7 influenza vaccine.

8 In summary, it is necessary to consider
9 the various factors, such as appropriate selection of
10 strain based on antigenic match, as well as
11 availability of seed candidates and high growth
12 reassortants in order to best ensure adequate supply
13 of influenza vaccine.

14 In conclusion again, I would like to thank
15 the Committee for the opportunity to present the
16 viewpoints from the influenza vaccine manufacturers at
17 today's meeting.

18 ACTING CHAIR KARRON: Thank you, Mr.
19 Thomas. We'll take questions. While we are waiting
20 for people to ring in, I have one for you, which is:
21 I know that nationally our vaccine manufacturing
22 capacity has increased from about 80 million doses to

1 about 120 million doses, is my understanding.

2 My question is. How, if at all, does that
3 impact the timeline that you have described?

4 The second question, because this is
5 clearly something that this Committee has discussed in
6 the past: How, if at all, would it impact the
7 creation, let's say, of a quadravalent vaccine that
8 had two influenza B candidates?

9 MR. THOMAS: Speaking first to the overall
10 capacity, my opinion is, that would not change the
11 timelines. It would just be adding additional
12 capacity really following that same timeline. So if
13 there were truly a manufacturing capacity that was of
14 greater capacity, the amount of influenza vaccine --
15 the timing probably would not change, but additional
16 quantities would be available at those same time
17 points.

18 Then in answering the question regarding
19 the potential for four strains, obviously, that would
20 quickly reduce the amount of vaccines being
21 distributed by at least 25 percent, as well as add in
22 the additional complications of another strain to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 selecting and then also the preparation of the
2 reference antigen and purified HA for the potency
3 testing, as well as each manufacturer may also have to
4 make some changes to their production processes to
5 accommodate that fourth strain.

6 ACTING CHAIR KARRON: Thank you. Other
7 questions?

8 OPERATOR: Again, do you have any
9 question? One moment, please. Theodore Eickhoff, you
10 may ask your question.

11 DR. EICKHOFF: Thank you. Mr. Thomas,
12 recalling what Dr. Ye just said earlier about the
13 availability of potency test reagents, if the
14 Committee winds up changing two strains in the
15 vaccine, which seems possible, and the potency test
16 reagents are not available until May at the earliest
17 and perhaps until June, how does that affect your
18 vaccine production?

19 MR. THOMAS: I'll refer back to, I
20 believe, the 2004-2005 campaign, in which case there
21 were two strain changes also. The timing of the
22 reference reagent that Dr. Ye had mentioned is typical

1 in a campaign, in which case there would be two
2 strains that would change.

3 What would happen is each manufacturer,
4 again, would begin production of each of the strains
5 pretty much probably as soon as they can, as soon as
6 they have production seeds available, and would
7 continue producing that strain until they would have
8 the next seed, and they would utilize some estimates
9 of potency, perhaps based on protein or some other
10 method, to try to estimate, again, how many doses they
11 are producing.

12 Obviously, it is a concern with more than
13 one strain change. It does put more uncertainty in
14 the total bar for number of doses that you are
15 producing at any given time, and obviously, as soon as
16 the reference reagents are available, manufacturers
17 would then begin the strain balancing, in which case
18 they would try to equal out the manufacturing of all
19 three strains.

20 DR. EICKHOFF: Thank you.

21 OPERATOR: Our next question comes from
22 Monica Farley.

1 DR. FARLEY: Yes, thank you. Would the
2 choice of B/Malaysia affect in a positive way the
3 timeline due to the fact that it had been the choice
4 for the southern hemisphere formulations and there
5 might be some reagents that are available through
6 other agencies?

7 MR. THOMAS: I'm making an assumption here
8 that maybe someone else on the Committee would like to
9 confirm. But, obviously, since this strain was
10 available and was selected for the current southern
11 hemisphere formulation, it would be assumed that
12 manufacturers would have had an opportunity to work
13 with that seed to have production seeds ready for
14 manufacturing.

15 So in that case, there would be some
16 advantage in that they could begin manufacturing
17 quickly, but for release of the vaccine for the United
18 States I would assume that CBER would require a CBER-
19 distributed reference antigen as well as -- though you
20 would still have that delay by the time you had that
21 produced.

22 If other reagents were available from

1 other laboratories, it would be possible to maybe
2 utilize those to estimate yield along the way, which
3 may give you a better estimate than a method such as
4 utilizing protein.

5 OPERATOR: We will go to the next
6 question, sir.

7 ACTING CHAIR KARRON: Could we just hold
8 the next question for one moment so that Dr. Baylor
9 can comment.

10 DR. BAYLOR I'll just comment on that as
11 far as the requirements to use the CBER reagents. I
12 mean, we can consider using those other reagents if
13 they are available. So I don't think we should assume
14 that we would necessarily require that. We could have
15 discussion about that, especially dealing with the
16 timing.

17 ACTING CHAIR KARRON: Thank you.

18 OPERATOR: Thank you. Robert Couch, you
19 may ask your question.

20 DR. COUCH: Well, actually, mine is a
21 comment, and maybe the question that I had was close
22 to Ted Eickhoff's. But in thinking about the

1 quadravalent vaccine that Ruth Karron brought up with
2 two B components, we would all say that it would be
3 important that we not have two new Bs, if at all
4 possible, so that the carryover would be from a
5 Yamagata and add to it a Victoria. That would be the
6 reasonable proposal to get -- not upset the vaccine
7 timeline, but then to take it on, as Ted said, to
8 where do we run into trouble.

9 See, there are so many factors that impact
10 this timeline, including the seeds and the reagents
11 that have been referred to, but a lot of that is
12 inherent in selecting a new strain.

13 What sort of freedom -- I wonder if Dr.
14 Thomas would comment -- do we have with that, you
15 know? In other words, two strains could give you a
16 problem. Does three break the camel's back, if we
17 wanted to change all three?

18 MR. THOMAS: Actually, I think one single
19 strain change could have a detrimental impact, if it
20 is not a very good grower. That is the risk we always
21 run into.

22 DR. COUCH: That's a risk always for a

1 single strain, as we pointed out, with your reagents
2 and the seeds.

3 MR. THOMAS: Right.

4 DR. COUCH: Assuming they went well, do
5 you have a strain change number that would begin to
6 significantly impact us?

7 MR. THOMAS: I think we have seen in the
8 past, again, it will depend on how many or how well
9 each individual strain will be growing. Typically,
10 when we have two strain changes, it is a struggle to
11 maintain your manufacturing and estimate your
12 potencies until you have the reagents available.

13 Again, I will just comment quickly here
14 again on a potential four-strain vaccine. I'm
15 assuming there would be licensing and regulatory
16 implications and, you know, which potency would be
17 formulated that would have to be answered prior to
18 that -- prior to beginning looking at some of the
19 specifics of how we would incorporate that.

20 Again, from the manufacturing point of
21 view we would always look at minimizing the number of
22 strain changes, because, obviously, any history we

1 have with a strain allows us to estimate what that
2 production capability will be going forward.

3 ACTING CHAIR KARRON: We have a comment
4 from the floor.

5 OPERATOR: We do have one more question
6 from Bruce Gellin.

7 ACTING CHAIR KARRON: Can we hold that
8 question for one moment. We just have one comment
9 from the floor.

10 MS. COELINGH: Just a quick question.
11 Kathleen Coelingh from Medimmune.

12 In discussion of B/Malaysia, the question
13 about choosing the B/Malaysia strain, I am assuming
14 that that would allow manufacturers to use the Ohio
15 strain, if they so choose. We evaluate the strains
16 not only for growth in eggs for the live attenuated
17 vaccine, but also for performance in different assays.

18 There are lots of different things that we
19 are evaluating. So if antigenically it is a
20 B/Malaysia, I'm assuming it would be -- an Ohio would
21 be acceptable also.

22 DR. WEIR: Yes. I mean, I think we

1 generally make a recommendation that would be
2 recommending a B/Malaysia-like, and the Ohio was
3 considered to be a Malaysia-like virus.

4 ACTING CHAIR KARRON: We can go back to
5 our call-in questions at this time.

6 OPERATOR: Bruce Gellin, you may go ahead.

7 DR. GELLIN: Sure. My question was
8 similar to Monica's, and I guess -- to do whatever can
9 be done --

10 OPERATOR: I believe Bruce Gellin is on a
11 cellphone, and he is breaking up right now.

12 ACTING CHAIR KARRON: I think we will have
13 to go on to the next question and, if Bruce is able to
14 connect better, he can call in again.

15 OPERATOR: Again, *1 if you do have any
16 questions. At this time, I am showing no further
17 questions.

18 ACTING CHAIR KARRON: Okay. At this point
19 we will take a break. I think what we will perhaps do
20 is just take a break until about 3:15. We will
21 reconvene at that time. So we are on break.

22 (Whereupon, the foregoing matter went off

1 the record at 3:06 p.m. and went back on the record at
2 3:16 p.m.)

3 OPERATOR: Good afternoon, and thank you
4 for standing by. Mr. Walsh, you may go ahead and
5 proceed.

6 MS. WALSH: Michele, before we start, can
7 you just let us know, confirm that everyone is still
8 on the line and we didn't lose anyone.

9 OPERATOR: Bruce Gellin -- I believe he is
10 the only one I have disconnected, ma'am.

11 MS. WALSH: Thank you very much.

12 ACTING CHAIR KARRON: At this time, we
13 will begin the open public hearing. Christine, I
14 believe you have an announcement.

15 MS. WALSH: Thank you, Dr. Karron. As
16 part of the FDA Advisory Committee meeting procedure,
17 we are required to hold an open public hearing for
18 those members of the public who are not on the agenda
19 and would like to make a statement concerning matters
20 pending before the Committee.

21 I have received one written request from
22 B. Sachau, who was not able to attend the meeting, for

1 comments provided to the Committee members posted in
2 the public hearing notebook at the registration desk,
3 and copies are available upon request at the
4 registration desk.

5 Is there anyone in the room who would like
6 to address the Committee at this time?

7 I see no response and, Dr. Karron, I turn
8 the meeting back over to you.

9 OPERATOR: Ma'am, we do have one question.
10 Philip LaRussa, you may go ahead.

11 DR. LaRUSSA: It seems to me we have this
12 discussion about two strains of influenza B every
13 year, and some folks think every year at this time
14 that it is not practical to do it.

15 Maybe we should start a discussion now
16 about whether we want that to be the eventual goal,
17 since we seem to have to deal with this problem, and
18 manufacturers have to build up capacity so that we
19 could have larger valent vaccine, number of doses
20 made.

21 ACTING CHAIR KARRON: I think at this
22 time, Philip, probably what we should do is I think we

1 will have that discussion, but I would rather go ahead
2 and have Dr. Weir present the strain selection
3 options, and then as part of the general discussion
4 let's talk about that issue.

5 DR. LaRUSSA: Yes. I'm not suggesting we
6 do it now. I'm suggesting that at our next meeting we
7 start this discussion so we don't do the same thing
8 next year in February.

9 DR. WEIR: Okay. Thank you. All right.
10 So I guess we are ready for the Committee discussion
11 portion of our meeting today, and I have two remaining
12 slides on my presentation, the last slide and the next
13 to the last slide.

14 The next to the last slide essentially
15 outlines what we have also presented, I think, as a
16 Word document for the questions to Committee, but
17 basically we are asking now to discuss the strains
18 that should be recommended for the antigenic
19 composition of the 2006-2007 influenza virus vaccine,
20 and base this discussion on the following: The
21 epidemiology and the antigenic characteristic of
22 influenza virus strains circulating in human

1 populations; the serologic responses to circulating
2 influenza virus; the person immunized with current
3 influenza virus vaccines; and, of course, the
4 availability of suitable vaccine candidate strains.

5 In the last slide, I listed a series of
6 options for strain composition, things that can be
7 considered in this discussion. For influenza A/H1N1,
8 one option is to retain the current vaccine strain
9 recommendation, A/New Caledonia/20/99/H1N1-like virus.

10 Alternatively, you could consider
11 replacing the current vaccine strain with an
12 alternative H1N1 isolate, although I don't think we
13 heard any data today to suggest what that might be.

14 Second, for the influenza A/H3N2 component
15 of the vaccine, one option is to retain the current
16 strain recommendation, i.e., a
17 A/California/7/2004/H3N2-like virus. Another option
18 is to replace it with an A/Wisconsin/67/2005/H3N2-like
19 virus or, alternatively, another H3N2 isolate which
20 I'm not sure we heard much data to support.

21 Finally, for an influenza B, the options
22 are to retain the current B/Shanghai/61/2002-like

1 virus or replace it with an alternative virus with the
2 same B/Yamagata/16/88 lineage.

3 The other option is to replace the
4 influenza B component with the B/Malaysia/2506/2004-
5 like virus or some other virus from the
6 B/Victoria/2/87 lineage.

7 So I will stop here and turn it back to
8 Dr. Karron for the Committee discussion.

9 ACTING CHAIR KARRON: Thank you. At this
10 time, I think I would just like to open it up to some
11 general discussion. So if you would call in with your
12 questions or comments.

13 OPERATOR: Showing no questions at this
14 time.

15 ACTING CHAIR KARRON: Well, I think,
16 really, if there are no questions, what we will do is
17 proceed with the vote on each of our strains. We can
18 certainly have discussion in advance of voting on each
19 of the strains, if people want to discuss each of
20 these.

21 So I think we will, obviously, begin with
22 the H1N1 strain. What I would like to first do is

1 make sure that there is no discussion on that
2 particular strain, no issues that people want to bring
3 up with regard to H1N1. So we will just pause a
4 minute and see if there are any questions or comments.

5 Okay, I think, if there are no questions,
6 what I will entertain then is a motion from someone on
7 the Committee regarding the H1N1 strain. I'm actually
8 wondering if the operator could inform us if everybody
9 is still on the call, because we do need -- We
10 actually do need a motion of the Committee for the
11 H1N1 strain, and then we can vote on that strain.

12 OPERATOR: Everybody is on with the
13 exception of Bruce Gellin.

14 ACTING CHAIR KARRON: Okay. So I need
15 someone to speak up.

16 OPERATOR: We do have two questions now.
17 Melinda Wharton, you may ask your question.

18 DR. WHARTON: I would like to make a
19 motion that we retain the current vaccine -- the
20 current H1N1 strain, the New Caledonia/20/99.

21 ACTING CHAIR KARRON: Thank you. Could I
22 have a second for that motion?

1 OPERATOR: Ma'am, just let me know when
2 you would like to go to the next question.

3 ACTING CHAIR KARRON: No. We actually
4 need a second for that motion in order to then have
5 all of the members vote. So I need one of the members
6 to call in with a second for the motion for A/New
7 Caledonia's H1N1 strain.

8 OPERATOR: Okay, we have several people.
9 We have four people that have queued up. Would you
10 like me to ask them?

11 ACTING CHAIR KARRON: Please.

12 OPERATOR: Monica Farley, you may go
13 ahead.

14 DR. FARLEY: Well, the first thing I would
15 suggest is maybe you can release us all, and let us
16 all be able to speak so there won't be these long lags
17 during this period.

18 ACTING CHAIR KARRON: Yes.

19 DR. FARLEY: Just for this voting time
20 frame. That might help.

21 Then I will second the motion.

22 ACTING CHAIR KARRON: Monica, did you make

1 the motion?

2 DR. FARLEY: No.

3 ACTING CHAIR KARRON: I'm sorry. Forgive
4 me. Thank you, Monica. And I think that is an
5 excellent suggestion. Is it possible for us to just
6 open the lines completely?

7 OPERATOR: We sure can. One moment.

8 ACTING CHAIR KARRON: Thank you. Thank
9 you, Michele.

10 OPERATOR: Ma'am, all the lines are open
11 at this time.

12 ACTING CHAIR KARRON: Okay, thank you.

13 DR. GELLIN: This is Bruce. I want to
14 "third" the motion to prove that I am actually
15 listening here.

16 ACTING CHAIR KARRON: Thank you, Bruce.
17 We appreciate it.

18 Okay. At this point, we are voting on the
19 H1N1 strain. There has been a motion that we retain
20 the A/New Caledonia/29/99, and I am going to call
21 each member in turn and ask you to vote yes or no.

22 Dr. Couch, I am going to start with you.

1 DR. COUCH: Yes.

2 ACTING CHAIR KARRON: Lieutenant Commander

3 Hachey.

4 LT CMDR HACHEY: Yes.

5 ACTING CHAIR KARRON: Dr. Royal?

6 DR. ROYAL: Yes.

7 ACTING CHAIR KARRON: Dr. Modlin? Dr.

8 Modlin? Okay, we will move on. Dr. Self?

9 DR. SELF: Yes.

10 ACTING CHAIR KARRON: Dr. Word.

11 DR. WORD: Yes.

12 ACTING CHAIR KARRON: Dr. LaRussa?

13 DR. LaRUSSA: Yes.

14 ACTING CHAIR KARRON: Dr. Gellin?

15 DR. GELLIN: Yes.

16 ACTING CHAIR KARRON: Ms. Province?

17 MS. PROVINCE: Yes.

18 ACTING CHAIR KARRON: Dr. McInnes? She

19 may not be here. Dr. McInnes? Dr. Farley?

20 DR. FARLEY: Yes.

21 ACTING CHAIR KARRON: Dr. Wharton?

22 DR. WHARTON: Yes.

1 ACTING CHAIR KARRON: And Dr. Eickhoff?

2 DR. EICKHOFF: Yes.

3 ACTING CHAIR KARRON: Thank you. Oh, and
4 I also vote yes. I'm sorry, hold on for just one
5 second. I also need -- Although it is not part of the
6 formal tally, Dr. Hetherington, I need your vote.

7 DR. HETHERINGTON: Right. I am a non-
8 voting member, but if I were to vote, I would vote
9 yes.

10 ACTING CHAIR KARRON: Thank you.

11 DR. McINNES: Dr. Karron.

12 ACTING CHAIR KARRON: Yes.

13 DR. McINNES: This is Pamela McInnes.

14 Could you please -- Can you hear me?

15 ACTING CHAIR KARRON: Yes, I can.

16 DR. McINNES: Sorry, it wasn't clear to me
17 that you could. Thank you.

18 ACTING CHAIR KARRON: But I couldn't hear
19 you before, Pamela. So did you -- Can we go back to
20 you for your vote on H1N1/A/New Caledonia?

21 DR. McINNES: Yes.

22 ACTING CHAIR KARRON: Thank you. Okay.

1 So the next strain that we are considering is the
2 H3N2. I first -- Especially now that we have all of
3 the lines open, I would like to ask if there is any
4 more discussion about the H3N2 strain that people
5 would like to have.

6 Okay. In that case, I need a motion for
7 the H3N2 strain. As you heard from Dr. Weir, the
8 possibilities are to retain the A/California/2004-like
9 virus or to replace that with A/Wisconsin/67/2005.

10 Could I get a motion from one of the
11 Committee members?

12 DR. LaRUSSA: This is Phil LaRussa. I'll
13 make a motion to replace the current strain with
14 A/Wisconsin/67/2005.

15 ACTING CHAIR KARRON: Thank you very much.
16 Do we have a second for that motion?

17 DR. EICKHOFF: Ted Eickhoff. I will
18 second.

19 ACTING CHAIR KARRON: Thank you. Okay,
20 and Dr. Eickhoff, this time we will begin the voting
21 with you.

22 DR. EICKHOFF: Well, I'll vote yes.

1 ACTING CHAIR KARRON: I guess that's
2 pretty obvious. Okay. Dr. Wharton?

3 DR. WHARTON: Yes.

4 ACTING CHAIR KARRON: Dr. Farley?

5 DR. FARLEY: Yes.

6 ACTING CHAIR KARRON: Dr. McInnes?

7 DR. MCINNES: Yes.

8 ACTING CHAIR KARRON: Ms. Province?

9 MS. PROVINCE: Yes.

10 ACTING CHAIR KARRON: Dr. Gellin?

11 DR. GELLIN: Yes.

12 ACTING CHAIR KARRON: Dr. LaRussa?

13 DR. LARUSSA: Yes.

14 ACTING CHAIR KARRON: Dr. Word?

15 DR. WORD: Yes.

16 ACTING CHAIR KARRON: Dr. Self?

17 DR. SELF: Yes.

18 ACTING CHAIR KARRON: Dr. Modlin? Okay.

19 Dr. Royal?

20 DR. ROYAL: I vote yes.

21 ACTING CHAIR KARRON: Lieutenant Commander

22 Hachey?

1 LT CMDR HACHEY: Yes.

2 ACTING CHAIR KARRON: And Dr. Couch?

3 DR. COUCH: Yes.

4 ACTING CHAIR KARRON: And I also vote yes,
5 and Dr. Hetherington?

6 DR. HETHERINGTON: Yes.

7 ACTING CHAIR KARRON: Thank you. Okay.

8 We are now going to move on to the B strains, and here
9 I imagine that there may be a bit more discussion.

10 So first, are there any questions or
11 comments about B selection?

12 DR. KLIMOV: This is Dr. Klimov from the
13 Influenza Branch. I would like to pay your attention
14 -- You know, the majority of the data which Nancy Cox
15 presented were from our center at CDC in Atlanta. She
16 mentioned on page 23 there is a family table of
17 different influenza virus types and subtypes which
18 have been characterized by different WHO centers and
19 many other WHO cooperating laboratories.

20 I would pay your attention that, in spite
21 of the fact that so far in the U.S. we were able to
22 test more Yamagata lineage viruses than B/Victoria-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 like viruses, but if you look at the first column on
2 the page 23 of the package, you will see that totally
3 from the North American, which includes the U.S., a
4 few strains from Mexico and a lot of strains from
5 Canada, you can see that totally we have 100 influenza
6 B viruses, of which 88 are from B/Victoria lineage and
7 only 12 are from B/Yamagata lineage.

8 For example, Canada has a reasonable
9 number of influenza B viruses circulated this year,
10 and most of them, almost all of them, are from the
11 B/Victoria lineage.

12 That is my brief comment to the situation.
13 Thank you.

14 ACTING CHAIR KARRON: Thank you. Other
15 comments or questions?

16 DR. COUCH: This is Couch again. That was
17 actually -- Dr. Klimov emphasized one of the points I
18 was making earlier, that this past -- so far this
19 winter, I should say, the B/Victoria is dominant in
20 North America, but our vaccine was a B/Yamagata
21 strain.

22 ACTING CHAIR KARRON: Actually, at this

1 point before we have a motion and before we vote, I am
2 going to ask Dr. Karen Midthun to make a comment about
3 the issue that Phil LaRussa brought up earlier and
4 that has been discussed on multiple occasions by this
5 Committee, which is really the issue of a quadravalent
6 vaccine, including potentially two B components in the
7 future. So, Dr. Midthun?

8 DR. MIDTHUN: Sure. I think that we have
9 heard several years in a row the question of, gee, you
10 know, in some cases it would be nice to have two B
11 strains and have a quadravalent vaccine, and I think
12 that what might be helpful is for us to consider
13 having a follow-on meeting.

14 Perhaps we could consider a workshop where
15 we could bring a lot of partners to the table, because
16 I think there are many, many things one needs to
17 consider.

18 One needs to consider what is the need to
19 do this? What are the benefits? What are the
20 potential pitfalls? There are a lot of issues in
21 terms of what is the vaccine manufacturer capacity?
22 Do you end up having fewer doses, if you go to the

1 quadravalent? Would it take you longer to have the
2 vaccine ready, because you have to have four
3 monovalents that you then blend and test and have to
4 make reagents for and test?

5 You also have the issue of global vaccine
6 manufacture and that we have a lot of B vaccine
7 manufacturers or international multi-global companies
8 and that the vaccine that they make in one area, they
9 would like to be able to market in other areas. So I
10 think we have global partners who we need to be
11 mindful of here.

12 So I think that perhaps a workshop might
13 be a vehicle that would bring the manufacturers
14 together to talk about logistics. One could have --
15 you know, invite many people, obviously, from
16 wherever, VRBPAC, the Advisory Committee on
17 Immunization Practices, National Vaccine Advisory
18 Committee, you know, people from WHO. Really, a lot
19 of people might have interest, and it would be
20 interesting to bring the different issues to the
21 table.

22 As part of that, you know, we, of course,

1 also would have regulatory issues. That's correct.
2 I think we can address those as well in terms of what
3 do we think would be needed, if that were a direction
4 that we wanted to pursue.

5 So that is the comment I wanted to make
6 with regard to that. Norman -- Dr. Baylor, do you
7 have anything that you would like to add?

8 DR. BAYLOR: No. I think that sounds
9 enough.

10 DR. GELLIN: This is Bruce. Could I add
11 one little piece to that?

12 ACTING CHAIR KARRON: Yes, please.

13 DR. GELLIN: Again, from the perspective
14 of pandemic preparedness, as this Committee and a lot
15 of people know, we are trying to increase capacity
16 substantially, and I think that one point of that --
17 and I think it would come out as part of that workshop
18 -- is that a great increase in capacity would give us
19 a lot more flexibility.

20 ACTING CHAIR KARRON: Thank you.

21 DR. COUCH: Couch again. Could I just
22 make one quick comment, and to remind everybody, as I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think I have said before, that there is a precedent
2 for the quadravalent vaccine, and actually it occurred
3 long enough ago that I can't even remember if I was
4 involved in the decision or not. But there were two
5 B components, for the kinds of reasons of concern that
6 we are talking about here.

7 The second is that the workshop is fine,
8 but it sure would be nice to have a whole lot of data
9 that would more specifically address the question of
10 where we stand immunologically on concerns for various
11 populations, if one or the other possibilities
12 existed.

13 ACTING CHAIR KARRON: Other comments? I
14 would actually like to make a comment, which is that,
15 you know, having heard these data now for several
16 years running, my personal sense is that there is less
17 of an issue about a second B component this year than
18 there has been in previous years.

19 I think last year was particularly -- we
20 had a particularly difficult time in deciding on a B
21 component. However, I think the issue has arisen in
22 the past and will absolutely continue to arise, and I

1 think maybe could be dealt with in exactly the way
2 that Dr. Midthun is proposing.

3 Are there any other questions or comments
4 regarding the B strain? Okay. Then at this point I
5 need a motion for the B component of this year's
6 vaccine. Don't all talk at once, but somebody say
7 something.

8 DR. LaRUSSA: This is Phil LaRussa. I'll
9 make a motion to replace the current strain with
10 B/Malaysia.

11 ACTING CHAIR KARRON: Okay. Do we have a
12 second for that motion?

13 DR. EICKHOFF: Ted Eickhoff. I will
14 second.

15 DR. FARLEY: This is Monica Farley.
16 Should we say B/Malaysia-like virus or is that
17 necessary?

18 ACTING CHAIR KARRON: Yes, we should say
19 that. Thank you.

20 DR. LaRUSSA: Okay, I'll say that.

21 ACTING CHAIR KARRON: Okay. All right.
22 So the motion we have and that is seconded is for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 replacing the current B strain with a
2 B/Malaysia/2506/2004-like virus.

3 This time, Dr. Couch, I will start the
4 voting with you.

5 DR. COUCH: My vote is because my option
6 is not there, but among the options we have I will
7 certainly support changing to B/Malaysia.

8 ACTING CHAIR KARRON: Thank you.
9 Lieutenant Commander Hachey?

10 LT CMDR HACHEY: Yes.

11 ACTING CHAIR KARRON: Thank you. Dr.
12 Royal?

13 DR. ROYAL: I vote changing to B/Malaysia-
14 like virus.

15 ACTING CHAIR KARRON: Okay. Dr. Modlin?
16 Dr. Self?

17 DR. SELF: Yes.

18 ACTING CHAIR KARRON: Thank you. Dr.
19 Word? Sorry, Dr. Word, I didn't hear you.

20 DR. WORD: Yes.

21 ACTING CHAIR KARRON: Thanks. Dr.
22 LaRussa?

1 DR. LaRUSSA: Yes.

2 ACTING CHAIR KARRON: Thank you. Dr.

3 Gellin?

4 DR. GELLIN: Yes.

5 ACTING CHAIR KARRON: Ms. Province?

6 MS. PROVINCE: Yes.

7 ACTING CHAIR KARRON: Dr. McInnes?

8 DR. McINNES: Yes.

9 ACTING CHAIR KARRON: Dr. Farley?

10 DR. FARLEY: I vote yes.

11 ACTING CHAIR KARRON: Thank you. Dr.

12 Wharton?

13 DR. WHARTON: Yes.

14 ACTING CHAIR KARRON: Dr. Eickhoff?

15 DR. EICKHOFF: Yes.

16 ACTING CHAIR KARRON: Thank you. I also
17 vote yes, and Dr. Hetherington?

18 DR. HETHERINGTON: Yes.

19 ACTING CHAIR KARRON: Thank you. At this
20 time, are there any other comments that either
21 Committee members would like to make, or individuals
22 from the FDA or individuals sitting in the audience?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LaRUSSA: This is Phil LaRussa. I
2 would like to make a formal request to have a workshop
3 on a quadravalent vaccine. How do we go about getting
4 that?

5 ACTING CHAIR KARRON: Well, I guess the
6 question would be -- and I will pose this question to
7 Dr. Midthun. Would it be appropriate for us to make
8 a motion that the FDA consider convening a workshop
9 with interested stakeholders to further discuss this
10 issue? Is that appropriate?

11 DR. MIDTHUN: You can make a
12 recommendation. Sure. Absolutely.

13 ACTING CHAIR KARRON: Okay. Would that be
14 something that we could vote on or is that useful?

15 DR. BAYLOR: This is Norman Baylor. I
16 think, to just make the recommendation -- I don't
17 think we need total numbers, but as a recommending
18 body, you could make the recommendation that FDA
19 follow through with planning a workshop to discuss the
20 quadravalent. That will be in the transcript. So
21 that's on the record.

22 ACTING CHAIR KARRON: Okay. Yes, Dr.

1 Coelingh.

2 DR. COELINGH: Question for clarification.
3 The materials that are provided us at the meeting are
4 tremendously useful, and the discussions that happen
5 here, to the manufacturers. We actually go back to
6 these documents over and over again to look at how
7 strains behave antigenically.

8 So my question is: Will the materials
9 that were shown today be updated on the website?

10 DR. WEIR; Yes. I was going to make that
11 point, that we put together all of this pretty fast
12 this week, since we had the WHO meeting earlier, and
13 we will get it updated and back out to both the
14 Committee members and onto the website.

15 ACTING CHAIR KARRON: So, actually, to go
16 back to Dr. LaRussa's point, my sense just from
17 listening to Committee members is that there is a
18 consensus that we would like to ask the FDA to
19 consider convening a workshop to discuss the
20 possibility of a quadravalent vaccine. Am I correct
21 in assuming that? I will assume that I am unless I
22 hear anything from any other Committee members at this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 time.

2 DR. COUCH: Well, your comment on the
3 quadravalent -- Couch again. It may be minor, but it
4 bothers me a little bit to say that the workshop will
5 address quadravalent vaccine, rather than address the
6 question of how to optimally vaccinate against the two
7 lineages which appear to be jockeying for control in
8 any given year.

9 It may be alternate years, you know, prime
10 the first year and give them the other one a second
11 year, but a little more open-ended discussion rather
12 than just the question of quadravalent.

13 ACTING CHAIR KARRON: Thank you very much.
14 I think that is a point very well taken.

15 DR. COUCH: Could I add one other comment
16 while I'm at it, again one that used to be heard
17 annually from Ed Kilbourne, who doesn't sit in
18 anymore. But CDC gives us the data now pretty
19 regularly that the neuraminidase is not showing any
20 major changes that would give us concern for which
21 strain we pick for primarily targeted toward the
22 hemagglutinin, and the hemagglutinin antibody in all

1 of our minds is the dominant and desired antibody to
2 have in this population, but the neuraminidase antigen
3 is in the vaccine, and we hope it is active in each of
4 the preparations that is marketed and distributed, but
5 we are not monitoring the neuraminidase antibody
6 responses, and we are not doing anything to be sure
7 that that antigen is present and active in adequate
8 quantities.

9 While it is not the essential antibody, it
10 is a highly desirable antibody and, as Ed says, we
11 ought to keep that one in mind as varying less than
12 the hemagglutinin does, and perhaps the back-up
13 against a hemagglutinin variation that caught us by
14 surprise, whereas the neuraminidase did not vary.

15 ACTING CHAIR KARRON: Thank you. Other
16 comments?

17 MR. THOMAS: This is Albert Thomas from
18 sanofi pasteur. I just have one question on the
19 presentation from Dr. Ye regarding the status of
20 candidate vaccine strains. Specifically, for the
21 H3N2, the A/Wisconsin/67 and the A/Hiroshima/52, there
22 is no information available for the potential growth

1 of that -- of those two strains. I was wondering, is
2 there any new information that could help fill that
3 in?

4 DR. YE: Actually, TGA is conducting the
5 reassortant of Hiroshima, but at that point I don't
6 know what is the updated status. You refer to
7 Wisconsin. I don't know.

8 DR. KLIMOV: This is Dr. Klimov. Dr.
9 Dorothy Bucher in the Medical School in Buffalo -- she
10 is preparing the Wisconsin/67 reassortant right away,
11 right now. I don't have yet final data. As far as I
12 know, she is at the stage of final cloning or
13 purification of those reassortants. We hope to
14 receive them sometime very soon for antigenic
15 analysis, and detailed genetic analysis.

16 DR. YE: This is Ye. Yes, Sasha, you are
17 right. Dorothy Bucher in New York Medical Center is
18 conducting the reassortant for the Wisconsin virus.

19 ACTING CHAIR KARRON: Any other comments?

20 MR. MOORE: This is Rich Moore from sanofi
21 pasteur, and I would just like to remind everyone that
22 we are talking about a 120 million dose capacity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 nationwide. However, that was based on the best
2 yielding A strain we've ever seen before, the A/New
3 York, as well as the best yielding B strain we've ever
4 seen before, the B/Jiangsu, and there's been years in
5 which the strain doesn't yield as well.

6 I think some of these people who have been
7 there for a while will remember those years, and I
8 think there is a little concern on our part that we
9 don't know the yield of the reassortants that are
10 being made, the Wisconsin-like or Hiroshima-like
11 viruses; and it is possible that they could be very
12 low.

13 In that case, I wonder if we would at
14 least consider having the New York a Wisconsin-like
15 virus? In the WHO website, it was only a twofold
16 difference. That's enough to qualify it for "like."
17 I know it does depend on when you run the test and all
18 that, and it is maybe a threefold difference, but only
19 as a fallback position, if the yield is really poor
20 for the reassortant, that we might consider that as a
21 fallback position so that we don't have, you know, 20
22 million doses total for the U.S.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. WEIR: This is Jerry Weir. I'm not
2 sure that we can have a fallback position if we've
3 recommended a change to a different strain like that,
4 as that recommendation was based on the serological
5 data and the surveillance data. I don't know if
6 anyone else has something to add to that or not.

7 DR. COX: This is Nancy Cox. It would
8 not be -- It would be a fallback position which would
9 require an additional convening of the panel, because
10 the way that the WHO and I think the way that we are
11 looking at the data is that this is an advance.
12 Viruses have moved on, that we recommending an update
13 based on all of the epidemiologic, antigenic,
14 serologic and virologic information. So that fallback
15 position would not be consistent with the WHO
16 recommendations and would require that we discuss it.

17 ACTING CHAIR KARRON: It would also not be
18 consistent with our VRBPAC recommendations.

19 DR. COUCH: This is Couch. I would hope
20 that we would have enough flexibility that, if we
21 really get caught on something, we can reconsider the
22 subject.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. COX: But it would require reconvening
2 the panel.

3 DR. COUCH: Convening by phone was
4 actually relatively quick and relatively easy.

5 ACTING CHAIR KARRON: Sure.

6 DR. COX: Two years ago, I think --

7 DR. COUCH: It was two years ago for me.
8 We had a conference call later on to finalize the
9 recommendations. So we could do that.

10 ACTING CHAIR KARRON: I think the point is
11 only that it would be really a strain change, and we
12 would -- it would require us to reconvene to discuss
13 it. I think that is the point.

14 DR. COUCH: My point that I would hope we
15 would make is that we don't want to rigidly lock this
16 subject into a poor vaccine supply. We need to have
17 enough flexibility to consider the alternatives that
18 need to be considered after today.

19 ACTING CHAIR KARRON: Yes. Okay.

20 DR. COX: Just one more thing. I know,
21 historically, we have had conference calls as late as,
22 I think, March 10 to finalize the strains, and perhaps

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 even a bit later. So we have in the past when we have
2 found it necessary to convene an additional conference
3 call gone at least that far into the season in order
4 to finalize vaccine strains.

5 ACTING CHAIR KARRON: Thank you.
6 Actually, at this point, unless there's any further
7 discussion on this topic, I would like to ask you,
8 Nancy, to give us an update on H5N1.

9 DR. COX: Okay. Thank you very much. We
10 will have to go back to the CDC slide presentation on
11 page 32. I will give you just a moment to get that up
12 on your computer, and we will go straight to H5N1.

13 If you will look at Slide 33, you will see
14 the cases, the human cases, that had been confirmed up
15 to February 3, 2006, represented by red dots in the
16 countries Cambodia, China, Thailand, Vietnam,
17 Indonesia, Turkey, and Iraq, which are the countries
18 that, at least as of that date, had reported human
19 cases.

20 This is a rather dramatic increase in the
21 number of cases and the numbers of countries which
22 reported human cases since last year. I would like,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because I have not been able to -- I'm taking this
2 call remotely and have been in transit for a good bit
3 of the last 36 hours, and so I would like to ask Dr.
4 Klimov from the CDC, who has just pulled up from the
5 WHO website the official numbers of cases by country,
6 to report on those numbers for you. Sasha?

7 DR. KLIMOV: Yes. Hi, Nancy. So the most
8 updated table at the WHO website is from February 13,
9 the same date you are showing the map. So the numbers
10 are: Total numbers of laboratory confirmed H5N1
11 cases total is 169. Of those, 91 are fatal cases.

12 I will go through all those countries very
13 shortly, and the first number will be number of cases.
14 The second number will be fatal cases.

15 Cambodia: They had in 2005 four cases,
16 four deaths. China: Total, 12; eight deaths;
17 Indonesia: 25 cases, 18 deaths; Iraq: one case, one
18 fatal case; Thailand: 22 total, 14 fatal; Turkey: 12
19 total, four fatal; Vietnam: 93 total, 42 fatal.

20 Thank you.

21 DR. COX: Okay, thank you, Sasha.

22 As you can see, the mortality rate -- the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 case fatality rate continues to hover around between
2 50 percent and 70 percent. As cases flood in from
3 different countries, the proportions change by total
4 and by country, but certainly, the case fatality rate
5 has not dropped terribly dramatically. It has
6 remained very high overall.

7 We know that case ascertainment is
8 probably relatively poor in many of these countries,
9 and that there could be asymptomatic or mild cases as
10 well as severe cases that are being missed in areas
11 with relatively poor surveillance.

12 If we go to the next slide on page 34,
13 what you will see here is data up to the same time
14 showing in green the countries that have reported
15 poultry or wild bird cases, and I believe there are a
16 couple of additions not shown here, and that would be
17 Austria and Germany which reported cases in wild birds
18 yesterday, I believe, or the day before.

19 Then the countries shown in yellow --
20 sorry, red are those countries which have both human
21 and poultry cases. Japan and South Korea have both
22 effectively stamped out H5N1 high path outbreaks in

1 poultry.

2 So it shows that it is possible to do so
3 with aggressive stamping out measures and excellent
4 surveillance. But you can see that, compared with
5 last year, we have vast regions of the globe which are
6 now affected or into which H5N1 has been introduced,
7 and the belief is that the introduction of H5N1
8 viruses into the Middle East and Europe has occurred
9 through the migration of wild birds which are carrying
10 the virus along the migratory routes that are fairly
11 well described in the literature.

12 So I would also like to point out that
13 there is a great deal of concern, since H5N1 has now
14 been detected in Africa, in West Africa, and there are
15 a number of rumors of bird die-offs in East Africa
16 along the migratory routes, as well as additional
17 rumors of H5N1 in countries in the Middle East where
18 H5N1 has not been confirmed.

19 Although I lost my Blackberry connections
20 en route to my destination, I did note that there are
21 also rumors of large bird die-offs in India at the
22 moment.

1 So I am sure it will be a changing picture
2 day by day, and while many in Europe had considered
3 that it was safe to have poultry outside because the
4 migratory season had already ceased, because they have
5 been finding dead wild swans that have been
6 demonstrated to be infected by H5N1, many of the
7 countries in Europe have ordered the farmers to bring
8 the poultry -- make sure the poultry remains inside so
9 that they are less likely to become infected by wild
10 birds.

11 If you move on to the next slide -- and
12 there is one more thing about that slide that I wanted
13 to mention. HHS and CDC have received additional
14 dollars from the Congress to enhance surveillance in
15 countries which have already experienced H5N1
16 outbreaks or in neighboring countries where H5N1
17 outbreaks may be expected to occur.

18 All of those countries which have the
19 hatched lines in them have bilateral agreements with
20 the U.S. which are actually in the form of cooperative
21 agreements where money is provided to the countries to
22 expand their surveillance networks into more rural

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 areas and to capture not only seasonal influenza
2 isolates but also H5N1 cases, should they occur.

3 As a result of this initiative, we are
4 receiving many more viruses from some of these
5 countries than we have in previous years, and
6 certainly have received H5N1 viruses from many of the
7 countries that have had human cases. Next slide,
8 please.

9 When we look at the evolutionary
10 relationships of the HA genes in these circulating
11 viruses, we are seeing a great deal of genetic
12 diversity, and that will be reflected in the antigenic
13 table which I show next.

14 If you concentrate at the very bottom of
15 this dendrogram, what you will see listed at the very
16 bottom is China avian virus which looks like the
17 ancestor of all of the current strains. That virus is
18 actually the Goose Guangdong '96 virus which caused
19 outbreaks in geese, in domestic geese, in south China
20 in 1996, and that really is the granddaddy of all of
21 the viruses that are circulating throughout Asia, the
22 Middle East and Europe at the moment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Then you will see right above that the
2 Hong Kong '97 viruses and, of course, we are all very
3 familiar with the outbreak that occurred in humans in
4 Hong Kong in 1997 and resulted in large scale culling
5 of all the poultry in Hong Kong on a couple of
6 occasions.

7 Then you will see the rest of it, the
8 majority of the dendrogram is comprised of viruses
9 primarily isolated in 2004 and 2005. The viruses at
10 the top that are bracketed and labeled as clade 1
11 viruses are those that caused the human outbreaks in
12 2003 and 2004, and you will see there near the top of
13 clade 1 a virus in red and in bold which says "Vietnam
14 120304," which is one of the vaccine reference viruses
15 that has been used to make pilot lots and has been
16 used in clinical trials in the United States and a
17 related virus, Vietnam 11/94 has also been used.

18 Now, of course, it wasn't a wild type
19 virus itself, but it was a reverse genetics modified
20 virus in which the multi-basic amino acid cleavage
21 site in the hemagglutinin was removed, and then that
22 hemagglutinin and the neuraminidase from the H5 were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rescued on a PR8 backbone, and the viruses were very
2 carefully tested for safety and then provided to
3 manufacturers to make pilot lots of vaccine which were
4 used in clinical trials.

5 I'm sure you have heard some information
6 about those human trials in the news, although the
7 results have not yet been published in the scientific
8 literature.

9 Now if you will direct your attention to
10 the bottom of the dendrogram where it says "Clade 2,"
11 you will see a group of quite genetically diverse
12 viruses comprised of viruses from Vietnam, from China,
13 from human cases in China, from both avian and human
14 cases in Turkey, and you will see a number of avian
15 viruses from Rumania, Mongolia, Russia, and so on.

16 Toward the top of Clade 2, you will see a
17 number of viruses, both from humans and from birds,
18 from Indonesia, and you will see one virus which is in
19 red and bold, and that is the Indonesia/505, and that
20 is the particular strain that was used by our
21 laboratory at CDC to make a reverse genetics modified
22 vaccine candidate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So in short, if I could summarize the
2 genetic properties of the hemagglutinins and the
3 neuraminidases, the genetic properties of the
4 neuraminidases track very closely with the genetic
5 properties of the humagglutinins. You can see that
6 they fall into two major genetic groups, Clade 1 and
7 Clade 2, and then Clade 2 is more diverse than Clade
8 1, and there are a number of subgenetic groups in
9 Clade 2.

10 Now moving on to the antigenic analysis
11 shown in Slide 36, we have color coded the Clade 1 and
12 Clade 2 viruses. So at the top left of the HI table,
13 you will see Vietnam/1203, Vietnam/Japan/30321, and
14 Vietnam/30408. Those are all Clade 1 viruses, and
15 those were Clade 1 viruses that showed the greatest
16 antigenic diversity among Clade 1 viruses that we
17 tested.

18 The Clade 2 viruses are represented by
19 antigens 4, 5, 6, 7 and 8, most of which are from
20 Indonesia. Among those antigens you will see
21 Indonesia/5 which I had pointed out before, which is
22 the second Clade 2 antigen shown in the green box, and

1 then Indonesia 5-R, which is the far right, in the far
2 right column, and that -- So that antiserum was made
3 to our reverse genetics modified Indonesia/5 vaccine
4 reference virus.

5 So you can see very clearly from this HI
6 table that there are -- that both antigenically and
7 genetically we can distinguish Clade 1 viruses from
8 Clade 2 viruses, and that cross-reactivity between
9 Clade 1 viruses and Clade 2 viruses is relatively
10 poor.

11 I can substantiate that statement by
12 saying that we have taken serum from an individual who
13 had recovered from an infection by a Clade 1 virus and
14 have used that serum in a serum in a
15 microneutralization assay, and whereas we had high
16 titers of microneutralizing antibody against Clade 1
17 virus, homologous virus, we found that the
18 neutralizing antibody titers to viruses in Clade 2
19 were reduced significantly.

20 So that was really the basis for the
21 development of not only Clade 1 viruses as vaccine
22 candidates, but also more recently Clade 2 viruses as

1 potential vaccine candidates that could be used to
2 make pilot lots and then subsequently be used in human
3 clinical trials.

4 We went over some information at our WHO
5 meeting which I will summarize briefly. Rob Webster
6 has been working on two potential vaccine candidates
7 in Clade 2, one from Mongolia and one from China.
8 They have rescued -- removed the multi-basic amino
9 acid cleavage site and rescued a virus for both of
10 these wild type strains, and their viruses are
11 awaiting test -- both viruses are awaiting testing.

12 At CDC our Indonesia/5 virus has gone
13 through the full battery of safety testing, which
14 includes demonstrating that the virus -- the
15 reassortant does not plaque in the absence of trypsin,
16 that it does not kill chick embryos, that it is
17 apathogenic when inoculated in chickens, and is not
18 pathogenic in ferrets; and we have prepared a dossier
19 and have submitted it to USDA to gain select agent
20 exemption, because, of course, in the United States
21 highly pathogenic avian influenza viruses are
22 considered to be select agents, and so in order to be

1 able to ship the virus to manufacturers, we must
2 obtain official paperwork from USDA exempting the
3 vaccine candidate from the category of select agent.

4 We may already have that paperwork back
5 from USDA. I'm not sure. perhaps Sasha could update
6 us when I finish the summary.

7 In Japan, they chose the Indonesia/6,
8 which is shown in the HA table right sort of in the
9 middle of the Clade 2 viruses in the HI table, and
10 they went ahead and rescued a virus, but there are
11 some concerns that have arisen in Japan about
12 genetically modified organisms. So they have hit a
13 regulatory snag.

14 In the UK, they have also hit a bit of a
15 regulatory snag, and there the virus that they have
16 rescued had to be rescued in a back-up facility. So
17 there is a question about whether or not the
18 regulatory authorities will accept it or not, because
19 it was prepared in a laboratory but in a glovebox and
20 under very stringent conditions, but there was another
21 glovebox in the same room where another virus was
22 being worked on at the same time.

1 So it just depends on the determination of
2 the regulatory authorities as to whether that will be
3 acceptable or not, and that virus has not been tested
4 in -- that virus has not been tested in ferrets yet,
5 and they use the Turkey/2005 virus.

6 So the bottom line is that there is one
7 Clade 2 virus that is almost ready to be distributed
8 to manufacturers. There are others that need to be
9 tested in ferrets but should be -- the testing should
10 be complete shortly, and then select agent exemption
11 would have to be obtained for the U.S. ones, and then
12 the one in Japan and the one in the UK which have some
13 regulatory concerns surrounding them.

14 So I think that is a complete update.
15 With that, I will close, and entertain any questions.

16 DR. KLIMOV: Before any questions, I
17 should say that as of yesterday the FDA excluded the
18 reassortant in Indonesia/5/2005 PR8 genetically
19 modified reassortant from the select agent list.

20 DR. COX: So that means that we can
21 distribute that virus to manufacturers and others
22 under a standard material transfer agreement.

1 ACTING CHAIR KARRON: Thank you very
2 much. Are there questions for Dr. Cox? I actually
3 have one question.

4 I was just wondering, Nancy, whether at
5 the WHO meeting -- have you heard any reports about
6 different clinical presentations or outcomes between
7 Clade 1 and Clade 2? Was there any discussion of
8 that?

9 DR. COX: There has been discussion, not
10 specifically at that meeting, but it appears that, at
11 least superficially, from what we've been hearing
12 about case presentations and outcomes and the spectrum
13 of illness, it seems that there are great similarities
14 both from disease caused by Clade 1 and Clade 2
15 viruses, but also in the disease that was caused in
16 1997.

17 There are some atypical cases reported in
18 the literature where respiratory symptoms were not
19 reported, but in one case encephalitis and in another
20 case where diarrhea was a very prominent feature. But
21 I think that is perhaps simply because we are
22 accumulating many more cases than we had in the past,

1 and so we are seeing certain cases that present in
2 what may be a slightly atypical manner.

3 ACTING CHAIR KARRON: Thank you. Other
4 questions or comments? There is a question from the
5 floor.

6 MS. HO: Hi, Nancy. This is Meishang Ho
7 from Taipei. My question is: At the bottom there are
8 two isolates from China, and the titers are low. So
9 what do we know about it for human isolates from
10 China? Do they fall -- I guess you can find -- There
11 are lots of China isolates on the top, but there are
12 only two that have been tested.

13 DR. COX: There are two which we have at
14 CDC which have been provided to us. We are expecting
15 additional human isolates to be provided to us from
16 mainland China. Those viruses are actually in a
17 little bit different part of the evolutionary tree
18 than the Indonesian viruses and the Turkey viruses.

19 I actually was -- Even though the titers
20 against the Indonesia/5 are a little bit reduced for
21 a couple of the viruses, one of the Turkey viruses and
22 the Anhui/2 virus, I was relatively pleased that the

1 Indonesia/5 antiserum tends to cover the majority of
2 the human isolates fairly well.

3 So I was afraid we were going to see even
4 more diversity than we have among the human isolates.
5 So our test antigens that we have included in this
6 particular test are all isolates from humans.

7 Now if we take the full gamut of viruses
8 and include more viruses that have been isolated from
9 birds, you don't see quite as -- you see greater
10 diversity, I would have to say.

11 We have had much -- I would like to say
12 that we have had a great deal of transparency in our
13 interactions with Indonesia and with Turkey, and
14 certainly much greater transparency in our
15 interactions with China than in some previous
16 situations, and it has been very positive.

17 I am not sure that answers your question,
18 but those -- the bottom line is those viruses are
19 genetically a little different, and they are
20 antigenically a little different, but I think they are
21 pretty well covered by the Indonesia antiserum. So at
22 least I think we have a good shot with that particular

1 vaccine candidate, if it is immunogenic, to induce
2 antibodies that would be fairly broadly cross-reactive
3 against different groups of viruses.

4 MS. HO: Well, Nancy, if this were placed
5 in the group of H3, we would have seen fourfold drop.
6 We will see that we will call that a low reactor, and
7 now -- because China has -- You know, there are only
8 two viruses down here. Maybe more needs to be looked
9 at.

10 DR. COX: Oh, absolutely, and we have now
11 -- They have set a precedent by sending the viruses,
12 and have promised follow-up with additional viruses.
13 So we are trying very hard to get viruses both from
14 the human health authorities and the animal health
15 authorities in China. And although there is a
16 fourfold drop with Anhui/2 -- and you are right -- we
17 would consider that to be significant.

18 What I was really afraid of, honestly, in
19 looking at this great deal of genetic diversity, that
20 we wouldn't find an antiserum that would cover even
21 this well. So if you see what I mean, my expectations
22 were fairly low, given the genetic diversity that we

1 are seeing.

2 My feeling is that a lot more needs to be
3 done. We need to continue to gather viruses from as
4 many human cases as possible, to continue to do the
5 cross-testing with post-infection ferret serum, and
6 determine if it is really necessary to make multiple -
7 - not just one Clade 1 vaccine reference strain, but
8 multiple vaccine -- multiple Clade -- sorry, not just
9 one Clade 2 vaccine reference strain, but multiple
10 Clade 2 reference strains for cross-reactivity in
11 animal models and potentially in humans.

12 ACTING CHAIR KARRON: Thank you. Are
13 there any more questions for Dr. Cox?

14 There is one comment by Dr. Baylor.

15 DR. BAYLOR: And this is just sort of a
16 statement and a brief update of reactivity to address
17 pandemic at the FDA.

18 We are moving forward with developing
19 guidance documents, and those have moved quite far in
20 guidance documents to address the regulatory
21 requirement for licensing pandemic influenza vaccines,
22 as well as a guidance document on licensing the

1 standard trivalent inactivated vaccine.

2 So that activity is moving forward. Also,
3 the FDA did receive a supplemental budget -- not an
4 increase in the budget, but a supplement from the
5 Department to increase our activities to facilitate
6 the development and evaluation of new vaccines for
7 influenza pandemic.

8 So we are working with our international
9 partners as well as our partners like CDC and others
10 in the Department to move those things forward.

11 ACTING CHAIR KARRON: Thank you. If there
12 are no other comments, I think we will adjourn the
13 meeting. Thank you all for attending, and we are
14 adjourned.

15 (Whereupon, the foregoing matter went off
16 the record at 4:22 p.m.)

17
18
19
20
21
22

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of:

Vaccines and Related Biological Products
Advisory Committee

Before:

DHHS/FDA/CBER


Date:

February 17, 2006

Place:

Bethesda, Maryland

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

A handwritten signature in black ink, appearing to be "R. M. [unclear]", is written over a horizontal line.