

U.S. FOOD AND DRUG ADMINISTRATION
 CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

TRANSMISSIBLE SPONGIFORM ENCEPHALOPATHIES ADVISORY
 COMMITTEE

This transcript has not been edited
 or corrected, but appears as received
 from the commercial transcribing
 service. Accordingly the Food and
 Drug Administration makes no
 representation as to its accuracy.

17th MEETING

TUESDAY,
 FEBRUARY 8, 2005

The Committee met at 8:00 a.m. in the Maryland
 Room of the Hilton Hotel, 8727 Colesville Road, Silver
 Spring, Maryland, Dr. Suzette A. Priola, Chairperson,
 presiding.

PRESENT:

SUZETTE A. PRIOLA, Ph.D.,	Chairperson
JAMES R. ALLEN, M.D.	Temporary Voting Member
ERMIAS D. BELAY, M.D.	Temporary Voting Member
VAL D. BIAS	Member
ARTHUR W. BRACEY, M.D.	Member
LYNN H. CREEKMORE, D.V.M.	Member
STEPHEN J. DeARMOND, M.D., Ph.D.	Temporary Voting Member
DONNA M. DiMICHELE, M.D.	Temporary Voting Member
PIERLUIGI GAMBETTI, M.D.	Temporary Voting Member
DAVID W. GAYLOR, Ph.D.	Temporary Voting Member
R. NICK HOGAN, M.D., Ph.D.	Member
ALLEN L. JENNY, D.V.M.	Member
RICHARD T. JOHNSON, M.D.	Member
FLORENCE J. KRANITZ	Consumer Rep
GEORGE J. NEMO, Ph.D.	Temporary Voting Member
STEPHEN R. PETTEWAY, Jr., Ph.D.	Acting Non-Voting Industry Rep
MO D. SALMAN, Ph.D.	Member
LAWRENCE B. SCHONBERGER, M.D.	Temporary Voting Member
GLENN C. TELLING, Ph.D.	Member
WILLIAM FREAS, Ph.D.	Executive Secretary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
 1323 RHODE ISLAND AVE., N.W.
 WASHINGTON, D.C. 20005-3701

FDA REPRESENTATIVES:

STEVEN ANDERSON, Ph.D., MPP
JAY S. EPSTEIN, M.D.
PEDRO PICCARDO, M.D.
DOROTHY SCOTT, M.D.
MARK WEINSTEIN, Ph.D.
ALAN E. WILLIAMS, Ph.D.

INVITED SPEAKERS:

SHEILA M. BIRD, MA, Ph.D. Cambridge University
LISA A. FERGUSON, D.V.M. USDA
ANNA M. MOLESWORTH, BAHons, Msc U.K. Health
Protection Agency
LYNNE SEHULSTER, Ph.D., M(ASCP) Centers for Disease
Control and Prev
KATE SOLDAN, Ph.D. U.K. Health
Protection Agency

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

A-G-E-N-D-A

Welcome and Introductions, **WILLIAM FREAS, Ph.D.** 5
Executive Secretary

Informational Presentation

Update on BSC Surveillance in the U.S., 13
LISA A. FERGUSON, D.V.M., USDA

**Topic 1 - Possible vCJD Risk from Investigational
Coagulation Factor XI Manufactured before 1998
from Plasma of Donors Residing in the United
Kingdom**

- A. Introduction (10') - **MARK WEINSTEIN, Ph.D. OBRR, FDA** 29
- B. U.K. Risk Assessment Methods and Assumptions, **KATE SOLDAN, Ph.D., and ANNA MOLESWORTH, BAHons, Msc, U.K. Health Protection Agency** 33
- C. Risk Assessment for Factor XI, **STEVEN ANDERSON, Ph.D., OBE, FDA** 86
- D. Recommendations on Surgical] Instruments Used on TSE Patients, **LYNNE SEHULSTER, Ph.D., CDC** 135

Open Public Hearing 151

Committee Discussion and Vote 162

**Topic 2 - Risk Assessment Models for Potential
Risk of Exposure to variant Creutzfeldt-Jakob
Disease (vCJD) Agent in Plasma Products**

- A. Introduction - Rationale for risk assessments; question to Committee (10') **ELIZABETH SCOTT, M.D., OBRR, FDA** 182
- B. Preliminary Risk Assessment - U.S. 185
 - 1. Potential TSE clearance steps In U.S. Products, FVIII, FIX, IGIV (10') **ELIZABETH SCOTT, M.D. OBRR, FDA**
 - 2. Risk Assessment Model for U.S. Plasma derivatives (40') **STEVEN ANDERSON, Ph.D., MPP** 192

Questions for Speakers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

AGENDA - (Continued):

Open Public Hearing	213
Committee Discussion and Vote	217
Topic 3 - Potential Deferral of Blood and Plasma Donors for History of Transfusion in European Countries	
A. Introduction (10'), ALAN WILLIAMS, Ph.D., OBRR, FDA	234
B. Epidemiology of vCJD in France and risk assessments for blood and plasma derivatives (25'), PEDRO PICCARDO, M.D., FDA STEVEN ANDERSON, Ph.D., MPP	236 241
C. Estimates of blood-borne vCJD risk in the U.K. and other European populations (20'), SHEILA M. BIRD, M.A., Ph.D., Health, Cambridge University, U.K.	261
D. Risks and benefits of deferring donors transfused in France and other European countries: potential impact on blood and plasma supplies, and presentation of questions for the committee (20'), ALAN WILLIAMS, Ph.D.	287
Open Public Hearing	308
Committee Discussion and Vote	324

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

8:06 a.m.

EXECUTIVE SECRETARY FREAS: Mr.

Chairperson, Members of the Committee, invited guests, and members of the public, I would like to welcome all of you to this, our 17th Meeting of the Transmissible Spongiform Encephalopathies Advisory Committee. I am Bill Freas, the Executive Secretary for today's meeting.

The entire meeting today will be open to the public.

At this time, I would like to go around the table and introduce the public to the members seated at the table. We will start on the right-hand side of the room. Would the members please raise their hand as their name is called, so people can see who is who?

In the first chair is Doctor Larry Schonberger, Assistant Director for Medical Science, Division of Viral and Rickettsial Diseases, Centers for Disease Control and Prevention.

Next, Doctor Nick Hogan, Assistant Professor of Ophthalmology, University of Texas Southwestern Medical School.

Next, Doctor Arthur Bracey, Associate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Chief, Pathology, of St. Luke's Hospital, Houston,
2 Texas.

3 Next is Doctor Allen Jenny, Pathologist,
4 National Veterinary Services Laboratory, U.S.
5 Department of Agriculture.

6 Next, Doctor David Gaylor, President,
7 Gaylor Associates, Eureka Spring, Arkansas.

8 Next, Doctor George Nemo, Chief, Blood
9 Resources Section, Division of Blood Diseases and
10 Resources, National Heart, Lung and Blood Institute.

11 Next, Doctor Richard Johnson, Professor of
12 Neurology, Johns Hopkins University.

13 Next, Mrs. Florence Kranitz, President of
14 the CJD Foundation, Akron, Ohio.

15 Around the corner of the table is Doctor
16 James Allen. Doctor Allen is Chair of FDA's Blood
17 Products Advisory Committee, and he's also President
18 and CEO of the American Social Health Association.

19 Next is the Chairperson of this Committee,
20 Doctor Suzette Priola. Doctor Priola's term was
21 extended for one year so she could continue to serve
22 as a leader of this committee, and we thank you very
23 much for that willingness to do so. Doctor Priola is
24 also an Investigator, Laboratory of Persistent and
25 Viral Diseases, Rocky Mountain Laboratories.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Next is Doctor Glenn Telling, Associate
2 Professor, Department of Microbiology, Immunology, and
3 Molecular Genetics, University of Kentucky.

4 Around the corner of the table is Mr. Val
5 Bias, Co-Chairman of the Blood Safety Working Group,
6 National Hemophilia Foundation, Oakland, California.

7 Next is Doctor Lynn Creekmore, Staff
8 Veterinarian, APHIS Veterinary Services, U.S.
9 Department of Agriculture.

10 Next is Doctor Stephen DeArmond,
11 Professor, Department of Pathology, University of
12 California, San Francisco.

13 Next is Doctor Ermias Belay, Medical
14 Epidemiologist, Division of Viral and Rickettsial
15 Diseases, Centers for Disease Control.

16 Next is Doctor Mo Salman, Professor and
17 Director, Animal Population Health Institute, College
18 of Veterinary Medicine and Biomedical Sciences,
19 Colorado State University.

20 In the empty chair we will soon - it will
21 soon be occupied by Doctor Donna DiMichele, Associate
22 Professor of Clinical Pediatrics, the Weill Medical
23 College and Graduate School of Cornell University.

24 Next is Doctor Pierluigi Gambetti,
25 Professor and Director, Division of Neuropathology,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Case Western Reserve University.

2 At the end of the table is our Acting,
3 Non-Voting Industry Representative, Doctor Stephen
4 Petteway, Director of Pathogen Safety and Research,
5 Bayer Corporation.

6 Doctor DiMichele, you are just in time.

7 On a solemn note, I do have an
8 announcement to make about a dear friend of this
9 Committee. She wasn't just one of our friends, she
10 was a former TSEAC member and a prominent researcher.
11 Doctor Beth Williams, who served on this committee
12 from January, 1999 to January, 2003, along with her
13 husband, Tom Thorne, died in a tragic automobile
14 accident on Wednesday, December 29, 2004.

15 At this time, I would like to ask that we
16 take a moment of silence to honor the contributions
17 that Doctor Elizabeth Williams made to us here at FDA,
18 to the contributions she made to the lives of her
19 students at the University of Wyoming, the
20 contributions she made as a wildlife veterinarian
21 through research in the field of chronic wasting
22 disease, and most important, the contributions that
23 she made to everyone she met, whom she treated as her
24 friend. Please join me in a moment of silence.

25 Next, I would like to read into the public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 record the Conflict of Interest Statement for this
2 meeting.

3 The following announcement is made part of
4 the public record to preclude even the appearance of
5 a conflict of interest at this meeting.

6 Pursuant to the authority granted under
7 the Committee Charter, the Director of Center for
8 Biologics Evaluation and Research has appointed the
9 following participants as temporary voting members:
10 Doctor James Allen, Doctor Ermias Belay, Doctor
11 Stephen DeArmond, Doctor Donna DiMichele, Doctor
12 Pierluigi Gambetti, Doctor David Gaylor, Doctor George
13 Nemo and Doctor Larry Schonberger.

14 Based on the agenda, it has been
15 determined that the committee will not be providing
16 advice on specific firms or products at this meeting.
17 The topics being discussed by the committee are
18 considered general matters issues.

19 To determine if any conflicts of interest
20 exist, the Agency reviewed the agenda and all relevant
21 financial interests reported by the meeting
22 participants. The Food and Drug Administration
23 prepared general matters waivers for participants who
24 required a waiver under 18 U.S. Code 208. Because
25 general topics impact on so many entities, it is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 prudent to recite all potential conflicts of interest
2 as they apply to each member. FDA acknowledges that
3 there may be conflicts of interest, but because of the
4 general nature of the discussions before the committee
5 these potential conflicts are mitigated.

6 We would like to note for the record that
7 Doctor Stephen Petteway is acting as the Non-Voting
8 Industry Representative for this committee, on behalf
9 of regulated industry. Doctor Petteway's appointment
10 is not subject to 18 U.S. Code 208, he is employed
11 with Bayer Healthcare Biological Products, and thus
12 has a financial interest in his employer and other
13 similar firms. In addition, in the interest of
14 fairness, FDA is disclosing that Doctor Petteway is a
15 Scientific Advisor for Hemocellular Incorporated.

16 With regards to FDA's invited guests, the
17 Agency has determined that the service of these
18 speakers are essential. The following interests are
19 being made to allow meeting participants to
20 objectively evaluate any presentation and/or comments
21 made by these invited speakers. Doctor Sheila Bird is
22 employed by the Medical Research Council in Edinburgh,
23 United Kingdom. Doctor Lisa Ferguson is employed by
24 the USDA Veterinary Services in Hyattsville, Maryland.
25 Ms. Anna Molesworth is employed by the Health

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Protection Agency, Centre for Infections and
2 Communicable Disease Surveillance Centre, London,
3 United Kingdom. Doctor Lynne Schulster is employed by
4 the Centers for Disease Control and Prevention,
5 Atlanta, Georgia. Doctor Kate Soldan is employed by
6 the Health Protection Agency, Communicable Disease
7 Surveillance Centre, London, United Kingdom.

8 Members and consultants are aware of the
9 need to exclude themselves from discussions involving
10 specific products or firms for which they have not
11 been screened for conflict of interest. Their
12 exclusion will be noted for the public record.

13 With respect to all other meeting
14 participants, we ask in the interest of fairness that
15 you address any current or previous financial
16 involvement with any firm whose product you wish to
17 comment upon. Waivers are available by written
18 request under the Freedom of Information Act.

19 So ends the Conflict of Interest Statement
20 for the public record.

21 Before I turn the microphone over to the
22 Chair, I would like to request if you have a cell
23 phone on, could you please put it on silence, or turn
24 it off. Your neighbors would appreciate it.

25 Next, I would also like to say that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 always have a timing light to time the speakers to
2 make sure that everything stays on schedule, but,
3 unfortunately, the timing light is in a car, and the
4 car is impounded in a parking lot at this time. If we
5 get the timing light back with the car attached, we
6 will be using it later on in the meeting. However, in
7 the meantime, when your presentation has about two
8 minutes left, I'm going to turn my little red speaker
9 light on, and that will be your warning to think about
10 concluding your presentation in the next couple of
11 minutes.

12 Doctor Priola, I turn the meeting over to
13 you.

14 CHAIRPERSON PRIOLA: Thank you, Bill.

15 First of all, welcome back, everybody,
16 from the last committee and the new members as well.
17 I think if you've gone over the topics we all realize
18 that the first two topics, the questions that are
19 asked are not necessarily voting questions; they are
20 more essay questions, which is going to - could make
21 things very difficult as we go through and discuss
22 matters, but, fortunately, the first two topics, I
23 think, will overlap significantly in many ways.

24 So the things you want to keep in mind as
25 you hear the presentations is that we've been asked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to, essentially, assess the risk models that the FDA
2 is using for risk of exposure to variant CJD from
3 plasma products, and so we've been asked to comment,
4 essentially, to give these a critical review and to
5 comment on the validity of the models, the
6 sensitivity, are the parameters sufficient, are they
7 varied enough, should we use U.K. survey data as
8 input? Just as examples, these are things that you
9 should keep in mind as you listen to the presentations
10 as we get ready for our discussion.

11 So, because we have a very full schedule,
12 and because we don't have a timing light, which makes
13 things a little bit tougher, I'd like to get started
14 with our first speaker, who I believe is Doctor Lisa
15 Ferguson, who is going to update us in an
16 informational presentation on BSC surveillance in the
17 U.S.

18 DOCTOR FERGUSON: Thank you. Good morning,
19 everybody.

20 My presentation, actually, will probably
21 be pretty quick, because I think most of you all have
22 heard me do this several different times, and just
23 with updates on numbers.

24 So, I'm primarily going to talk about what
25 we're doing in surveillance in the U.S., but just as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a reminder for everybody as to what's happening
2 worldwide, and to try to make a point, cumulative
3 total, actually, at this point in time, identified
4 cases worldwide are greater than 189,000. The vast
5 majority of those, greater than 96 percent, are still
6 in the U.K. Actually, perhaps, more interestingly,
7 more than 89 percent of those have actually occurred
8 in 1996 and before, so if you look at the curve in the
9 U.K. I think everybody is real familiar with that,
10 where you had a peak in '92-'93, and then a
11 significant drop off, but even if you look at the
12 curves in Europe it also appears to be dropping off
13 again. So, we do appear to know what we are doing, at
14 least in the animal health community and are getting
15 things under control worldwide.

16 Actually, if you are interested in the
17 numbers, the OIE, the World Organization for Animal
18 Health, does post fully-updated numbers on their
19 website, which is oie.int, and go over on the left
20 under animal health status and they've got a few pages
21 specifically for BSE, with reported cases worldwide.

22 So, let's talk about what we are doing in
23 the U.S. I think as everybody knows, beginning in
24 June of 2004 we started an enhanced BSE surveillance
25 project, and our goal is to get as many samples as we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 can from the targeted high-risk population in a 12 to
2 18-month period.

3 We're targeting population where the
4 disease is most likely to be diagnosed, so if it's
5 present, this targeted population, which are adult
6 animals with some type of clinical abnormality that
7 could even remotely be considered consistent with BSE,
8 and this is the most efficient way to help us identify
9 is the disease here, and if so, to help us put some
10 parameters around the possible prevalence level.

11 We've had lots of questions raised about
12 how we've set up our program and why we are doing it
13 this way, but our assumption was, if we can't find
14 disease in this targeted population, or the most
15 likely place to find it if it's here, then it's even
16 more unlikely to be found in the non-targeted
17 population or the clinically-normal animals.

18 We can use the data that we collect from
19 the targeted population to extrapolate information to
20 the broader cattle population.

21 We estimate that our targeted population
22 is about 446,000 animals. It was a bit of a challenge
23 to try to come up with these estimates, but we've used
24 different surveys that we've done to try to estimate
25 animals that die on the farm. We have worked with our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 colleagues in Food Safety Inspection Service to get
2 estimates of animals that they condemn on ante mortem
3 inspection, for reasons that would be consistent with
4 our target. This is out of an adult-cut cattle
5 population of 45 million.

6 So, these are the types of animals that we
7 are looking for, clearly non-ambulatory animals, those
8 animals that are down for some reason, can't get back
9 up, dead stock animals that die for unexplained
10 reasons, field cases of central nervous system signs,
11 on-farm suspects. We are working with veterinary
12 diagnostic labs, if they get these neuro cases, or
13 dead stock, or downers, also working with public
14 health labs as they get rabies suspects that would
15 also fit our target, and last but not least, we are
16 continuing to work with our colleagues in the FSIS
17 that are in slaughter plants, and any animals that are
18 condemned on ante mortem inspection for slaughter are
19 sampled.

20 Now, just to step back for a minute and
21 look at where we've been in the past, these are total
22 numbers of samples that we've examined previously on
23 a fiscal year basis. You can see our sampling really
24 stepped up in 2002, 2003, with approximately 20,000
25 samples each year. That last bar of '04, actually is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just the first part, these are fiscal years, which
2 start in October, so this is our fiscal year that
3 started in October, '03 through the end of May, '04.
4 When we started the enhanced program, we stopped
5 collecting, we essentially, made a break in our data
6 and are reporting that out separately.

7 Just to show you proportions of where
8 that's been in the past. The yellow bar are total
9 samples collected per year. The purple bar are those
10 animals that are non-ambulatory or down, and the blue
11 bar are dead stock, so the vast majority of our
12 samples collected in the past are dead stock and
13 downers. The other remaining ones in there would be
14 CNS cases, other clinical signs that would be
15 consistent with BSE.

16 So this is where we've gotten to since we
17 started our enhanced program the first part of June.
18 We are up over 221,000 samples so far. Primarily, we
19 are using rapid screening tests, one of the ELISA
20 tests for the initial sampling, many inconclusives
21 then are sent to our National Veterinary Services Lab,
22 where we are using immunohistochemistry as our primary
23 confirmatory test. We are doing some screening,
24 though, still with IHC, about 4,200 of those. So we
25 feel like we are actually on track for where we need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to be about halfway into this project, and we're
2 continuing to analyze the data. We haven't released
3 a lot of the detail publicly, that's still going
4 through some clearances. Hopefully, we'll be able to
5 distribute some of that shortly, because I know people
6 are keenly interested in how we are doing and some
7 breakdowns of that, rather than just raw numbers.

8 But, we are looking at this routinely,
9 making sure that we're getting appropriate geographic
10 distribution, and that we are getting the populations
11 that we expected. So, geographically, actually, we
12 feel like we are doing very well. The vast majority
13 of our samples are still from non-ambulatory dead
14 stock, clearly as we expected, and we are also getting
15 good representation from all the different collection
16 sites that we are working with.

17 Just to re-emphasize, for folks that
18 aren't familiar with the industries, primarily, we are
19 working with animal disposal facilities, renderers,
20 3D/4D salvage slaughter facilities, dead stock
21 haulers, these types of places. So, as expected,
22 that's really where we are getting the vast majority
23 of our samples.

24 So, we are very encouraged by our results,
25 and by the success that we've had in getting the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 samples. We do plan on continuing this, at least for
2 a 12-month period, and we'll see where we are here in
3 a few months.

4 As we analyze the data, then we'll decide
5 where to go from here. We are already looking at
6 different options for surveillance when we get this
7 project done. No decisions have yet been made.
8 Clearly, a lot of that depends on what we find in the
9 rest of our surveillance effort, what our neighbors to
10 the north find, and how that might impact us.

11 We do have a lot of information on our
12 website, and here's the website address, click under
13 Hot Issues in BSE, and we update our testing numbers
14 weekly, and also a lot of other detail about how we
15 are going about things can be found on that same
16 website.

17 So, questions?

18 CHAIRPERSON PRIOLA: Yes, Doctor Belay?

19 DOCTOR BELAY: Yes, in one of your slides
20 you had the targeted population of about 400,000. It
21 looks like the captive population was about 200,000.
22 Is that just based upon the fact that the estimate was
23 sort of on the high side, or is there some issue with
24 compliance in the testing?

25 DOCTOR FERGUSON: Our estimate of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 targeted population is, that's how many animals would
2 show up in that population in a year, so at the end of
3 a year we hope to be fairly closer to that. We are
4 about halfway into this, with more than 221,000, so we
5 think we are - both our estimate was on track, and our
6 numbers are on track.

7 CHAIRPERSON PRIOLA: Doctor DeArmond?

8 DOCTOR DeARMOND: Could you explain what
9 the compliance rules are? Is this still voluntary?
10 How are you getting these? How are you encouraging
11 people to give you these samples, and what do they
12 actually send you?

13 DOCTOR FERGUSON: Okay.

14 We have a field force throughout the U.S.,
15 where we have APHIS employees in every state, and they
16 are working with the various facilities and with on-
17 farm producers to obtain these samples.

18 The whole question of voluntary versus
19 mandatory does get a bit complicated. At this point
20 in time, these industries are cooperating with us.
21 We've built up a lot of good will with them over the
22 past several years. We recognize that we each need
23 the other, so they've always been very cooperative,
24 and we're building on that.

25 We do, however, have the authority, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 March of last year we published what we call the Blood
2 and Tissue Collection Docket, where the Department
3 does have the authority in slaughter and rendering
4 facilities to go in and mandate that we take samples
5 for surveillance, not just for BSE, but for any other
6 animal disease.

7 We have chosen to try to work
8 cooperatively with the industry, first of all, and not
9 go in with a big hammer and make people do things.
10 And, we feel like we're getting very good cooperation.

11 We did get a significant amount of
12 emergency funding to help us run this program, and
13 we're using that to do cost recovery. Essentially,
14 these guys are incurring additional costs, so we are
15 covering those costs for them. Also, with producers,
16 if they are calling us directly to help encourage
17 that, then we will pick up the cost of disposal of the
18 carcass for them, so it makes it a cost-neutral option
19 for the producer. And, essentially, for the rendering
20 facilities through a D40, if they are doing additional
21 things, specifically for this program, then we are
22 covering those costs for them.

23 DOCTOR DeARMOND: And, the tissues that you
24 get?

25 DOCTOR FERGUSON: Oh, sorry, sorry, yeah,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they are collecting, essentially, brain stem, sending
2 those in, fresh tissues. It's either an APHIS person
3 doing the collecting, either a permanent employee,
4 we've hired a bunch of temporary employees also, in
5 some instances we have hired a contractor to do that
6 collection for us, but it is fresh tissue that they
7 are sending in to one of the designated labs. If you
8 are in a given state you send stuff to a designated
9 lab.

10 DOCTOR DeARMOND: Do they scoop it out from
11 the foramen magnum?

12 DOCTOR FERGUSON: Yes, yes, the standard
13 scoon -- spoon scoop technique, yes. Sorry. It's too
14 early for me.

15 CHAIRPERSON PRIOLA: Doctor Gambetti?

16 DOCTOR GAMBETTI: Can you tell us what are
17 the criteria to declare an animal positive, or a
18 result positive? I see that you run two tests, the
19 ELISA and the immunohistochemistry. What are the
20 criteria to run the two tests, or do you run two
21 tests, the two tests together, or alternatively, and
22 what are the criteria for declare an animal positive?
23 Is it just positive with one criteria or both, or can
24 you tell us about this?

25 DOCTOR FERGUSON: Yes. I can tell you in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 somewhat general terms. If you want to get into
2 specifics about literally how we are doing each of the
3 tests at NVSL I'll call on one of my colleagues on the
4 committee.

5 But, in general terms, the first screening
6 test is done at one of our network laboratories. We
7 have seven state/federal labs that are working with
8 us, and they are using one of the commercially-
9 available rapid screening tests. They are running
10 that according to manufacturer's instructions, and if
11 they get a reactive - or, above a certain OD reading,
12 in accordance with the manufacturer's instructions,
13 that's deemed to be an inconclusive. They then
14 forward that tissue to NVSL, fresh tissue at this
15 point in time.

16 NVSL reruns the rapid screening test,
17 concurrently then they are putting that tissue in
18 formalin to fix for IHC, and then they are running IHC
19 according to their standard SOP to do an IHC test.

20 If for some reason they got that tissue
21 and it was not of adequate quality to do an IHC, then
22 we would use a Western Blot in that instance. Also,
23 if we got an IHC positive, we'd then also do a Western
24 Blot to help us characterize what we might have.

25 DOCTOR GAMBETTI: And, both have to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 positive, or only one can be positive?

2 DOCTOR FERGUSON: Okay.

3 Essentially, we are calling things
4 positive based on the IHC. So, if we got reactions on
5 the rapid screening test, you know, a strong reaction
6 at the network lab, a strong reaction at NVSL, that
7 would still be inconclusive. We are not going to call
8 that positive until we get an IHC positive, and then
9 at that point that would be deemed positive, based on
10 the IHC results.

11 DOCTOR GAMBETTI: Let's assume the Western
12 Blot is positive, and the IHC is negative, then it
13 will be called negative?

14 DOCTOR FERGUSON: Well, we are using
15 Western Blot only if we have tissue that is not of
16 sufficient quality to do IHC at this point in time, or
17 if we already have an IHC positive.

18 CHAIRPERSON PRIOLA: Doctor Hogan?

19 DOCTOR HOGAN: Yes

20 My understanding is you are testing
21 animals that are submitted to some facility or
22 rendering plant or something like that. Is there any
23 - what's the percentage, if you can guess, of dead or
24 downers never make it to a facility that aren't even
25 submitted for testing?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DOCTOR FERGUSON: I don't know that you'd
2 ever be able to come up with a percentage. I mean,
3 you know, it's a wild guess to try to say how many
4 animals die on a farm in a given year. We've done
5 different surveys to try to come up with, or used
6 information from general animal health surveys that
7 we've done to try to come up with estimates of that.
8 Whether that's accurate or not, we have no clue.

9 That 446,000 number that I showed as an
10 estimated high-risk population, probably about 220,000
11 to 250,000 of those were from that estimated die on
12 the farm. Now, that just means they die on the farm,
13 that doesn't mean they stay there, because we
14 recognize that a lot of producers, they don't want a
15 carcass on their farm, and many of them have
16 environmental issues, they can't bury animals, et
17 cetera, so we recognize that a lot of those are going
18 to the rendering facility, the dead stock guy, the
19 3D/4D plant, just to get them off a producer's place.

20 We are, however, looking at the
21 information that we have. We are trying to track, you
22 know, collection sites, whether it's on the farm,
23 whether it's a rendering facility, a 3D/4D, in those
24 states where, based on their local knowledge, our
25 folks say, you know, there's not a rendering facility,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and they are really focusing on getting on-farm
2 collections, they are doing very well.

3 So, those numbers are looking pretty good.
4 We are getting a good proportion of those.

5 CHAIRPERSON PRIOLA: Doctor Schonberger.

6 DOCTOR SCHONBERGER: Lisa, could you remind
7 us, assuming that the targeted surveillance continues
8 and everything is negative, what is the conclusion
9 about the prevalence of BSE in the United States? The
10 sample was selected so you could come to a specific
11 conclusion, is that not true?

12 DOCTOR FERGUSON: Well, sort of true. And
13 we've had lots of questions, and lots of entertaining
14 discussions with various entities about our
15 statistical calculations and conclusions.

16 If you look just in the targeted
17 population, and based on, you know, what we could
18 collect in the targeted population, if we get 268,000
19 samples, just based on a straight statistical
20 calculation, if there are five cases in that targeted
21 population, then we should be able to find those
22 sampling at that level.

23 There is lots of different ways to
24 extrapolate that data to the broader cattle
25 population. We've looked at probably at least three

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of those and played with different ways to do that.
2 There you can do sort of a ratio comparison, based on
3 what they've done in Europe, where you are 29 times as
4 likely to find disease in the targeted population as
5 in the clinically-normal, and you sort of work that
6 ratio and you can extrapolate information out.

7 John Wilesmith and Roger Morris have
8 developed a computer model to look at surveillance
9 data. We are also playing with that and plugging
10 numbers into that. Our folks at Harvard, that have
11 worked with this in the risk-assessment model, have
12 suggested a couple of different ways to extrapolate
13 data. All of those really get you back towards a one-
14 in-a-million type level in the total population.

15 CHAIRPERSON PRIOLA: One final question
16 from Doctor DeArmond.

17 DOCTOR DeARMOND: Whenever we try to do
18 this work, we are criticized on exactly having the
19 correct area in the obex region.

20 DOCTOR FERGUSON: Uh-huh.

21 DOCTOR DeARMOND: Do you rule out - when
22 you make an IHC declaration of positivity or
23 negativity, do you always have to include the nucleus
24 of solitary track, the dorsal nucleus, the vagus and
25 the trigeminal - descending trigeminal nucleus,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because that's where we are always criticized, because
2 that's the hottest area, and down into the reticular
3 formation is often a later stage of disease.

4 So, do you - when you make your
5 declaration, is it when you have seen those three
6 structures, or at least one or two of those
7 structures, in your IHC?

8 DOCTOR FERGUSON: My understanding is, we
9 are not necessarily having to look at all of those
10 structures, I mean, if we see something that's
11 lighting up and it is an appropriate location, we are
12 going to call that positive.

13 I guess -

14 DOCTOR DeARMOND: Those structures should
15 be included in your sample.

16 DOCTOR FERGUSON: Yeah, absolutely, and
17 that's one of the big advantages that we feel with
18 using IHC, is you can look at that and, hopefully, you
19 know, if your tissue is not a total mess, you know,
20 you can still see some of that tissue architecture and
21 know that, yes, you are in the right place.

22 Al, do you want to weigh in there, yeah or
23 nay?

24 DOCTOR JENNY: Yes. The samples are
25 surprisingly good, for the most part, the ones that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are collected fresh and put on ice packs, are very
2 good, and we see the level that we want to see of the
3 obex.

4 CHAIRPERSON PRIOLA: Okay, all right, thank
5 you very much, Lisa.

6 Okay, so we'll get on now to topic one,
7 and to present that topic is Doctor Weinstein from the
8 FDA.

9 DOCTOR WEINSTEIN: Okay.

10 I think we'll go to the next slide,
11 please.

12 In this section of the meeting, we will
13 discuss the possible risk of variant CJD, the patients
14 in the United States who were treated with a Factor XI
15 concentrate in investigational new drug studies,
16 performed between 1989 and 1997.

17 The coagulation Factor XI concentrate was
18 manufactured from the plasma donors living in the
19 United Kingdom. We are looking for the Committee's
20 advice on a risk assessment model that describes
21 potential exposure of these patients to variant CJD.

22 I'll give a very brief overview of this
23 issue. We will then hear more in-depth presentations
24 from speakers from the U.K., the FDA, and the CDC,
25 followed by questions to the Committee.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now, in September of 2004, officials of
2 the United Kingdom notified patients with bleeding
3 disorders and congenital anti-thrombin III deficiency
4 that they might be at increased risk of variant CJD.
5 The products used by these patients were manufactured
6 between 1980 and 1998, with a final out date of 2001.
7 In 1999, U.K. plasma was no longer used to manufacture
8 these products.

9 The reason for the increased concern in
10 the United Kingdom about the transmission of variant
11 CJD through plasma derivatives was the observation
12 that the disease was probably transmitted in two
13 cases, through transfusion of non-leukocyte-reduced
14 red blood cells. The two donors of these cells
15 developed variant CJD subsequent to their donations.
16 U.K. donors of blood in plasma in general are at
17 increased risk of variant CJD infection from eating
18 BSE-infected meat.

19 Now, patients in the U.K. who received
20 plasma-derived coagulation products and anti-thrombin
21 III were advised not to donate blood, organs or
22 tissues, to inform their surgeons and dentists of
23 their increased risk so that special arrangements can
24 be made for surgical and dental instruments to control
25 potential infection, and to inform their families so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that surgeons could be told in case of emergency
2 surgery.

3 Now, in the United States, there was no
4 licensed product made from U.K. plasma. However, a
5 small number of Factor XI deficient patients, and we
6 estimate the number to be on the order of 50 or less,
7 were treated under several IND protocols with Factor
8 XI concentrate derived from U.K. plasma. No Factor XI
9 product used in the United States was manufactured
10 from any donor known to show clinical symptoms of
11 variant CJD. Over time, however, we may find some
12 infected individuals who did contribute to the
13 manufacturing pools.

14 Now, with regard to Factor XI utilization,
15 Factor XI is in the category of a very rare bleeding
16 disorder. Literature estimates are on the order of
17 1/30,000 or 1/100,000,000. There is a much higher
18 prevalence in certain population groups, including
19 Iranian Jews, Ashkenazi Jews, and French Canadians.

20 The physical manifestations of the disease
21 are rare, and the disease may be unrecognized until
22 bleeding occurs associated with surgery, trauma,
23 dental procedures, or menorrhagia.

24 Most of the Factor XI products studied
25 under IND was used in one or two situations per

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patient, to prevent excessive surgical or dental
2 bleeding. This very infrequent use is in contrast to
3 the use of plasma derivatives, like Factor VIII or
4 Factor IX to treat the hemophilias.

5 We'll now have an in-depth presentation of
6 the models and actions taken in the United Kingdom and
7 the United States. Doctor Soldan, from the U.K.
8 Health Protection Agency, will talk about the methods
9 of risk assessment and assumptions used to develop a
10 risk assessment model in the U.K.

11 Doctor Molesworth, also from the U.K.
12 Health Protection Agency, will discuss actions taken
13 in the U.K., based on their model.

14 Then Doctor Stephen Anderson from the FDA
15 will present an assessment of possible risk of variant
16 CJD from the Factor XI product used in the United
17 States. This will be followed by a discussion by
18 Doctor Lynne Schulster from CDC about current public
19 health recommendations on management of surgical
20 instruments used on patients with TSC or TSC risk.

21 As these presentations are being made, we
22 request the Committee to keep in mind these proposals.
23 We ask the Committee at the end here to comment on FDA
24 vCJD risk assessment for Factor XI manufactured from
25 U.K. plasma, with regard to the model as applied to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Factor XI, and to please give any additional
2 information that is needed to improve risk assessments
3 for this Factor XI product.

4 CHAIRPERSON PRIOLA: Are there any
5 questions for Doctor Weinstein before we go on?

6 Okay, if not, thank you.

7 We'll move on to the next speakers, who
8 are Doctor Kate Soldan and Doctor Anna Molesworth, who
9 are going to discuss U.K. risk assessment methods and
10 assumptions.

11 DOCTOR SOLDAN: Good morning, everybody.
12 Firstly, thank you very much for the invitation to
13 come and speak to you today and share the U.K.
14 experience.

15 Can you hear me? Is that clear? Okay,
16 can everyone hear now? Great.

17 Anna and I, as introduced, Anna and I work
18 at the CJD section of the U.K. Health Protection
19 Agency Centre for Infections. I'm speaking today
20 mainly in my role there as Scientific Secretary to the
21 CJD Incidents Panel, which is the committee that has
22 guided our management of the vCJD risk to plasma
23 product recipients, and a role I've held since
24 October.

25 My colleague, Anna, worked throughout 2004

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on the U.K.'s notification of recipients of vCJD
2 implicated plasma products. Anna will present to you
3 the details of that process that we went through.

4 In our presentation today, we are going to
5 give you an overview of both the context and the
6 process of the U.K. plasma risk assessment and
7 notification in the U.K. I'll start by setting the
8 context and the general approach to reducing the risk
9 of iatrogenic vCJD in the U.K.

10 For us, the plasma products are one aspect
11 of this risk, and our approach is in the context of
12 the whole iatrogenic risk in the U.K., so I hope that
13 in setting the context I will preempt to answer some
14 of the questions you may have about why we did what we
15 did about plasma products in the U.K.

16 Anna will then go on to present the
17 methods and the assumptions of the plasma product risk
18 assessment. Anna will show the methods, or at least
19 the strategy, that we used for notification of
20 patients considered to be at risk.

21 And, we'll just end briefly on mentioning
22 the ongoing surveillance of vCJD in this patient group
23 in the U.K.

24 You'll be familiar, I'm sure, with the
25 U.K. epidemic of vCJD, and this is the latest observed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data and modeling for the vCJD deaths to the end of
2 2004, you see here that the quadratic model in
3 statistics is the best fit now for this observed
4 incidence to date, with a peak in the middle of 2000
5 and currently a declining incidence.

6 It assumes, generally, that the majority
7 of these cases, and the course for this curve here,
8 reflects exposure to the BSE epidemic, the primary
9 epidemic.

10 Person-to-person, or secondary
11 transmission is now secondary cause for concern.
12 There are, of course, many uncertainties in both the
13 transmissibility and the extent of exposure via
14 secondary routes, not to mention susceptibility of
15 those exposed, and this means that the magnitude of
16 any future epidemic arising due to secondary
17 transmission is highly uncertain at the moment.
18 However, from modeling, for example, on the
19 transmission by contaminated surgical instruments,
20 shows the uncertain assumptions, iatrogenic
21 transmission can lead to ongoing levels of infection,
22 even in the absence of a continuing primary epidemic
23 due to BSE.

24 So, this gives the background to the
25 U.K.'s public health response to iatrogenic vCJD,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 which includes the epidemic I've just shown you, and
2 also knowledge of a potential, but unknown, risk, both
3 due to surgery involving contact with tissues known to
4 include vCJD infectivity, and also due to blood.

5 It's recognized this great uncertainty
6 regarding pre-clinical and also sub-clinical vCJD in
7 the population in the U.K., and an awareness, unlike
8 sporadic CJD, variant CJD cases are younger, and
9 therefore in some ways more likely to pose a risk to
10 others.

11 There is knowledge of pre-symptomatic
12 prion accumulation in certain tissues, and as I
13 mentioned there's a possibility of sustaining the vCJD
14 epidemic in the U.K. population by secondary means.

15 Also as a background to our approach, was
16 the expectation that many of the actions may need to
17 be taken retrospectively as routes of secondary
18 transmission are identified after the diagnosis of the
19 case.

20 To address these needs, and suit that
21 background, in 2000 the Department of Health
22 established a U.K.-wide expert committee, called the
23 CJD Incidents Panel, and the role of this panel is to
24 advise on situations where there was understood to be
25 some risk of transmission of CJD of all types between

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients through clinical interventions.

2 I'll spare you from showing you the
3 network of all the committees and organizations
4 involved in the U.K. public health response, but
5 simply mention that this panel, which guides this
6 response to iatrogenic risk, works very closely with
7 another committee, which makes recommendations for
8 infection control precautions prospectively in
9 clinical care patients in the U.K.

10 So the CJD Incidents Panel has played a
11 key role in the plasma product risk assessment and
12 notification. Its thinking and its approach was
13 developed also, and, in fact, quite heavily, with
14 surgical exposure in mind. And much of the rationale
15 is shared.

16 The panel understands that there's a need
17 to take precautionary actions, particularly, when
18 science and the evidence is weak or, in fact, lacking,
19 and for surgical exposures it was recognized that the
20 means to eliminate risk, be that by single-use
21 instruments, complete decontamination for all
22 patients, or identification of particular patients
23 which pose a risk, was not an available option, but
24 some action was needed, and the actions would very
25 often require individual review of individual cases.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 One key principle you can see from all
2 this is that in the CJD Panel's thinking and actions
3 has been of risk reduction rather than risk
4 elimination, and also the balance between risk
5 reduction for public health purposes with some
6 consideration of disproportionate efforts to achieve
7 that and adverse effects for individual patients.

8 The panel advises on reduction of
9 iatrogenic risk for a range of patients, for all
10 patients with symptomatic disease, and also a number
11 of groups of individuals who are asymptomatic and
12 considered at risk of CJD, including variant CJD.

13 Plasma product recipients at risk of
14 variant CJD come into the penultimate group listed
15 here, along with patients exposed by potentially
16 contaminated surgical instruments, and also by fresh
17 blood transfusion.

18 The surgical exposure, the panel was
19 guided in its actions and its recommendations by risk
20 assessment conducted by the Department of Health that
21 modeled the risk of transmission with repeated use of
22 instruments that had been used on different tissues in
23 individuals thought to harbor CJD infectivity.

24 The example model shown here is for CNS
25 tissue, and with an assumption of 10 percent transfer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of residual tissue on the instruments. Then you can
2 see, that's the dotted line here, the risk - you see
3 the risk fall away with repeated use of instruments.
4 This is the risk from 100 percent down to zero, and
5 repeated use of the instruments. And, by the sixth
6 patient, you see here the modeled risk falls around or
7 below a 1 percent additional risk of infection.

8 In our risk assessment, the infectivity
9 has been expressed in ID50s, which is the dose that is
10 thought to lead to 50 percent of those exposed
11 becoming infected. Based on those surgical models,
12 the panel chose to consider patients to be at risk of
13 vCJD or CJD due to surgery if their exposure equated
14 to .02, ID50s, or a 1 percent additional risk of
15 infection of both the population risk due to the
16 surgical exposure or their potential surgical
17 exposure.

18 This same threshold was used to determine
19 the plasma product recipients to be considered at risk
20 in the subsequent plasma product risk assessment and
21 notification.

22 So, what happens to these patients who are
23 considered to be at risk? They are advised, given a
24 package of measures to reduce the risk of
25 transmission. In fact, you have seen these already,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but the patients are asked not to give blood, not to
2 donate tissue, and also to enable the medical staff to
3 take certain precautions with the instruments used on
4 them in surgical interventions.

5 In addition, clinicians were also asked to
6 play a role in this in ensuring that infection control
7 precautions are taken when these patients go for
8 treatment, and also to review their previous medical
9 history to identify if there are any other incidents
10 that may have exposed patients that would also need
11 similar control procedures to be applied.

12 Just to show you the prospective infection
13 control recommendations that are made, what they
14 actually lead to, they specify that in general
15 instruments in contact with high or medium-risk
16 tissue, as shown here, for this column of individuals
17 the plasma product recipients would be at risk of
18 iatrogenic, and for those patients it is generally
19 advised that the instruments should be removed from
20 use after use on the patient. And, of course, this
21 can be both costly and disruptive to services. So,
22 this is experience we are gaining in applying these
23 guidelines currently.

24 Now, I want to move on specifically to the
25 plasma products, and where theoretical risk assessment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was conducted in 1997. This was updated in 2003, both
2 to incorporate new evidence, and also to move towards
3 an assessment tool that could be applied to plasma
4 pools containing implicated donations for immediate
5 actions in the U.K.

6 The CJD Incidents Panel considered these
7 risk assessments and developed and consulted on a
8 mechanism for approaching these patients and the
9 package of advice that they should be given. And
10 then, as you know, in 2004, with two reports of
11 probable transmission of vCJD infection by blood
12 transfusion, that is of recipients transfused with
13 blood from cases, this precipitated the move in the
14 U.K. to trace and notify recipients of plasma products
15 that were identified as at an increased risk.

16 Here I'll hand it over to Anna to take you
17 through that process.

18 DOCTOR MOLESWORTH: Hi.

19 So, where are we? We've got, in 2004, two
20 reports of probable transfusion-associated
21 transmission, and we've also got framework for
22 handling - for managing the risk in those patients, in
23 terms of the public health precautions that need to be
24 taken.

25 When the first case was announced at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 end of 2004, we immediately addressed the risk of
2 transmission of variant CJD infection to other people
3 who had been transfused implicated blood components,
4 and we did that at the end of 2004 - 2003, and the
5 start of 2004.

6 In addition to the components recipients,
7 we also, obviously, had the radical risk of
8 transmission of variant CJD through plasma products,
9 and we had the DNV risk assessment, which had already
10 been considered. We had a framework, again, to
11 operate within, and we also had had a tool developed
12 by the U.K. Department of Health which actually took
13 the results of the DNV risk assessment and had made
14 the first steps to translate that into assessment of
15 individual risk.

16 At the start of 2004, we obviously were
17 handed over the task of implementing the public health
18 precautions, and I'm going to take you through that.
19 But, you see DNV aren't here to present the risk
20 assessment, and they are in a far better position than
21 I am to actually explain the detail. What I'm going
22 to do is just outline how it was used in terms of the
23 U.K. notification and take you through that in further
24 detail.

25 Okay. So we've got the DNV risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assessment in three stages. It looked to infectivity
2 in blood, infectivity in plasma fractions, and then
3 also provided a tool for assessing batch risk and
4 individual exposure to variant CJD.

5 So, to start off with, DNV reviewed all
6 the experimental research available on the infectivity
7 of blood and its components, and they produced a value
8 of infectivity in one unit of blood. This is taken
9 straight out of the DNV report. It gives you the
10 various experiments which they considered, which you
11 can find in the DNV report, so I'm not going to go
12 into detail, and also the proportion of infectivity,
13 which you'd expect to find in the various blood
14 components as a result of these experiments, and the
15 three main blood components being the red blood cells,
16 the buffy coat, and also the plasma.

17 Now, there were a number of experiments.
18 The main experiments which DNV focused on were the
19 experiments by Brown, et al, in 1998 and 1999. in
20 terms of reviewing the infectivity in the unit of
21 blood, they actually focused on Brown's experiments in
22 1998, and in 1998 Brown conducted two main
23 experiments, the low-dose endogenous experiments and
24 also experiments which used spiked material.

25 The low-dose endogenous experiments looked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at the distribution of infectivity in the blood for
2 mice who were inoculated intracerebrally, but with a
3 mouse-adapted human TSE.

4 The spiking experiments looked at the
5 distribution of infectivity in blood components in
6 human blood, which have been spiked with bone material
7 from scrapie-infected and scrapie-diseased hamsters,
8 so two main experiments.

9 The one the DNV decided to go with, which
10 proposed would be the most suitable, were the low-dose
11 endogenous experiments, and they looked at the
12 distribution of infectivity and they came out with
13 this just over half the infectivity which you'd find
14 in a unit of blood would be found in the plasma
15 component.

16 Okay. Then DNV actually assessed how that
17 infectivity in the plasma might be distributed within
18 the different plasma fractions. Okay. So, this is in
19 two parts, there's a focus on this, which is again
20 from the DNV report, this is the outline of plasma
21 fractionation process. Effectively, you start with a
22 very large plasma batch start pool, about 20,000
23 donations, and the plasma are separated into
24 cryoprecipitate, from which you get the main clotting
25 Factor VIII, and cryosupernatum, which the other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 products made from including the other blood clotting
2 factors, Factor IX, XI anti-thrombin, various
3 immunoglobulins and albumin, and these are the main
4 products, and they are for - they are for the main
5 intermediates, although plasma is also used to make
6 other products. The whole of the Det Norske risk
7 assessment was based on the major clotting factors,
8 immunoglobulins and albumin.

9 Having been sent through the fractionation
10 process, you get various intermediate stages, and in
11 each of these stages before you reach the final
12 product you get a series of processes involving
13 precipitation, centrifugation and filtration, heat
14 treatment, depending on the actual product involved.

15 And then, once you get to the final
16 product, that's, obviously, distributed into the vials
17 of product, and used to treat a variety of conditions.

18 Having an idea of this, obviously, Det
19 Norske wanted to see how this infectivity might be
20 distributed, there again, refer to the experiments
21 conducted by Brown, et al in 1998 and 1999. All these
22 are Brown's experiments, and again, it's found in the
23 risk assessment, and effectively they decided to go
24 with the low-dose experiments, the mouse-adapted human
25 TSE, and that was the rate of the infectivity was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 distributed amongst different plasma fractions with,
2 actually, a combination of Brown's experiments in 1998
3 and 1999.

4 And, in this figure, just as in the
5 previous one, I just want to draw your attention to
6 the huge variation between the different experiments
7 and the types of infectivity you might expect to see
8 in the different plasma fractions.

9 This is what they came up with, and I will
10 actually go into more detail of the assumptions
11 further on in this presentation, but they derived
12 values for the infectivity in each component and
13 fraction per unit of blood. They said if a unit of
14 whole blood, 450 mls, has got about 950 ID50s per
15 unit, 53 percent of that goes into plasma, and then
16 within that the infectivity is apportioned to these
17 variant intermediate plasma fractions, the greatest
18 infectivity being found in the cryoprecipitate, and
19 the straight cryosupernatant, and then other levels of
20 infectivity in the progressively - the highest levels
21 of infectivity being in the lower fractions.

22 Okay, so that's what they came up with,
23 and that was effectively what we used for - those were
24 the figures that we used for our patient notification
25 exercise.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, DNV conclusions, obviously, we don't
2 know the level of risk of variant CJD infectivity in
3 the blood of people incubating disease. It's entirely
4 based on animal models, but they show that we may have
5 infectivity present in plasma, as well as other
6 components, and if the level of infectivity is as
7 suggested by animal models then it may be sufficient
8 to cause infection, and therefore certain plasma
9 products could carry a risk of infection.

10 Okay. So then the next stage of the DNV
11 risk assessment is they provided this tool for
12 assessing the type of risk you might expect to find in
13 product batches and had to translate that into
14 individual exposure. Now, we took this, this is what
15 our Department of Health were working on, we developed
16 it slightly, but not a great deal. Effectively, this
17 process we used to calculate the potential risk of
18 variant CJD in our implicated product batches using
19 this, the infectivity per unit in a product batch,
20 number of donations, number of implicated donations in
21 the plasma start pool, the fraction-specific
22 infectivity, and the proportion of the fraction used
23 to make a batch, those were the key inputs.

24 Having got an idea of the individual batch
25 risk, then what we needed to do is, obviously, assess

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the individual exposure risk, so you get to the total
2 infectivity in a product batch, the dose that
3 individual would have received, from that, overall,
4 the batch an individual would have received, and that
5 will give us an idea of the kind of levels of
6 potential infectivity that are out there, and,
7 obviously, getting back to Kate's 1 percent threshold,
8 the potential exposure to .02 ID50 is equivalent to
9 our 1 percent risk of infection.

10 So that was the basis, that was the
11 theoretical basis upon which we did the notification.

12 Now, clearly, there are a great many
13 assumptions and uncertainties in that process. The
14 main ones - well, they can be summed in three
15 sections, the infectivity of blood relating to the
16 processing, and also the susceptibility of individual
17 recipients to infection. Now, certainly where there
18 was uncertainty the most precautionary option was
19 used, when there was uncertainty throughout,
20 basically, throughout this entire process, we took a
21 very precautionary approach, within the context of the
22 background risk from dietary exposure to BSE, and this
23 approach is basically traditionally used by the U.K.
24 National Blood Services.

25 And, I guess that's with the view that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measures could be relaxed, should we get new evidence
2 indicating that the risk had been overestimated by
3 several orders of magnitude.

4 Okay, so I'm going to go through each of
5 those - each of these assumptions.

6 Okay, relation to the infectivity of human
7 blood. So, we are assuming that blood from somebody,
8 incubation variant CJD is infectious. I think that's
9 a reasonable assumption. We've had two reports of
10 transfusion-associated infection. Statistical
11 analysis indicate that the case of infection in a
12 recipient of blood from a variant CJD-infected donor
13 is unlikely to have occurred by chance, and we've had
14 two instances of transmission of infection.

15 The other issue is right, okay, so we'll
16 assume it's infectious, how much infection do we
17 actually have in human blood? There's a massive range
18 of levels of infectivity in human blood, I think it
19 ranges between about 300 and 400 ID50. Experiments
20 from Brown indicated that actually the level of
21 infectivity might be towards the low end of the
22 spectrum, and that Det Nortske's factors took the
23 figure of ten as an appropriate level.

24 Then, additionally, experiments of Brown
25 also indicated that the actual intravenous inoculation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of infectivity may be five times less efficient than
2 through the intracerebral route, so they reduced that
3 down to two, although it may be up to 100 times and
4 this may again be an overestimate, but again, even if
5 we had two intravenous ID50 primarily to human blood
6 there's great variation around that estimate.

7 Okay. The second one, infectivity is
8 constant throughout the incubation period, and is in
9 presence at the time of donation, and as far back as
10 1980. In the U.K. we use 1980, as that's the time
11 when we feel that BSE first entered the human, could
12 have first entered the human food chain. So, that's
13 why we use the start date of 1980.

14 So infectivity is constant throughout the
15 incubation period, and nobody really knows, the
16 experiments from Brown, which looked at pre-clinical
17 distribution of infectivity, showed that there was
18 very little. You couldn't detect the infectivity in
19 pre-clinical stages, but that it showed up as soon as
20 the mice became symptomatic. So, the chances are that
21 that is probably not the right assumption, and the
22 infectivity will increase the closer you get to onset
23 of disease.

24 This one is very important, that the
25 infectivity in blood components and plasma fractions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 varies from the vary for whole blood according to the
2 ratios determined from endogenous low-dose experiments
3 using blood from mice inoculated with a mouse-adapted
4 human TSE. So, we are saying that Brown's experiments
5 are directly applicable to the human situation. And
6 again, as I showed you before, there was wide
7 variation in the levels of infectivity found in the
8 different plasma fractions.

9 We also did in it in clinically-ill mice
10 in Brown's experiments, and they are also using a
11 mouse-adapted human TSE, which is Gerstmann-
12 Straussler-Scheinker syndrome, which although no
13 relative percentage of other forms of CJD we are not
14 sure how that translates to variant CJD.

15 Okay, and then the fourth one,
16 leucodepletion doesn't reduce infective geoplasma,
17 basically, that was evident through the review of
18 experimental evidence, the infectivity may be present
19 in components without white cells.

20 Okay, so that's the relating to the
21 infectivity of blood, and we got the effects of
22 processing, or processing-related issues.

23 In the U.K., we've got this background
24 risk from the BSE epidemic, dietary exposure. We are
25 only looking at the specific number of implicated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 donations in the plasma start pool. We are only
2 looking at donations from people, from donors, who are
3 known to have gone on to develop variant CJD.

4 There's no cross-contamination during
5 manufacture.

6 Every fraction manufactured could contain
7 the potential levels of infectivity found per unit
8 fraction of blood. We are, basically, saying that the
9 figures that are presented to you from Brown's
10 experiments, or derived from Brown's experiments,
11 those could end up in the plasma fraction. We know
12 that that's not possible because not the same amount
13 of every plasma fraction is used in the product of
14 each batch, so there might need to, again, be an
15 overestimate.

16 We also assumed there was no reduction in
17 infectivity through processing beyond fractionation or
18 through storage, which is unlikely. There are,
19 basically, three different approaches which were
20 considered at this level. There was no evidence for
21 the apportioning of infectivity according to protein
22 content, which is one of the approaches considered in
23 the Det Norske report.

24 Experiments on naturally-infective plasma,
25 the endogenous experiments showed the infectivity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 falls below the limits of detection early in the
2 process, and that could be because of the low
3 sensitivity of the test, but also the very low levels
4 of infectivity present. The alternative to the
5 endogenous experiments, the spiking experiments, we
6 felt the behavior of infectivity as shown by the
7 spiking experiments may not be the same as in
8 endogenous infections.

9 Now, the U.K. - the Incidents Panel, our
10 Incidents Panel, decided that either we could go with
11 the spiking experiments, which did show successive
12 reduction beyond fractionation, or we could go with
13 the endogenous experiments, which dropped so low we
14 couldn't detect it. Either would be justifiable. We
15 actually went with the measure of infectivity in the
16 plasma fractions of animals with endogenous infection
17 and assumed no additional clearance after that. So,
18 there's no clearance beyond fractionation. That's the
19 worst-case scenario. It's unlikely that that is the
20 precautionary approach we took in the U.K.

21 Okay. So then we go on to the
22 susceptibility of recipients. Okay. The dose
23 response for infectivity is linear, so, okay, we say
24 we've got one ID50 is 50 percent risk of infection,
25 the .02 is 100 percent of the risk fraction,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 therefore, .02 is the 1 percent risk of infection.

2 The risk to patients is additive over
3 their lifetime of exposure. Now, animal models have
4 suggested that the cumulative effect of regular doses
5 is actually less than the effect of a single
6 cumulative dose, so to speak, and actually, when Det
7 Norske Veritas were developing their risk model they
8 decided that they would look at exposures, human
9 exposures, up to a period of one year, and then forget
10 the rest. We took a more precautionary approach, and
11 we just said, cumulative exposures over a lifetime,
12 and that's what we looked at.

13 All recipients are equally vulnerable,
14 well, we, obviously, did not take into account
15 genotype, of which all cases of CJD have been
16 methionine homozygous, although we have had this one
17 instance of transmission of infection to a
18 heterozygote, no strain variation, no discrimination
19 by age. We took into account no host factors.

20 And then the final assumption was,
21 obviously, that animal models are applicable to
22 humans, and, particularly, in relation to variant CJD.

23 Okay, so that's how we used the DNV risk
24 assessment.

25 So then, it was how do we translate this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 into action? We've got a process for estimating batch
2 risk, and individual risk, should we want to take that
3 approach, and we've also got this framework. So, as
4 Kate was saying, the CJD Incidents Panel has advised
5 that patients who are exposed to a 1 percent or .02
6 ID50 or greater potential risk of infection by
7 surgical exposure, or exposure to plasma products, in
8 addition to the background risk from potential dietary
9 exposure, should be considered at risk of variant CJD
10 for public health purposes. And, it was a very
11 important thing, this "for public health purposes,"
12 although we've had these two cases, two instances of
13 transfusion-associated transmission, we've had no case
14 of variant CJD in any patient regularly receiving
15 plasma products in the U.K., and we simply don't know
16 how the risk of exposure to infectivity actually
17 translates to the risk of developing CJD.

18 Okay, so these patients are at risk for
19 public health purposes, and we needed to advise them
20 of the special precautions that they needed to take.

21 Okay. So, the first stage, the National
22 CJD Surveillance Unit in Edinburgh, handles the
23 surveillance of variant CJD in the United Kingdom, and
24 our National Blood Services, obviously, consider the
25 donor population. They have a study called the TIMER

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 review, which actually is used to identify blood
2 donors who subsequently develop variant CJD, and, in
3 fact, what happens is that all variant CJD cases are
4 actively investigated for history of blood donation or
5 transfusion, and the implicated donations are
6 identified.

7 Now, when we launched this notification in
8 September last year there were nine donors, there are
9 still to our knowledge nine donors who subsequently
10 developed variant CJD who donated blood for
11 fractionation.

12 Having identified those donors, we
13 identified plasma sent for fractionation, and there
14 were 23 donations of plasma sent for fractionation,
15 and then working with the product manufacturers we
16 identified the batches of plasma product or
17 intermediate made from the implicated plasma, and
18 thereby the estimated dose equivalent is 1 percent
19 risk. And again, we had to take 187 batches of
20 product and intermediate from these 23 donations from
21 these nine donors, and that situation still holds
22 today.

23 Okay. The next step was, obviously, to
24 estimate the infectivity calculated using the process
25 I've just described to you, so that's using the DNV

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 risk assessment and also the batch specific
2 manufacturing data from the manufacturers, and what we
3 managed to do was to look at each of the products
4 which had been implicated, so we had Factor VIII,
5 Factor IX anti-thrombin, immunoglobulins now being 4.5
6 percent, as well as these other products. The
7 intermediate excipient is used as a vehicle or
8 stabilizer in the final product batch, so with Factor
9 VIII, the actual factor concentrate here wasn't
10 implicated, the albumin that was used to stabilize
11 that factor in the vial was implicated.

12 We looked at the infectivity for each of
13 these batches, across 174 total finished product
14 batches, rather than intermediate, and we looked to
15 see, we looked at the sort of dose ranges that
16 patients were likely to have been treated with in
17 clinical practice, and then so comparing that with the
18 infectivity to see how much of this product would
19 actually be required to cross the threshold.

20 And, as a result of that, we managed to
21 stratify each of these implicated products according
22 to the likelihood of a patient who received those
23 products passing the 1 percent threshold. So, with
24 the Factor VIII, Factor IX anti-thrombin, that was
25 high because a single dose, or a fraction of a dose in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the small - a fraction of a dose in the single-dose -
2 would be sufficient to cross this threshold.

3 With these products, with some of the
4 intravenous immunoglobulins now being 5 percent, there
5 was a huge range in infectivity. It was feasible that
6 some patients, if they had been exposed to certain
7 product batches might have cross the threshold, but
8 the majority of cases you would need these volumes of
9 albumin, say, to cross the threshold. So, in most of
10 these situations there wouldn't have been any risk,
11 per se, in terms of our public health action, but they
12 were still an important group to check, and with the
13 low volumes required to have been so large that they
14 would not have been right in clinical practice.

15 And the advice that we gave was that we
16 made efforts to trace the high risk, we traced the
17 high risk batches and the patients who received them,
18 because only a single-dose - would be considered at
19 risk, but the medium risk batches, again, we'd want to
20 trace those products and actually assess the
21 individual exposure to risk, and with the low risk
22 factors the risk was negligible, and our advice was
23 that the batches do not need to be traced.

24 And so, that's what we did. But, of
25 course, the next stage was, obviously, assessing the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 - was how we actually notified the patient groups, and
2 we identified three main groups of patients. We had
3 patients with bleeding disorders and congenital anti-
4 thrombin III deficiency, as well as patients with
5 primary immunodeficiency, who are regularly exposed to
6 plasma products. Then you've got the other group of
7 patients with a heterogenous group of other
8 conditions, where they may, say through treatment of
9 severe burns, plasma exchange or certain neurological
10 conditions, be likely - be exposed to these products
11 in the sort of one-off situation.

12 So, it's how do we best notify these
13 people? Obviously, we developed strategies for each
14 patient group in collaboration with the patient
15 representatives, and also the clinicians treating
16 them, so it really was an iterative process to reach
17 a consensus.

18 But, the main factors dictating the final
19 choice were, obviously, the likelihood of patients
20 surpassing the threshold, the numbers affected how we
21 actually traced the products and, obviously, the
22 potential impact of the public health measures.

23 There were two main approaches. The
24 population approach, which we took for patients with
25 bleeding disorders, which was that all patients with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bleeding disorders and congenital anti-thrombin III
2 deficiency had been treated with the U.K.-sourced
3 pooled factor concentrates, or anti-thrombin between
4 1980, when BSE first entered the food chain, and 2001,
5 which was the last expiratory date of any product made
6 in the U.K. from U.K.-sourced plasma, should be
7 considered at risk of variant CJD for public health
8 purposes.

9 And, this is what we termed the population
10 approach, and it was based on the fact that a single
11 dose of implicated product in a small trial fraction
12 - it should be the other way around, sorry - would be
13 sufficient to place an individual recipient at risk,
14 that not receiving these products may not necessarily
15 mean exposure hadn't occurred, because future batches
16 may be implicated. A large proportion of patients
17 were likely to be affected, and also that the use of
18 a cutoff, this 1 percent cutoff, implied the degree of
19 scientific uncertainty, which given these other
20 factors, and the context of care and the history of
21 previous notifications of other blood-borne pathogens
22 in this patient group couldn't really be justified, so
23 that's why we went with the population approach for
24 this group.

25 For all other patients, we went on an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 individual approach, so patients with other
2 conditions, who had been assessed to be over 1
3 percent, to have received a 1 percent level of
4 infectivity, should be considered at risk, and the
5 decision to do that was based on the fact that for
6 most of these patients, most other patients, the
7 products used to treat the conditions were such that
8 substantial quantities would be required to place the
9 recipient at risk, and, therefore, very few patients
10 were likely to be affected, and that's been borne out,
11 really, by the outcome of our notification, and also
12 this approach was consistent with the approach used
13 for surgically-exposed patients.

14 Okay. So, those are the two approaches.

15 Very briefly, this is what - this really
16 summarizes who we will notify. We've got patients who
17 received plasma products between 1980 and 2001.
18 Recipients of non-U.K.-sourced products, no action
19 needed, they are not in the equation here. Recipients
20 of U.K.-sourced products are, or patients with
21 bleeding disorders, patients with bleeding disorders
22 and congenital antithrombin III deficiency between
23 these dates, they were all considered at risk and they
24 were contacted directly by the clinicians.

25 Patients with primary immunodeficiency,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 between these dates, '96 and 2000, that was the dates
2 in which the products were circulating, were also
3 contacted directly by their doctors. Not all of them
4 were at risk, they were individually assessed, but
5 they could be handled by their doctors because there
6 were clinical networks that support these patients.

7 It's with the other groups of patients,
8 where it becomes, in a sense, more difficult, because
9 there is no clear clinical network or patient support
10 group to support these people, so the patients with
11 immunodeficiencies, certain neurological autoimmune
12 conditions, patients seen for severe bones plasma
13 exchange, other patients who may have received
14 prothrombin complex concentrates, you know, with
15 acquired anti-thrombin deficiency or requiring rapid
16 warfarin reversal, thus anticoagulation, these are the
17 groups we needed to trace through the hospitals, and
18 this is what we asked as part of the notification, was
19 that these groups, the hospitals actually trace the
20 implicated product batches down to individual patient
21 level, and then we, at the HPA, assess their level of
22 risk and get back to them with the action they need to
23 take.

24 Okay, so that summarizes where the entire
25 process underlying our work last year on the risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assessment, and also the translation into public
2 health notification.

3 I'll just hand back to Kate to wrap up.

4 DOCTOR SOLDAN: Just to very briefly,
5 really, mention ongoing surveillance now has several
6 strands. There is a study in place of patients with
7 hemophilia, and this protocol involves collection of
8 residual tissues taken during clinical curve, as well
9 as requests for post-mortem during life to be granted.

10 Also, another strand of monitoring this
11 risk, of course, is the National Surveillance cases,
12 and review of that past medical history, to try and
13 identify any exposure through plasma products.

14 We are also working on developing follow
15 up for other at-risk patient groups, along the same
16 lines as for the hemophilia patients.

17 And, of course, as always, all these
18 methods have weaknesses and gaps in ascertainment,
19 heavy reliance in the U.K. on astute positions
20 physicians to pick up particularly unusual events in
21 patients that they may think to be associated with
22 exposure to CJD.

23 I need to acknowledge, you can understand
24 the process of risk assessment and patient
25 notification was no small undertaking in the U.K., and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'm sure this isn't a full list of contributors, but
2 we do acknowledge the contribution of many people in
3 all of these organizations.

4 Thank you.

5 CHAIRPERSON PRIOLA: Are there any
6 questions for either of the speakers from the
7 Committee?

8 Doctor Telling?

9 DOCTOR TELLING: So you mentioned that some
10 major uncertainty relates to the validity of using
11 mouse-adapted scrapie and the effects of strain and
12 other effects have been more or less ignored in
13 determining risk. So, I'm wondering whether the work
14 of Houston and co-workers, who have shown transmission
15 of BSE in a sheep model by blood transfusion can shed
16 any light on modifying the risk assessment?

17 DOCTOR MOLESWORTH: Again, this is why we
18 need Det Norske Veritas to comment on this, but the
19 work by Houston was incorporated into their risk
20 assessment. It was one of the experiments that they
21 actually assessed, and they decided to go with the
22 work by Brown, but they did incorporate an awful lot
23 of other information. And, yeah, I'm quite sure there
24 are various different scenarios which we could look
25 at.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DOCTOR TELLING: Okay.

2 DOCTOR MOLESWORTH: Yeah.

3 CHAIRPERSON PRIOLA: Doctor Schonberger?

4 DOCTOR SCHONBERGER: You know, public
5 health often uses human epidemiology to make
6 decisions, and I was wondering how you've used the
7 tracing of recipients of the plasma products in your
8 decision-making, and also the results of the study of
9 hemophilia patients in the U.K., which I understand
10 does not show any lesions in these patients indicative
11 of prion infection. Is that not true?

12 DOCTOR SOLDAN: Well, on your second point,
13 I mean that study protocol is in place, but there's
14 not really - there's no power there, there's no
15 findings as yet that would lead you to say one way or
16 another. I mean, that protocol is in place, and being
17 developed as we speak here today, but, you are right,
18 there's no findings to indicate infectivity, but
19 there's not been -

20 DOCTOR SCHONBERGER: I don't understand why
21 there would be no power. You are saying that one
22 dose, from 1980 onwards, would potentially put these
23 people at high risk, how many people have been
24 studied?

25 DOCTOR SOLDAN: Well, what I was referring

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to was the collection of residual specimens in post-
2 mortem in those people, that's not - we don't have
3 numbers of those events yet. We don't have residual
4 tissues collected and tested. We don't have post-
5 mortem findings from those patients.

6 So, the protocol is set up to do that, but
7 as yet it hasn't yielded very much.

8 DOCTOR SCHONBERGER: And, the follow up of
9 - is the statement that there are no variant CJD cases
10 amongst the group that have received these products
11 from known vCJD donors a true statement still?

12 DOCTOR MOLESWORTH: That's correct, as we
13 all know, I mean, the National CJD Surveillance Unit
14 in Edinburgh would detect these cases. To their
15 knowledge, there have been no cases detected.

16 DOCTOR SCHONBERGER: So, cannot that type
17 of data be entered into your risk assessment, as to
18 what the absence of cases, particularly, in hemophilia
19 patients which would have -

20 DOCTOR MOLESWORTH: The whole basis of the
21 risk assessment is precautionary. I mean, we have no
22 cases in recipients of plasma products that we're
23 preempting.

24 DOCTOR SCHONBERGER: Right, but can't you
25 use - I mean, the worst case scenario would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assuming that those individuals were not infected,
2 what could still be the risk assuming - in other
3 words, one could look at the absence of those cases
4 and say there's no risk, we can forget about it. The
5 precautionary approach would be, well, we've got those
6 observations, let's assume worst case scenario, that
7 they've avoided, by luck or some other reason, getting
8 the disease, what would then be a risk consistent with
9 the observation in humans? Has that kind of approach
10 been tried?

11 DOCTOR SOLDAN: I mean, the statistical
12 monitoring needs to go on from this point. We've not
13 yet got the person years of exposure monitored that
14 would exclude a level of transmission which is
15 consistent with -

16 DOCTOR SCHONBERGER: With what he
17 observations have been.

18 DOCTOR SOLDAN: Yes.

19 CHAIRPERSON PRIOLA: Doctor DeArmond?

20 DOCTOR DeARMOND: Yeah, sort of following
21 up on that, but from just the basic data perspective.
22 There's an assumption that there's two ID50 units in
23 a unit of human blood, but can't that be measured? I
24 remember a couple of years I asked this committee, has
25 blood been looked at in detail, and I was told, or we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were told by some representative from the U.K., that
2 blood was not allowed to be taken from these patients
3 for such studies.

4 But, it seems to me we should be able to
5 look at that at this stage and find out what the true
6 ID50 is of human blood, of patients with variant CJD.
7 That would eliminate a lot of all these assumptions.

8 The other aspect has to do with the
9 various purification of fractions. The assumptions in
10 none of your figures here of what it should be, the
11 assessment in, I guess, a whole unit of human blood is
12 based, I guess, on the animal studies.

13 DOCTOR MOLESWORTH: Yes.

14 DOCTOR DeARMOND: And, the question, even
15 here the assumption is that the animal studies
16 represent - the way they purify the fractions is
17 identical to the way fractions are purified in the
18 human case.

19 On the other hand, there's the techniques
20 for detecting abnormal prion protein today are so
21 sensitive, they are less than one infectious unit
22 based on bioassays. Those fractions can be tested
23 today to get a better marker of what infectivity is,
24 what infectivity level may actually be there.

25 So, this is very confusing to me as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 neuropathologist, it's very intense, based on a lot of
2 assumptions, and I can see that there is some real
3 data that has to be obtained at this stage, and can be
4 obtained at this stage. It's more, what are your
5 comments on that?

6 DOCTOR MOLESWORTH: My comments are I agree
7 completely. I mean, it's just based on the
8 experimental data, it was updated in 2003 by DNV, but,
9 yeah, I mean, you've got massive assumptions that
10 human blood - we should have a far better idea of the
11 infectivity in human blood, and also the reduction in
12 infectivity through processing.

13 I don't know myself how sensitive the
14 tests are to very low levels of infectivity, so I'm
15 not sure -

16 DOCTOR DeARMOND: They are very good now,
17 absolutely, the CDI assay is very - is superior.

18 DOCTOR MOLESWORTH: Yeah.

19 DOCTOR DeARMOND: It's a thousand-fold
20 better than Western.

21 DOCTOR MOLESWORTH: Yeah.

22 DOCTOR SOLDAN: We don't as yet, am I
23 right, we don't as yet have a test sensitive enough
24 for testing bloods, so though that's the direction
25 things are going, we don't yet have it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DOCTOR DeARMOND: That's not true. There
2 are - this has been presented at meetings in Europe
3 and in the U.S., you can actually in sporadic vCJD
4 cases you can detect abnormal prion protein in blood.
5 It's not very much higher than controls, but you can
6 detect it, but we have no clue as to what it is in a
7 unit of blood from a variant CJD case.

8 DOCTOR MOLESWORTH: Yeah.

9 DOCTOR DeARMOND: And, that should be known
10 at this stage.

11 CHAIRPERSON PRIOLA: Well, there's
12 certainly been no proved test for detecting in blood.

13 DOCTOR DeARMOND: You mean approved test.

14 CHAIRPERSON PRIOLA: Yes, yes, yes, so
15 there's nothing -

16 DOCTOR DeARMOND: There is a proved test,
17 but not an approved test.

18 CHAIRPERSON PRIOLA: Right, right.

19 Doctor Salman?

20 DOCTOR SALMAN: Can you comment on what the
21 range you used for the fraction-specific infectivity
22 in your equation?

23 DOCTOR MOLESWORTH: In can't comment on the
24 range for that, that comes out of the DNV report.

25 DOCTOR SALMAN: So, it's only from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 animal data, is that right?

2 DOCTOR MOLESWORTH: Yes.

3 DOCTOR SALMAN: But, you combined both the
4 use of instruments and the blood donors, in the one
5 risk assessment, is that correct?

6 DOCTOR MOLESWORTH: No.

7 DOCTOR SOLDAN: No, there's been two sets
8 - there was a risk assessment done on surgical -
9 contamination of surgical instruments, a separate risk
10 assessment did on bloods and blood products. Is that
11 your question?

12 DOCTOR SALMAN: Yeah, but you used the same
13 threshold, is that right, of .02 ID50?

14 DOCTOR SOLDAN: Yes.

15 DOCTOR SALMAN: What's the justification
16 for that, to be used for both?

17 DOCTOR SOLDAN: Well, when the surgical
18 risk assessment was considered, I mean, it was based
19 on the model of which I showed you one example.

20 DOCTOR SALMAN: Okay.

21 DOCTOR SOLDAN: And, balancing the
22 practicality of tracing recipients - sorry, tracing
23 exposed patients with the reduction of the risk. So,
24 the cutoff was taken at a point which was felt to
25 balance the number of patients to be contacted and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 informed and managed in this way with the reduction of
2 the risk. And, the 1 percent threshold was considered
3 a pragmatic and public health sensitive threshold.

4 When the panel came to consider the plasma
5 product risk assessments, the same threshold was
6 applied in order to be consistent with the surgical
7 exposure, and follow a consistent approach, in the
8 absence of any real evidence that a different approach
9 would be better.

10 DOCTOR SALMAN: And, it seemed like you
11 have not done the sensitivity analysis to see how
12 sensitive this type of threshold.

13 DOCTOR SOLDAN: I'm not sure I understand.

14 DOCTOR SALMAN: For the risk assessment,
15 have you done any sensitivity analysis on some of the
16 parameters you use in the equation?

17 DOCTOR SOLDAN: Did the DNV risk assessment
18 includes sensitivity analysis?

19 DOCTOR MOLESWORTH: No.

20 DOCTOR SOLDAN: In think the range, it was
21 always acknowledged that the uncertainty was great,
22 and ranges were given around some of the parameters,
23 but not on the cutoff.

24 DOCTOR SALMAN: Okay, thank you.

25 CHAIRPERSON PRIOLA: Doctor DiMichele?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DOCTOR DiMICHELE: Thank you.

2 In sort of pursuing the issue of getting
3 tissue or getting evidence prospectively, could you
4 describe the surveillance program for hemophilia
5 that's underway, with respect to what tissue, in whom,
6 are hemophilia A and B patients being looked at
7 similarly, and is this going to be a voluntary or, you
8 know, less voluntary program?

9 DOCTOR MOLESWORTH: Yeah, I can do my best.
10 I don't know all the details of it myself.

11 The U.K. Hemophilia Centre Doctors
12 Organization has a study which is set up to monitor
13 exposure to variant CJD implicated plasma products in
14 the patients on their register, and that register was,
15 I think, set up about three years ago.

16 And, the patients currently on their
17 register include hemophilia A and B and von
18 Willebrand's disease, they don't include anybody else,
19 so we are going - we are working with them to expand
20 that, that protocol.

21 In addition to monitoring - to monitoring
22 exposure to variant CJD implicated products, and,
23 obviously, the outcome of that exposure, the long-term
24 outcome in that patient group, they also have tagged
25 onto that the information relating to the outcome of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 tonsillectomies and, I think, appendicectomies, that
2 have been tested by the National CJD Surveillance Unit
3 in Edinburgh, and I don't know whether they test
4 PrPsc-positive or not. The actual intricacies of how
5 that mechanism works I myself am unclear on.

6 DOCTOR SOLDAN: In mean, I can just add a
7 little bit to that I think. The protocol involves,
8 certainly, informed consent or dissent to tissues
9 removed during the course of clinical care to be
10 referred for testing, and also consent or dissent in
11 life to investigations afterwards. So, it is with
12 consent.

13 CHAIRPERSON PRIOLA: Doctor Petteway, and
14 then Doctor Belay.

15 DOCTOR PETTEWAY: Thank you.

16 Just a couple of questions relating back,
17 again, to the assumptions made on process and removal,
18 and I wonder if there was an analysis done of the
19 process that was used by Brown, et al, when they did
20 their studies relative to the fractionation process as
21 it were used to actually make the products in that
22 correlation.

23 And then the other is, you know, when you
24 are informing someone of risk, I think a lot of
25 assumptions went into this, but one of the key

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 components of assessing risk for pathogens, certainly,
2 for viruses, has been removal or inactivation during
3 a specific process. And, not to include that probably
4 doesn't allow you to inform whoever you are going to
5 inform of a more holistic sort of approach to risk.

6 And, I think, I mean, this is a very good
7 approach, and I think you've done a great job, but
8 leaving that out and not applying it probably doesn't
9 give you a good idea of risk for each product, and I
10 just wonder, you know, what were the components of
11 that discussion, and why did that get left out?

12 DOCTOR MOLESWORTH: In mean, issues like
13 this were thrashed round and round various committee
14 tables over about a nine-month period. I can't
15 actually tell you why it ended up like that. I'm not
16 quite sure. I think the important message is not so
17 much to focus on individual risk, but to look at the
18 relative risk of each product in relation, so that I
19 would be happy saying that they clotting factors are
20 higher risk than the immunoglobulins and the albumin.
21 But, in terms of the actual batch specific infectivity
22 as calculated, yes, there are huge numbers of
23 assumptions, and they cannot - I mean, if you look at
24 the assumptions you know that they cannot be right in
25 themselves.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We really did try and convey that message
2 when we were notifying the patients, we said you are
3 at risk for public health purposes, but we don't know
4 how this translates to your risk of actual exposure to
5 infectivity or to developing CJD.

6 I mean, it's very difficult to get that
7 message across.

8 DOCTOR PETTEWAY: In appreciate that, and
9 that was, you know, the basis of my question, and so
10 you did clarify that as you made your communications.

11 DOCTOR MOLESWORTH: Yes.

12 DOCTOR BELAY: I was just curious about the
13 total estimated number of patients that have been
14 notified, and whether or not discrimination in
15 clinical care, for example, was a problem.

16 DOCTOR MOLESWORTH: Okay.

17 There were about 6,000 patients with
18 bleeding disorders notified of the situation, of whom
19 about 4,000, we estimate, fell into that - into the
20 at-risk category under the population approach.

21 And again, our U.K. Hemophilia Centre
22 Doctors Organization is collecting the data so they
23 will be able to provide some more up-to-date figures
24 on the actual numbers who were placed at risk in that
25 group.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In terms of patients of primary
2 immunodeficiency, there are no patients, to our
3 knowledge, or to the clinical networks, who undertook
4 the assessment who have been placed at risk. So, not
5 one patient with primary immunodeficiency who received
6 repeat doses of intravenous immunoglobulin received
7 sufficient to be placed at risk.

8 In terms of the other patients, we have -
9 we've been collecting information on those patients
10 and performing the individual exposures, we've
11 received, I think at the end of last year we'd
12 received about 19,000 - 1,900 exposure assessment
13 forms, of which I think it was about -

14 DOCTOR SOLDAN: About a dozen.

15 DOCTOR MOLESWORTH: Yeah, about 12 patients
16 who'd been actually placed at risk, most of whom had
17 actually received the anti-thrombin III or the
18 prothrombin complex concentrates, and only about three
19 of them had received sufficient albumin to be placed
20 at risk.

21 Okay, so we are dealing with very small
22 numbers there.

23 DOCTOR SOLDAN: So, the bulk of the impact
24 in terms of clinical care is with those 4,000
25 hemophilia patients, and at after examining some of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the issues we're working through with quarantine of
2 instruments and, therefore, the services those
3 patients may have, whether the services would be in
4 any way compromised by the need to quarantine
5 instruments after procedures on those patients. And,
6 that's something we are working through at the moment.

7 There doesn't seem to be a huge crisis as
8 yet, but, of course, there are certain areas of
9 healthcare that are raising concerns about the cost of
10 quarantine and the implications for service.

11 But, there are ways to manage that, which
12 we are trying to develop now, in order to minimize the
13 impacts, both on those patients and on other patients.

14 DOCTOR BELAY: Do any of your at-risk
15 patients include Factor XI recipients, because that's
16 what this Committee is considering today.

17 DOCTOR MOLESWORTH: Those patients, if they
18 exist, will be encompassed under our population
19 approach to patients with bleeding disorders, but
20 remember, we - the whole notification that we dealt
21 with was based on implicated products, products which
22 had been implicated by a donation from a known donor
23 who subsequently developed variant CJD. Factor XI was
24 never implicated, it was only Factor VIII, Factor 9
25 and anti-thrombin that to date have been implicated.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON PRIOLA: Doctor Allen?

2 DOCTOR ALLEN: Thank you.

3 When I first put up my hand I had wanted
4 to get into this area of recipient notification, and
5 you've answered some of the issues. Can you tell us
6 a little bit about the response of the people? You
7 already commented on the difficulty, obviously, of
8 conveying what the actual degree of risk is, and could
9 you also comment on what the reaction of physicians
10 is, since you are using the primary care physician for
11 notification, as we would probably do in this country,
12 and I think the difficulty of trying, in my view, to
13 bring primary care physicians up to speed in terms of
14 how to do the notification, and what to say, is
15 probably equally as difficult as notifying the
16 patients.

17 DOCTOR SOLDAN: I'll start, Anna, maybe you
18 can add some points.

19 I mean, I think this process is still very
20 much in process for us, so it's a little bit early to
21 feed back to you the response from the patient group
22 on the whole. I mean, we are having early and ad hoc
23 responses, but we've as yet not investigated,
24 particularly, not investigated patient response, and
25 not greatly clinician response either.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 After the notification of recipients of
2 blood components, which was a much smaller group, that
3 was the first notification of this type that we did,
4 we did a survey of the clinicians who did that
5 notification, and whether they found they were the
6 appropriate people to deliver the notification, and
7 whether the patients found the information acceptable.
8 And, on the whole, that was the message that came
9 back, that the primary care physician was the
10 appropriate person to deal with this situation,
11 bearing in mind it's going to be a chronic one, and
12 also that in general the patients accepted the
13 information fairly stoically.

14 We don't as yet have any - and, obviously,
15 it's a much larger group, and so in discussions we've
16 been consulting with social science colleagues in
17 order to do some study of the response of the patients
18 in this larger group.

19 CHAIRPERSON PRIOLA: Doctor Bracey and then
20 Doctor Schonberger.

21 DOCTOR BRACEY: Actually, my comment
22 related to what Doctor Allen was commenting upon, and
23 that is the great degree of difficulty in training the
24 communicator. We have experience here with CJD,
25 tremendous problems in terms of the vCJD travel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 restrictions in terms of training the communicator,
2 the primary physician. So, I would just caution that
3 they will be a tremendous undertaking.

4 DOCTOR SCHONBERGER: In wonder if you could
5 clarify again how you are handling the Factor XI
6 recipients who, of course, as you say, have not
7 received product linked to a known vCJD donor, but I
8 saw that you were regarding them potentially at risk,
9 but you are not - can you clarify how you are handling
10 that again?

11 DOCTOR MOLESWORTH: This is correct.

12 Patients who receive Factor XI are
13 included under our population approach. All patients
14 with bleeding disorders who received U.K-sourced
15 plasma between 1980 and 2001 are considered at risk,
16 and, therefore, they are being handled in exactly the
17 same way as every other patient within that umbrella,
18 regardless of whether or not that patient received an
19 implicated product. So, they will be treated the same
20 way as a patient who received five vials of implicated
21 Factor VIII, either be approached by a clinician, they
22 will be told there is this possible potential risk of
23 variant CJD infectivity that they may have received
24 through plasma products, and that they are asked to
25 take these special public health precautions to reduce

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the possibility of onward transmission.

2 DOCTOR SCHONBERGER: But, that hasn't been
3 done yet, and we don't know how they've reacted, is
4 that - I was trying to figure out what your answer to
5 Doctor Belay was.

6 DOCTOR MOLESWORTH: It has been done, that
7 was the patient notification that took place in 2004,
8 but as Kate was saying, we have not - we do not yet
9 know what the individual patient responses have been
10 to those patient notifications.

11 DOCTOR SOLDAN: It's, perhaps, important to
12 clarify there that it's only patients with bleeding
13 disorders who come under that population approach.
14 So, a patient without a bleeding disorder anti-
15 thrombin deficiency, who had received Factor XI in the
16 U.K., is currently non-notified. Is that your
17 question? Because they've received no implicated
18 product, and they don't come under the population
19 approach.

20 CHAIRPERSON PRIOLA: Doctor Epstein, do you
21 have a comment?

22 DOCTOR EPSTEIN: Yes.

23 I have two questions, first for Doctor
24 Molesworth. Could you just clarify for me, when you
25 look at the estimated threshold for receiving .02

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ID50, and then you determine whether a patient is or
2 is not in the at-risk category, is that based on their
3 historic product use, or does it include some effort
4 prospectively to look at their likely product receipt,
5 say, over a year or over a lifetime?

6 DOCTOR MOLESWORTH: No, it's only based on
7 the information that we receive on exposure to the
8 specific implicated product batches.

9 DOCTOR EPSTEIN: SO, how much implicated
10 product did the patient receive is the question you
11 try to answer?

12 DOCTOR MOLESWORTH: That's exactly it, yes.

13 DOCTOR EPSTEIN: Okay.

14 And, looking at these numbers, am I
15 correct to conclude that for clotting factor and AT3
16 patients receipt of an individual dose would be likely
17 to exceed the threshold?

18 DOCTOR MOLESWORTH: Yeah, that's correct,
19 and that was one of the factors which fed into this
20 population approach, was it because such low doses
21 were received, and because vials of this stuff were
22 distributed throughout the U.K., a large proportion of
23 individuals were likely to have been affected.

24 DOCTOR EPSTEIN: And then, my last question
25 is, could you comment whether the tissue surveillance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 studies that have provided a finding of, roughly,
2 three positive appendices out of 12,000 surveyed,
3 suggesting that there might be a higher level of
4 latently incubating infection of the population may
5 affect these estimates that we've been hearing? In
6 other words, in light of the tissue survey, has there
7 been any effort to reexamine the risk estimates, for
8 instance, in pools of 20,000 you might, first of all,
9 expect a much higher frequency of contaminated pools,
10 and secondly, the risk of multiple positive units
11 contributing to a pool is not trivial if those rates
12 are, in fact, real.

13 DOCTOR MOLESWORTH: I'll pass this on to
14 Kate, but the main message I think is important to get
15 across, we've got a different situation in the U.K.,
16 because we have this background risk to exposure to
17 variant CJD, so that, we didn't consider the
18 possibility - I mean, yes, we recognized that there
19 will be in the future other donations who will become
20 implicated, but didn't factor that into this risk
21 assessment, because we are already sitting on this
22 background risk, where everybody in the population is
23 being exposed. So, no, we didn't incorporate that.

24 If you want to say something more.

25 DOCTOR SOLDAN: Yeah, there's not much to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 add. I mean, the answer is no, in light of the
2 prevalent studies these risk assessments have not been
3 - not as yet been redone.

4 CHAIRPERSON PRIOLA: In think we'll have
5 one more question and then we'll move on.

6 Doctor Nemo?

7 DOCTOR NEMO: I'm still unclear on how you
8 treat the Factor XI recipients. Now, they've never
9 received any implicated lots, but what public health
10 message are you giving to them? Are they not to
11 donate blood as well?

12 DOCTOR MOLESWORTH: Yeah, that's correct,
13 because Factor XI recipients, under the population
14 approach for patients with bleeding disorders, same as
15 any other patient with bleeding disorders who is
16 incorporated in that approach, not to donate blood,
17 tissues, organs, to inform their medical carriers and
18 dentists, and also to tell their families.

19 CHAIRPERSON PRIOLA: Will both of you be
20 here for part of the day, or the rest of the day?

21 DOCTOR MOLESWORTH: Yes.

22 CHAIRPERSON PRIOLA: Okay.

23 So, if there are anymore questions from
24 the Committee members, especially during our
25 discussion period, they'll be around to answer them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, keep those questions in mind.

2 So, with that, we'll move on to Doctor
3 Anderson, from the FDA, who is going to provide us
4 with the risk assessment for Factor XI.

5 DOCTOR ANDERSON: All right, good morning.
6 My name is Steve Anderson, and I'm the Associate
7 Director for Risk Assessment, in the Office of
8 Biostatistics and Epidemiology, at the Center for
9 Biologics Evaluation and Research.

10 Today I'm going to talk about a draft risk
11 assessment that we have for U.K.-manufactured Factor
12 XI and potential variant CJD exposure.

13 All right. Now, generally, FDA follows
14 this four-part framework for risk assessments that we
15 conduct in the Agency. The framework was initially
16 developed by the National Academies of Science. The
17 four elements shown in this slide consist of hazard
18 identification, dose response, and that's also known
19 as hazard characterization in some certain other
20 frameworks, exposure assessment, and then risk
21 characterization.

22 Now, just for brevity purposes, I've put
23 brief descriptions for each of these components, but
24 I think I'll hold off the explanations for each until
25 I get to those portions that I describe in the risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assessment.

2 So, I have a lot of caveats in my talk,
3 because I think the main wall about what we are going
4 to be talking about here is quantitative risk
5 assessment, and if anything you take away from this
6 risk assessment process is uncertainty.

7 So, commonly, what we do is we use risk
8 assessment as a process, when uncertainty about a risk
9 is particularly high. Uncertainty, again, is
10 pervasive throughout risk assessment, so everything
11 that I say there's a degree of uncertainty in the
12 calculations, in the assumptions we make, and in many
13 of the components of the risk assessment that I'm
14 going to describe.

15 Just for sort of a clarification, because
16 you hear this term, risk assessment, a number of
17 times, I'm going to be describing our risk assessment,
18 and our risk assessment, actually, consists of two
19 components. It consists of a model, in this case a
20 computer model that we've done, that contain all the
21 calculations that are contained in this document, and
22 then the document is a summary of those mathematical
23 equations, and it's organized according to the
24 National Academies of Science framework.

25 So, that's just for a simple

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clarification.

2 Now, more about quantitative risk
3 assessment. I'm just going to sort of briefly go
4 through some of these.

5 The purpose of quantitative risk
6 assessment is to link the relevant data together in a
7 meaningful way. We are trying to estimate potential
8 exposure and risk, and I think one important thing
9 here is that there's going to be a heavy reliance and
10 emphasis on exposure and less on risk, because we are
11 more - although we have a high degree of uncertainty,
12 we are more certain, or there's less certainty, let's
13 say, about exposure, and a high degree of uncertainty
14 about estimating risk. So, we are going to emphasize
15 sort of the potential exposure and exposure assessment
16 aspects in this model.

17 Also, risk assessment provides us
18 framework to identify critical elements where research
19 will improve the model. It's also an important process
20 in understanding key elements, that what we say is the
21 elements that drive the risk, or heavily influence the
22 final risk estimate. And then, I think it's important
23 to remember that this is an iterative process, so, you
24 know, the document that's been submitted and that you
25 are seeing is really sort of the first part of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whole process, and we are going to probably be - well,
2 not frequently, but we are probably going to be
3 updating this model as new data and information, and
4 we conduct a peer review process on this model, go on.

5 So, this is really just a starting point
6 or a jump-off point for the next stages in the
7 process, so let me move on to the draft risk
8 assessment for Factor XI and variant CJD.

9 Okay. So, what we've got, actually, I've
10 got this long question here, so given the probable -
11 the recent probable transmission of variant CJD via
12 transfusion of non-leukocyte-reduced RBC concentrates,
13 or red blood cell concentrates, the important question
14 for me is here as a risk assessor, what is the risk to
15 U.S. recipients that received human plasma-derived
16 Factor XI product from 1989 to 1997 that was
17 manufactured from U.K. plasma? So, that's the
18 question that gives this risk assessment its scope and
19 its shape. We are interested in this risk from this
20 product that was manufactured in the U.K., and was
21 used in the United States during this 1989 to 1997
22 period, as Doctor Weinstein said, under
23 investigational use.

24 Okay. So, Doctor Weinstein has also given
25 us some background about Factor XI. Again, it's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clotting factor present in low concentrations. The
2 deficiency is rare. Bleeding is less frequently
3 observed than that of other hemophilias, especially A
4 and B, and bleedings associated with surgery.

5 All right, so, all right, I'm going to
6 start sort of just walking you through these
7 components that we've applied this risk assessment
8 framework to for the Factor XI risk assessment. So,
9 I'm going to start with hazard identification.

10 Hazard identification is this in-depth
11 review of the available data and information. So,
12 we've done an exhaustive, or extensive, literature
13 review, pulled in all the information that we had
14 available to us, and what that information does for us
15 is it establishes - we try to establish a causality
16 between the hazard, which is the TSE agent in blood,
17 and then infection or illness, what's the possibility
18 or risk that we could have vCJD infection caused by
19 this hazard of TSE or vCJD agent in the blood, or
20 what's the possibility of illness?

21 Sort of just rapidly moving on, these are
22 the kinds of things, although in the risk assessment
23 you'll see there's much more detail that we include,
24 so we have two recent cases of probably transfusion
25 transmitted variant CJD in the United Kingdom over the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 past year and a half. Now, that raises this
2 possibility of transmission of variant vCJD via
3 plasma-derived products. And, I think it's important
4 to emphasize this last bullet point, which is, to date
5 variant CJD transmission via plasma derivatives has
6 not been observed, and that's significant, and I'm
7 going to discuss that a little bit more in detail in
8 a moment.

9 Any time you see this bullet point sort of
10 highlighted, this is pink or orange, Factor XI risk
11 assessment, I'm specifically talking about the risk
12 assessment. I'll also be making some general points
13 about risk assessment in this talk, too.

14 So, in the Factor XI risk assessment, we
15 considered vCJD transmission via Factor XI as a
16 potential hazard. So again, we haven't really
17 observed cases or transmissions via Factor XI of
18 variant CJD, but there is a potential, given the
19 transmission in blood products. And again, we are
20 just indicating that the U.K.-manufactured Factor XI
21 was used in the U.S. during this period of time.

22 Quickly moving on, the next component of
23 risk assessment is dose response, again, called hazard
24 characterization. All right, what is dose response?
25 Dose response relates to the amount of agent in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 particular dose to the probability of infection or
2 illness. If you look at this simple dose response
3 model, what I have is a linear relationship, and the
4 power of dose response models is that you can, on the
5 X axis we have this probability of infection - or, I'm
6 sorry, along the Y axis we have this probability of
7 infection, and along the X axis at the bottom we have
8 this quantity of agent. So, if we had a quantity of
9 agent, say, two organisms, that would be associated
10 with the 50 percent probability in this case of
11 infection.

12 And then, we can use this to link dose
13 that we get from exposure assessment. So, if we knew
14 that our exposure assessment said we were exposed to
15 two organisms, using this dose response we could say,
16 well, that person that's exposed to two organisms has
17 a 50 percent chance of infection.

18 Now, the issues for TSEs, and the
19 challenges that we face, is that dose response is very
20 unclear for TSEs. First of all, human data are
21 absent. I think we've had some conversations about
22 that already and discussions, and I think people
23 recognize some of the limitations that we have.

24 Human data is absent. Again, one of the
25 most important things, I think one of the Committee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 members mentioned, was that we really need to get a
2 handle on the quantity of agent in human blood or
3 plasma. We know there's possibly variation in the
4 amounts, maybe people have early on - don't have the
5 agent in the blood early on, but as infection
6 progresses, perhaps, the agent appears in the blood.
7 The question for us also, is it present throughout the
8 entire incubation period or are there sporadic
9 occurrences in appearances of this agent in blood?

10 We also are thinking about genetics and
11 susceptibility of humans, an important factor, is
12 there a threshold or not for this agent? Do you need
13 100 ID50s, animal ID50s, to become infected, or do you
14 only need one? So, we don't really know that, and we
15 don't know if you get exposed to fractions of
16 infectivity of an ID50 what does that particularly
17 mean as far as infection and a threshold?

18 And, another issue for us is, is there a
19 cumulation of the agent in humans? We certainly don't
20 know that that occurs at this moment in time.

21 What we do have is, is we have some animal
22 data available to us, and we do use this in our risk
23 assessment. The question for us is, though, does this
24 animal data that I'm going to talk about approximate
25 the human situation as well as we'd like, and we don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know that until we have a comparison in the human to
2 make any assumptions about this. Our current
3 assumption in the models is that the animal data is
4 comparable and reflects the human situation on a one-
5 to-one comparison.

6 The next thing I'm going to talk about,
7 this is more of a clarification, since this term is
8 going to come up constantly, I'm going to use this
9 term, ID50, all the time. ID50 is a commonly used
10 terminology, and it's sort of a metric or the currency
11 that we talk about in TSE risk assessment. So, one
12 ID50 we defined as a dose necessary to initiate
13 infection in 50 percent of the exposed population, and
14 I think it's important to sort of qualify what this
15 term actually means. The inferences are based on
16 animal TSE data, so any ID50 that I talk about is
17 really, you can just put animal ID50 in front of that,
18 because that's what we are actually looking at.

19 Extrapolation of animal data, that the
20 human outcomes is highly uncertain. Assumptions in
21 risk assessment, again, I just mentioned this, animal
22 data approximate infection and illness in humans at
23 the same rate. We don't know actually if that
24 assumption is accurate or not.

25 And, I think an important thing to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 consider, too, is that exposure, if you are exposed to
2 this agent that may not necessarily lead to infection,
3 and then if you are infected with this organism - I'm
4 saying for saying organism, but with the prion,
5 infection may not necessarily lead to illness. So, I
6 think that's an important issue, too, that we'll talk
7 about in some of the prevalence studies, because there
8 may be a number of infections that may never progress
9 to full-blown illness, and those may not be captured
10 in some of the estimates of prevalence that are
11 currently being used by other researchers and risk
12 assessors.

13 Just to sort of summarize this whole dose
14 response issue for us, dose response, we believe,
15 provides a useful link in estimating risk based on
16 exposure. I think it's safe to say that dose response
17 at this time is really lacking for TSEs, or at least
18 you can say is highly uncertain. We can use the ID50
19 as a guide for us, but I think we have to have all the
20 caveats, but that is really an animal system measure
21 that we are using and applying to the human system.
22 So again, there's going to be that big sort of gorilla
23 in the room, which is uncertainty about this estimate.

24 So again, therefore, predicting
25 probability of variant CJD for humans is extremely

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 uncertain. I'm going to keep on sort of saying that
2 time after time.

3 Okay. Now, moving on to the exposure
4 assessment, and I just wanted to say, this is the
5 largest component of our model and of our risk
6 assessment. So, what we are actually doing is we are
7 conducting a model of an exposure assessment, and then
8 we're going to make some conclusions about risk in a
9 moment.

10 So, what I want you to take home from
11 exposure assessment are sort of two key factors, and
12 those are, in exposure assessment we look at the
13 routes of infection and how a person might become
14 infected or exposed to a particular hazard. We know
15 that, and that's well characterized for Factor XI,
16 people receive that product due to being part of the
17 investigational drug studies in the United States.
18 The other component of that that are really important
19 for exposure are, what's the frequency of exposure or
20 the probability of exposure to the variant CJD agent,
21 and then the second component of this exposure
22 assessment is quantity. So, if we know that a person
23 has been exposed, how much of this particular agent
24 have they been exposed to. That's an important
25 question for us to try to answer.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, just sort of moving on, this is sort
2 of just a brief outline of the model as I've laid out.
3 So, what we have are three basic components, Parts A,
4 Module A, Module B, Module C, that I'm going to show
5 you in just a second.

6 Module A, what we are trying to do is, we
7 are trying to predict the probability and the quantity
8 of variant CJD ID50s in a plasma pool. Again, we want
9 to know the probability, and probability not only is
10 related to the possibility that we'll get a positive
11 batch, but it's also related to the amount of agent
12 that you might see. So, I think Doctor Epstein had
13 asked the question that, you may have multiple doses,
14 perhaps, or multiple donations from several variant
15 CJD donors in a particular pool, if the prevalence of
16 the disease is high enough in the population, or the
17 infection is high enough. So, I think that's
18 something very important to consider.

19 So, probability begins to - as prevalence
20 begins to move up, you are going to see more of this
21 affecting the quantity of agent that's actually in the
22 starting pool.

23 All right. And then, I think another
24 important aspect that we carefully consider is
25 reduction going on during manufacture, so I'm going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 talk more about that in a moment, and then dose per
2 surgery. This is actually how much of the dose of the
3 product do they receive, and then how much dose of the
4 agent do they actually receive, of the variant CJD
5 agent. So, the quantity of variant CJD in the final
6 product, and then we consider the amount of product
7 actually used by patients.

8 So, here's sort of a cartoon version,
9 again, of our Factor XI risk assessment, so I've
10 indicated this as part of our assessment in orange,
11 and if you go through this what I wanted people to
12 sort of get out of this is that we have these inputs
13 going into the model, we have probability of variant
14 CJD in the United Kingdom, number of donations, et
15 cetera, and we have it going through a number of
16 calculations, and then we have outputs for each
17 section. Those outputs feed directly next into the
18 next section, and then so on an so forth. So, what
19 we've got are these three major modules that I just
20 described, and ultimately what we are getting out of
21 this is, we are getting the exposure to variant CJD IV
22 ID50s, we are doing that by vial per unit of Factor X,
23 and then for three specific scenarios that patients
24 might encounter as they are being treated with this
25 product.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, that's sort of the quick overview.

2 All right. So, this is probably one of
3 the most difficult things for us to calculate, so
4 Module A, the variant - the probability and quantity
5 of variant CJD ID50 in a plasma pool. There's a high
6 degree of uncertainty with these estimates, so I'm
7 going to explain to you two approaches, and there's
8 sort of a disparity in the literature as to the
9 estimates that are coming off each approach, and I'm
10 going to talk about those in just a second.

11 Again, what we are trying to do is
12 calculate this probability that ID50s will be in the
13 pool or product, and that's directly related to this
14 estimate of prevalence. So, it's important to sort of
15 get this estimate of prevalence as accurately as
16 possible, although a lot of uncertainty again, and
17 what we are doing is looking at the estimation of
18 prevalence of variant CJD in the U.K. population for
19 this particular model.

20 All right. So, what we did was, we
21 considered the various estimates going about in the
22 literature for mathematical models, and there are
23 several. So, there's Ghani, and I think one thing I
24 should sort of delineate is that these models are sort
25 of linked to the actual cases that are being observed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, what you'll see is that early on the estimates
2 were extremely high, 236,000, but as we've seen in the
3 last three years the actual number of cases that
4 started to decrease, what we are seeing is people's
5 estimates of the number of symptomatic cases are also
6 going down.

7 So, if you look at Ghani's estimates from
8 2000 to 2003, we go from 70,000 to 236,000, he has
9 several estimates in his paper, this is one of the
10 most extreme estimates, and that correlates to about
11 as low as one in 500 possible cases incubating in the
12 population to about one in 800,000.

13 Okay. So, as you get up more and more
14 into the more recent data, those numbers are going
15 down. He's estimating a median of about 100 cases and
16 that works out to about one in 500,000 in the
17 population.

18 And then another paper, Llewyn estimated
19 the possible number of infections incubating in the
20 population at one in 15,000 to one in 30,000.

21 And, I think one thing that I should say
22 about these models that's very important is, these
23 models are predicting the number of clinical cases
24 that are expected to arise in the future, and what
25 they don't capture is the number of non-clinical, or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701