

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR DRUG EVALUATION AND RESEARCH

+ + + + +

CARDIOVASCULAR AND RENAL DRUGS ADVISORY
COMMITTEE MEETING

+ + + + +

TUESDAY,
DECEMBER 11, 2007

+ + + + +

The Committee convened at 8:00

a.m. in the Chesapeake Ballroom of the
Sheraton College Park, 4095 Powder Mill
Road, Beltsville, Maryland, William R.
Hiatt, M.D., Chair, presiding.

COMMITTEE MEMBERS PRESENT:

WILLIAM R. HIATT, M.D., Chair
STEVEN D. FINDLAY, M.P.H.
ROBERT A. HARRINGTON, M.D.
FREDERICK J. KASKEL, M.D., Ph.D.
ABRAHAM MICHAEL LINCOFF, M.D., F.A.C.C.

TEMPORARY MEMBERS PRESENT:

BARRY M. MASSIE, M.D.
RICHARD CANNON, M.D.
THOMAS SIMON

DESIGNATED FEDERAL OFFICIAL PRESENT:

LCDR CATHY A. MILLER, M.P.H., R.N.

GUEST SPEAKER PRESENT:

CHRISTOPHER B. GRANGER, M.D.

FDA PARTICIPANTS PRESENT:

NORMAN STOCKBRIDGE, M.D.

ROBERT TEMPLE, M.D.

ELLIS F. UNGER, M.D.

C-O-N-T-E-N-T-S

Call to order and introductions	4
Conflict of interest statement.	7

Introduction and Background	12
---------------------------------------	----

FDA GUEST SPEAKER PRESENTATION:

Cardioversion for Atrial Fibrillation Dr. Christopher Granger, M.D.	14
--	----

Questions to the Committee Part 1 General Considerations - Atrial Fibrillation.	34
---	----

ASTELLAS PHARMA US, INC. PRESENTATION:

Introduction - Donald Raineri	106
---	-----

Clinical Overview of Atrial Fibrillation - Edward Pritchett	110
--	-----

Mechanism of Action - Greg Beatch	116
---	-----

Toxicology & Clinical Pharmacology James Keirns.	126
---	-----

Clinical Efficacy and Safety - Therese Kitt.	130
---	-----

Risk/Benefit Summary - Jeremy Ruskin.	172
---	-----

FDA Division of Cardiovascular and Renal
Products Presentation

Open Public Hearing	252
Vernakalant for Conversion of Atrial Fibrillation - Ellis Unger, PhD	256

Questions and Discussion.	306
Questions and Discussion Part 2	426
Adjournment	

1 P R O C E E D I N G S

2 (8:04 a.m.)

3 CHAIR HIATT: We're waiting for one
4 of the speakers to arrive, but I think we can
5 begin, assuming he will be here in just a
6 moment.

7 CALL TO ORDER AND INTRODUCTIONS

8 CHAIR HIATT: I want to welcome all
9 of you to this meeting of the Cardiovascular
10 and Renal Drugs Advisory Committee. My name is
11 William Hiatt, and I'm from the University of
12 Colorado.

13 And I guess I'd like to start with
14 introductions around the table.

15 Dr. Stockbridge.

16 DR. STOCKBRIDGE: I'm Norman
17 Stockbridge. I'm the director of the Division
18 of Cardiovascular and Renal Products at FDA.

19 DR. KASKEL: Rick Kaskel, pediatric
20 nephrologist at Albert Einstein in the Bronx.

21 DR. CANNON: I'm Richard Cannon.
22 I'm the clinical director of the Division of

1 Intramural Research at the National Heart,
2 Lung and Blood Institute, and I'm a general
3 cardiologist.

4 MR. SIMON: Tom Simon. I have
5 atrial fibrillation, and I'm the patient
6 advocate for the committee.

7 LCDR MILLER: Cathy Miller with the
8 FDA.

9 DR. LINCOFF: Michael Lincoff, I'm
10 an interventional cardiologist and director of
11 cardiovascular research at the Cleveland
12 Clinic.

13 DR. MASSIE: I'm Barry Massie. I'm
14 a professor of medicine at the University of
15 California at San Francisco, and chief of
16 cardiology at the San Francisco VA, and
17 general cardiologist with a special interest
18 in heart failure.

19 DR. HARRINGTON: I'm Bob
20 Harrington, interventional cardiologist at
21 Duke, and I'm the director of the Duke
22 Clinical Research Institute.

1 CHAIR HIATT: Thanks very much.

2 You'll notice at these meetings
3 there are some required statements that I have
4 to read. So I will begin with this statement.

5 For topics such as those being
6 discussed at today's meeting, there are often
7 a variety of opinions, some of which are held
8 quite strongly. Our goal is that today's
9 meeting will be a fair and open forum for
10 discussion of these issues, and that
11 individuals can express their views without
12 interruption.

13 Thus, as a gentle reminder
14 individuals be allowed to speak into the
15 record only if recognized by the chair.

16 In the spirit of the Federal
17 Advisory Committee Act, and the government and
18 the Sunshine Act, we ask that the advisory
19 committee members take care that any
20 conversation about today's topic take place in
21 the open forum of the meeting, and not during
22 breaks or lunch.

1 We are also aware that members of
2 the media are anxious to speak with the FDA
3 about these proceedings. However, like the
4 advisory committee members, FDA will refrain
5 from discussing the details of this meeting
6 with the media until its conclusion.

7 And finally, I'd like to remind
8 everyone present to please silence your cell
9 phones and pagers if you have not already done
10 so.

11 We look forward to an interesting
12 and productive meeting. Thanks for your
13 participation and your cooperation.

14 CONFLICT OF INTEREST STATEMENT

15 LCDR MILLER: The Food and Drug
16 Administration is convening today's meeting of
17 the Cardiovascular and Renal Drug Advisory
18 Committee under the authority of the Federal
19 Advisory Committee Act of 1972.

20 With the exception of the industry
21 representatives, all members and consultants
22 are special government employees or regular

1 federal employees from other agencies, and are
2 subject to federal conflict of interest laws
3 and regulations.

4 The following information on the
5 status of the committee's compliance with
6 federal ethics and conflict of interest laws
7 covered by, but not limited to, those found at
8 18 USC 208 and 712 of the Federal Food, Drug
9 & Cosmetic Act is being provided to
10 participants in today's meeting, and to the
11 public.

12 FDA has determined that members
13 and consultants of this committee are in
14 compliance with federal ethics and conflict of
15 interest laws.

16 Under 18 USC 208, Congress has
17 authorized FDA to grant waivers to government
18 employees who have potential financial
19 conflicts when it is determined that the
20 agency's need for a particular individual's
21 services outweighs his or her potential
22 financial conflict of interest.

1 Under 712 of the FD&C Act,
2 Congress has authorized FDA to grant waivers
3 to government employees with potential
4 financial conflicts if necessary to afford the
5 committee essential expertise.

6 Related to the discussion of
7 today's meeting, members and consultants of
8 this committee who are special government
9 employees have been screened for potential
10 financial conflicts of interest of their own,
11 as well as those imputed to them, including
12 those of their spouse or minor children, and
13 for the purposes of 18 USC 208, their
14 employers.

15 These interests may include
16 investments, consulting, expert witness
17 testimony, contracts, grants, CRADAs,
18 teaching, speaking/writing, patents and
19 royalties, and primary employment.

20 Today's agenda involves discussion
21 of new drug application vernakalant
22 hydrochloride injection, 20 milligrams per

1 milliliter, for the proposed indication of use
2 for conversion of atrial fibrillation to
3 normal sinus rhythm.

4 Based on the agenda for today's
5 meeting and all financial interests reported
6 by the committee members and consultants,
7 conflicts of interest waivers have been issued
8 in accordance with 18 USC 208(b)(3) and 712 of
9 the FD&C Act for Dr. Barry Massie.

10 Dr. Massie has been granted these
11 waivers for being a member of the steering
12 committee on an unrelated issue for an
13 affected firm. Dr. Massie receives less than
14 \$10,001 per year.

15 The waivers allow this individual
16 to participate fully in today's deliberations.

17 FDA's reasons for issuing the
18 waivers are described in the waiver documents,
19 which are posted on the FDA website at
20 www.fda.gov/ohrms/dockets/default.htm. Copies
21 of the waivers may also be obtained by
22 submitting a written request to the agency's

1 Freedom of Information Office, Room 630 of the
2 Parklawn Building.

3 A copy of this statement will be
4 available for review at the registration table
5 during the meeting, and will be included as
6 part of the official transcript.

7 Dr. Granger, an FDA-invited guest
8 speaker, would like to acknowledge that
9 Boehringer Ingelheim and Novartis supported
10 research grant or contract project of his.

11 In addition, Dr. Granger serves as
12 a consultant to Novartis.

13 We would like to remind members
14 and consultants that if the discussions
15 involve any other product or firms not already
16 on the agenda for which an FDA participant has
17 a personal or an imputed financial interest,
18 the participants need to exclude themselves
19 from such involvement, and their exclusion
20 will be noted for the record.

21 FDA encourages all other
22 participants to advise the committee of any

1 financial relationship that they may have with
2 any firm at issue.

3 Thank you.

4 CHAIR HIATT: Thanks very much.

5 All right.

6 Norman, why don't we go to you?

7 INTRODUCTION AND BACKGROUND

8 DR. STOCKBRIDGE: I'd like to begin
9 by thanking all four regular members of the
10 Cardio-Renal Advisory Committee for their
11 participation in today's meeting, along with
12 two temporary voting members, Drs. Cannon and
13 Massie.

14 And thanks also to our guest
15 speaker, Dr. Granger.

16 Today's topic, I believe, is the
17 most difficult regulatory issue we have
18 brought to an advisory committee probably
19 since carvedilol was taken here in '96-'97.
20 So I think this is a very challenging area.

21 We all acknowledge that
22 vernakalant is, in a very restricted sense,

1 effective in conversion of atrial fibrillation
2 to normal sinus rhythm.

3 But it is, I think, very difficult
4 to define a set of conditions where its use
5 can be expected to produce clinical benefits
6 that unequivocally exceed its risks.

7 In considering this issue, I would
8 ask the committee to concern itself with the
9 science behind this decision, and make a
10 recommendation that it feels is supported by
11 the data.

12 There are probably other issues
13 that will impinge upon Dr. Temple's regulatory
14 decision, including precedence and
15 communications between the division or the
16 office and the sponsor. But what I am seeking
17 out of this meeting is a clear, science-based
18 regulatory decision that is supported by the
19 scientific data.

20 Thank you.

21 CHAIR HIATT: All right. Should we
22 move to Dr. Granger's presentation, then?

1 CARDIOVERSION FOR ATRIAL FIBRILLATION

2 DR. GRANGER: Good morning.

3 It's a pleasure to be here, and I
4 do think, as Norman has laid out, that there
5 are a variety of interesting issues. And what
6 I'd like to do is, in response to Norm's
7 request, is to provide a little bit of
8 background, really from the perspective of a
9 general cardiologist, on issues of
10 cardioversion in atrial fibrillation.

11 And I - so my perspective is, I'm
12 director of our cardiac care unit. We
13 actually run a cardioversion unit on our
14 cardiac care unit.

15 I've not done any specific
16 research, nor do I consider myself to be a
17 particular expert in specific pharmacologic
18 cardioversion issues. But nevertheless, I
19 will provide you with this background, which
20 I hope is helpful.

21 And I will address issues of when
22 and why we cardiovert patients with atrial

1 fibrillation, and the subtotal being why not
2 wait for spontaneous cardioversion, and when
3 should we wait for spontaneous cardioversion,
4 when and why do we acutely cardiovert, and how
5 can we approach acute cardioversion?

6 And I'll address a few of the
7 clinical trials. The field is really marked
8 by a large number of relatively small trials,
9 and then a few larger trials that were able to
10 look more reliably at clinical outcomes.

11 And one of the most important ones
12 was the AFFIRM trial looking at the longer
13 term issue of rate versus rhythm control in a
14 population of patients with atrial
15 fibrillation, and who had risk factors for
16 stroke and death. And the rationale was that
17 there should be benefits for maintaining
18 normal sinus rhythm, which are listed on this
19 slide, including fewer symptoms and better
20 functional capacity, perhaps less risk of
21 stroke, avoidance of long-term
22 anticoagulation, better quality of life, and

1 better survival.

2 The initial therapy, then, in the
3 two arms, the rate control versus the rhythm
4 control, are shown here with the number one
5 antiarrhythmic being amiodarone, followed by
6 sotalol, propafenone, and the others listed
7 here, and a higher use of beta blockers in
8 the rate control arm than the rhythm control
9 arm.

10 And the baseline characteristics
11 are shown here. I think this is important
12 that this is a population of elderly patients
13 who have atrial fibrillation, and I think
14 that was reasonably well represented in this
15 trial; 39 percent women. Most had atrial
16 fibrillation of greater than two days in
17 duration, and the median was about 13 days.

18 Some had heart failure, and most
19 had symptomatic atrial fibrillation.

20 And then the primary outcome of
21 all-cause mortality was the very important
22 finding that actually patients do better with

1 rate control than with rhythm control with
2 respect to mortality, at least a strong trend
3 for better outcome in the rate control
4 randomized group. And that when one includes
5 other important outcomes, one still sees no
6 benefit from a rhythm control strategy in
7 this population.

8 And then another trial that was
9 published at the same time, a European
10 counterpart, the smaller rates trial,
11 likewise showed no benefit from a rhythm
12 control to a rate control. In fact, on the
13 point estimate for better outcomes in the
14 rate control group.

15 And when this is put into the
16 context of other, smaller trials, one sees a
17 consistent finding of really no benefit in
18 these populations enrolled in these trials
19 for a rhythm versus rate control.

20 And at least one hope was that
21 functional status would be better. But even
22 functional status and quality of life

1 measures, in the AFFIRM and other trials,
2 have generally failed to show any benefit
3 from a strategy of rhythm control versus rate
4 control.

5 So the guideline committee, and we
6 have a nice set of relatively recent
7 guidelines that includes the American College
8 of Cardiology, American Heart Association,
9 and the European Society of Cardiology,
10 summarizes these findings here, suggesting
11 that, theoretically, rhythm control should
12 have advantages, but that the trials don't
13 show that.

14 This might suggest that attempts
15 to restore sinus rhythm with presently
16 available antiarrhythmic drugs are obsolete,
17 but the RACE and AFFIRM trials did not
18 address atrial fibrillation in younger
19 symptomatic patients with underlying -- with
20 little underlying heart disease, and in whom
21 restoration of sinus rhythm by cardioversion,
22 antiarrhythmic drugs, or non-pharmacologic

1 intervention still must be considered a
2 useful therapeutic option.

3 One may conclude from these
4 studies that rate control is a reasonable
5 strategy in elderly patients with minimal
6 symptoms, and an effective method for
7 maintaining sinus rhythm with fewer side
8 effects would address this unmet need.

9 One of the hopes was that, at
10 least in patients who have heart failure,
11 that there might be a population where these
12 approaches for maintenance of sinus rhythm
13 might provide more clear, or some evidence of
14 clinical benefit, and the recently presented
15 but not yet published AF-CHF trial then
16 addressed this looking at a population of
17 patients with symptomatic heart failure,
18 injection fraction of less than or equal to
19 35 percent, or asymptomatic heart failure
20 with lower injection fraction, or prior
21 hospitalization for heart failure,
22 randomizing to rhythm control, which was an

1 approach based on amiodarone, followed by
2 electrical cardioversion if cardioversion did
3 not occur versus rate control.

4 And here, once again, no benefit
5 from the rhythm control strategy with
6 anything, the point estimate being for lower
7 mortality in the rate control group. And in
8 fact, higher hospitalization rates,
9 statistically significantly higher
10 hospitalization rates and cost with the
11 rhythm control strategy, which also had
12 higher rates of bradyarrhythmias.

13 So I think the -- well, the
14 summary probably won't change much from what
15 I read from that guideline statement, that
16 this is an area where the trials don't
17 support rhythm control.

18 But nevertheless, we do it fairly
19 commonly in practice mainly to deal with the
20 symptomatic patients who tended to be under-
21 represented in those trials.

22 How about the issue of acute

1 cardioversion? Why should we acutely
2 cardiovert someone who comes in with atrial
3 fibrillation?

4 And this is another area where I
5 think clinical practices somewhat diverges
6 from the evidence from trials, that we
7 fundamentally believe, as cardiologists, that
8 generally we should be converting patients
9 who come in with atrial fibrillation.

10 Part of this comes from some of
11 the experimental data suggesting that atrial
12 fibrillation, if left unconverted, tends to
13 propagate itself. And this is from this afib
14 begets afib comes from a study in goats which
15 shows that, in fact, atrial fibrillation will
16 be more sustained if left unchecked.

17 The guidelines, then, and this is
18 from a recent review from Greg Lip, published
19 in Lancet, that is based on the UK NICE
20 guidelines, but it's very similar to the
21 other guidelines, suggests that, for patients
22 with new onset atrial fibrillation, that they

1 may have early spontaneous cardioversion, but
2 if hemodynamically unstable, they require
3 urgent cardioversion. If hemodynamically
4 stable, then generally rate control,
5 anticoagulation, and then, if remaining in
6 atrial fibrillation, they should have an
7 attempt at cardioversion, including
8 antiarrhythmic treatment or electrical-
9 cardioversion without need for
10 transesophageal echo to assess risk of acute
11 thromboembolic stroke if less than 48 hours,
12 and if greater than or equal to 48 hours,
13 then either a TEE-guided approach with three
14 weeks of oral -- or three weeks of oral
15 anticoagulation, followed by either
16 electrical or chemical cardioversion.

17 Then this slide simply describes
18 the varying issues according to presence of
19 underlying structural heart disease,
20 including which types of antiarrhythmic drugs
21 have been shown to be effective.

22 Let me switch gears then to an

1 issue of what's the time course of patients
2 who present with atrial fibrillation with
3 respect to spontaneous cardioversion. These
4 are two small trials; each had 100 patients
5 randomized to either a pharmacologic
6 cardioversion, or a placebo approach.

7 And in both of these cases, the
8 placebo treated patients had a conversion
9 rate of about 60 to 64 percent. In one trial
10 with atrial fibrillation preexisting for less
11 than a week, and the other for less than 48
12 hours.

13 And I think this is pretty typical
14 of what we consider to be the case in
15 practice, that half to two-thirds of patients
16 will convert who present, say, to the
17 emergency department with recent onset atrial
18 fibrillation spontaneously, and this seems to
19 be very closely related in a number of
20 observational studies to the preexisting
21 duration of the atrial fibrillation such
22 that, if it's very recent onset, less than 24

1 hours, then in this study, 73 percent
2 converted spontaneously. If it was 24 to 72
3 hours, then 45 percent.

4 And the duration of symptoms of
5 atrial fibrillation was the only independent
6 predictor of spontaneous conversion in this
7 study.

8 How about over a longer period of
9 time? And here we have an important study
10 that established the use of transesophageal
11 echocardiographic guidance of whether one can
12 go ahead and do immediate electrical
13 cardioversion based on presence or absence of
14 thrombus in the left atrium, run out of the
15 Cleveland Clinic, which also helps to
16 establish, in this population of patients,
17 who had atrial fibrillation for more than two
18 days as an entry criterion, what happened to
19 these patients, including the group that had
20 conventional treatment with the three weeks
21 of therapeutic anticoagulation prior to
22 cardioversion.

1 And in that group, about 21
2 percent had spontaneous conversion to sinus
3 rhythm over an eight-week period.

4 The immediate cardioversion
5 approach with transesophageal echo was
6 associated with a somewhat less likelihood of
7 bleeding related to less use of anticoagulant
8 therapy, but a non-statistically higher rate
9 of death.

10 One of the interesting findings in
11 this study is that, with respect to the
12 success of electrical cardioversion with one
13 of the rationales for going ahead and
14 immediately cardioverting being that one may
15 be more successful if one has a shorter
16 duration of atrial fibrillation. In fact,
17 that was not held up in this study. There
18 were identical rates of success of electrical
19 cardioversion, whether it was done
20 immediately with the TEE guided approach, or
21 with the conventional therapy approach.

22 And then, when one looks at the

1 distribution of patients who had spontaneous
2 cardioversion, one sees that it was much more
3 common in patients who had shorter duration
4 of preexisting atrial fibrillation.

5 Remember in this study, it was
6 about 13 days of atrial fibrillation prior to
7 entry in this study.

8 But also, when spontaneous
9 cardioversion occurred, it tended to occur
10 early, most commonly on the first day after
11 enrollment in the study.

12 And then the predictors for
13 spontaneous cardioversion are shown here.
14 They are pretty consistent with other
15 studies, the number one being shorter
16 duration of atrial fibrillation, also less
17 symptomatic heart failure, smaller left
18 atrial size, absence of spontaneous echo
19 contrast.

20 With respect, then, to some of the
21 studies that have looked at pharmacologic
22 conversion in the early hours of patients

1 presenting with atrial fibrillation here,
2 hospitalized patients, AF onset less than
3 seven days, one sees, first of all in the
4 placebo group in this study, about 35 to 40
5 percent of patients had spontaneous
6 cardioversion by eight hours. And one sees
7 with intravenous treatment here, with
8 propafenone in this study, one had a greater
9 likelihood of conversion to sinus rhythm by
10 one hour, but not by eight hours, which
11 brings up this interesting issue of, is one
12 shifting the timing of conversion, or the
13 actual number or proportion of patients who
14 were successfully converted.

15 Also for example, with ibutilide
16 is an issue of which type of atrial
17 arrhythmia, which has an impact on likelihood
18 of conversion. So with ibutilide, there
19 seems to be a more -- a higher likelihood of
20 successful cardioversion with atrial flutter
21 than with atrial fibrillation.

22 But of course with ibutilide

1 there's the challenge of torsades that occurs
2 in somewhere around 3 percent of patients,
3 and seems to be more likely with electrolyte
4 abnormalities and/or heart failure.

5 Then these were the predictors of
6 cardioversion with ibutilide, where patients
7 again with recent arrhythmia were more likely
8 to convert, atrial flutter, as I've already
9 said, lack of heart failure, and lack of use
10 of digoxin.

11 With respect to electrical
12 cardioversion, we do now have this very
13 effective, especially with biphasic energy
14 application, approach to cardioversion that
15 even in -- with patients who are refractory
16 to standard cardioversion with the biphasic
17 approach, this is a highly effective means of
18 cardioversion.

19 I think conventional wisdom would
20 suggest that, of patients who do elect, that
21 about 25 percent of patients are unable to be
22 electrically cardioverted, either because

1 they are refractory, or they almost
2 immediately go back into atrial fibrillation,
3 and of those who are successfully
4 cardioverted, within two weeks, as much as
5 another 25 percent will revert back to atrial
6 fibrillation.

7 There also is the possibility to
8 combine pharmacologic, even acute
9 pharmacologic and electrical cardioversion,
10 including this study by Ferd Morady looking
11 at the use of ibutilide in conjunction with
12 cardioversion as being a way to deal with
13 improving the effectiveness of electrical
14 cardioversion.

15 How about in the longer term for
16 conversion to sinus rhythm with atrial
17 fibrillation? This, the PIAF study, looking
18 at patients who have, on average, 110 days of
19 atrial fibrillation, with some of the high
20 risk patients excluded. You see the age
21 here, about 60 years of age. And here the
22 use of amiodorone versus diltiazem, which

1 shouldn't have much of an effect at all if
2 any on cardioversion.

3 One sees that, with diltiazem,
4 there was this low rate of cardioversion --
5 of spontaneous conversion to sinus rhythm in
6 this more chronic population, of whom over 50
7 percent were converted with either
8 amiodarone, or the combination of amiodarone
9 followed by electrical cardioversion.

10 Interestingly, no effect on
11 improving symptoms with that approach.

12 And then another study of about
13 700 patients looked at placebo amiodarone or
14 sotalol for patients, again, with longer
15 duration atrial fibrillation, showing that,
16 with placebo, there was a very low likelihood
17 of conversion. At 28 days, about a quarter
18 of patients on amiodarone or sotalol
19 converted.

20 So in summary, then, some of the
21 advantages and disadvantages of electrical or
22 pharmacologic cardioversion. Electrical,

1 highly effective, fast, can be combined in
2 one procedure with transesophageal echo for
3 those patients who have had more than 48
4 hours of atrial fibrillation. And the
5 cardioversion itself appears to be very safe.
6 Pharmacologic works well, especially for
7 recent onset, and with some drugs, for atrial
8 flutter. One may avoid the sedation and some
9 of the related costs of anaesthesia with
10 electrical cardioversion, and the drugs may
11 be needed anyway to enhance the maintenance
12 of sinus rhythm.

13 I'll just briefly flip through
14 what the guidelines say then about some of
15 these issues, which include a description of
16 agents with proven efficacy for pharmacologic
17 cardioversion, not differentiating acute
18 versus sub-acute cardioversion, but included
19 here are IV, flecainide, ibutilide,
20 propafenone and amiodarone as having class 1A
21 recommendations.

22 And then for, before cardioversion

1 for patients with persistent atrial
2 fibrillation, a number of drugs being
3 effective to enhance cardioversion by
4 electrical approach, and to suppress
5 recurrence of atrial fibrillation as 1B
6 recommendations.

7 Then with respect to the issue of
8 pharmacologic cardioversion, a 1A
9 recommendation being administration of
10 flecainide, dofetilide, propafenone or
11 ibutilide. And at 2A, including this pill in
12 the pocket approach. So for patients who have
13 paroxysmal atrial fibrillation, who have
14 recurrence of atrial fibrillation, and who,
15 in a monitored setting, have had proven
16 effectiveness and safety of either
17 propafenone or flecainide, then this is an
18 approach which is recommended by the
19 guidelines, and supported by small studies
20 that a patient can, even at home, go ahead
21 and take a dose of these drugs to enhance
22 conversion more quickly back to sinus rhythm.

1 And then for electrical
2 cardioversion, recommended when symptoms of
3 atrial fibrillation are unacceptable to the
4 patient -- a lot of subjectivity there, but
5 certainly many of these patients do have
6 substantial symptoms that warrant this.

7 Then in case of relapse, repeated
8 following administration of antiarrhythmic
9 medication, and also this, as a two-way
10 recommendation, a more long term strategy
11 using intermittent electrical cardioversion.

12 And then also the postoperative
13 setting is another time where, especially
14 after bypass surgery, where atrial
15 fibrillation is common, and the guidelines do
16 is a two-way recommendation, level B, suggest
17 that pharmacologic cardioversion is
18 reasonable, or direct current cardioversion
19 in that particular setting.

20 So then my final slide,
21 cardioversion is common in practice, albeit
22 not well supported in terms of proof of

1 improving clinical outcomes in most of the
2 trials that have been done.

3 Most new onset and many paroxysmal
4 atrial fibrillation episodes are treated with
5 cardioversion if they do not -- especially if
6 they do not spontaneously convert in 24 to 48
7 hours.

8 And while electrical cardioversion
9 is generally preferred, acute pharmacologic
10 cardioversion has a role that perhaps is not
11 as well defined as it might be.

12 Thanks for your attention.

13 QUESTIONS TO THE COMMITTEE - PART 1

14 CHAIR HIATT: Thanks, Chris. I
15 think we have a few minutes to ask you some
16 questions and discuss this. Because I don't
17 think you are going to be with us all day, is
18 that right?

19 All right, one question I have is,
20 you mentioned that electrical cardioversion
21 is safe. Can you quantify that a bit further
22 in terms of risk of thromboembolic events,

1 ischemic events, things we might care about?

2 I realize the context may vary
3 according to the risk factors those patients
4 may have.

5 DR. GRANGER: Yes. So the first,
6 again, this is largely based on conventional
7 wisdom, rather than a whole lot of data.

8 But we believe that with three
9 weeks of therapeutic anticoagulation
10 beforehand, then one can get results that are
11 similar, for example, to what was seen in the
12 acute trial, this trial from -- led out of
13 Cleveland Clinic that showed that there was a
14 few percent incidents of thromboembolic
15 events.

16 The actual cardioversion, itself,
17 can also be -- electrical cardioversion can
18 be complicated by Brady arrhythmias, and by
19 the anaesthesia that is administered during
20 the procedure, which has a quantifiable risk
21 and expense.

22 But I think generally we think of

1 electrical cardioversion in a patient who
2 doesn't have other comorbidities that would
3 put them at risk for any type of procedure
4 like that is a very safe procedure.

5 DR. HARRINGTON: Chris, just a
6 couple of questions.

7 In your early slides on AFFIRM,
8 you point out the demographics of the AFFIRM
9 population as being largely an older
10 population, large number of women, et cetera.

11 Some of the things we're going to
12 talk about a lot today is the representative
13 nature of the population. Do we have any
14 data from registries, large observational
15 reports as to what is the typical population
16 of afib patients who are being converted?
17 Do they look like the AFFIRM population, or
18 are they those younger patients without
19 structural heart disease that the guidelines
20 refer to?

21 DR. GRANGER: No, it's a great
22 question , Bob, and I don't know of any good

1 registry data that address that, actually. I
2 think most of our experience is that we have
3 a -- there is a broad spectrum of patients.
4 We do have a substantial number of the
5 elderly population with comorbidity who have
6 a high prevalence of atrial fibrillation, as
7 well as the younger patients who more and
8 more now are going towards ablation and other
9 approaches as the strategy for the younger
10 patient without structural heart disease.

11 But it's -- the guidelines talk
12 about it. I think we know that it's likely
13 to be the case that these different
14 populations of atrial fibrillation have
15 substantially different issues.

16 DR. HARRINGTON: And one more
17 question if I might, Bill. What is the
18 magnitude of the problem? How many elective
19 cardioversions are we doing, for example? I
20 guess I could have checked this before I
21 left, but I didn't. How many are we doing?

22 DR. GRANGER: So we do, on average,

1 I think about 10 a week, probably, just in
2 the -- in our CCU cardioversion unit, and
3 then more get done in the EP lab.

4 DR. HARRINGTON: So it's sizable?

5 DR. GRANGER: Yes, it's sizable.

6 MR. SIMON: Can you please comment
7 on the spontaneous conversion from afib to
8 normal sinus rhythm? Do we know how, why,
9 what, et cetera?

10 DR. GRANGER: There may be others
11 here who can actually better comment on that.
12 I don't know, other than we know that the
13 natural history of atrial fibrillation tends
14 to be especially early on for the typical
15 patient, that there tend to be probably
16 frequent episodes even of asymptomatic atrial
17 fibrillation when Holter monitors are done,
18 for example, for patients with paroxysmal
19 atrial fibrillation.

20 DR. CANNON: Two questions. The
21 first is, can you comment on the management
22 of torsades de pointes. So we're going to be

1 talking about that a lot today and tomorrow.
2 And with ibutilide, you mentioned there is
3 about a 3 percent incidence of torsades. So
4 can you comment on how big a safety issue is
5 that? Is it fairly easy to deal with, either
6 pharmacologically or electrically, what the
7 fatality risk of torsades that occurs in
8 response to a type three agent is, do you
9 have any either personal experience or data
10 on that?

11 DR. GRANGER: Certainly personal
12 anecdotal experience. Again, I can't talk
13 about this with a lot of confidence about
14 kind of knowing all the available evidence.

15 But I think the anecdotal
16 experience certainly is that if, in a
17 monitored setting, with people used to
18 dealing with the arrhythmia, that in the
19 setting of, for example, ibutilide, that it's
20 a relatively easily manageable problem. Of
21 course the risk is the patient who might go
22 home, and not be in the monitored setting.

1 Then we know that it may be fatal in a
2 certain proportion of patients.

3 But I think generally in the
4 monitored setting with the ability to give
5 magnesium to cardiovert if necessary, to
6 support, that it's generally a manageable
7 problem.

8 DR. CANNON: Okay. Then I have a
9 second question. For atrial fibrillation of
10 relatively short duration, less than 48
11 hours, do you know of any data indicating
12 whether electrical cardioversion versus
13 pharmacologic cardioversion has differential
14 effects on atrial functions?

15 I know with prolonged atrial
16 fibrillation, we all know that atrial
17 function, even after cardioversion, is going
18 to be out for a couple of weeks. But for
19 short duration, is there evidence that
20 electrical cardioversion stuns the left
21 atrium, and is likely to knock it out for a
22 couple of days, as opposed to ibutilide or

1 some other pharmacologic cardioversion?

2 DR. GRANGER: Yes, that's a great
3 question, and I would suggest that the
4 convention wisdom is that that may be the
5 case.

6 I think there is more of a
7 clinical concern over continued
8 anticoagulation following an electrical
9 cardioversion than a pharmacologic
10 cardioversion. But in fact, I know of no
11 data that support that.

12 I think the data all would suggest
13 that the likelihood of thromboembolic events
14 following either pharmacologic or electric
15 cardioversion is similar, that really we
16 should be considering them as similar issues.

17 CHAIR HIATT: Go ahead.

18 DR. MASSIE: Sorry. In terms of,
19 obviously the group that seems to respond
20 best to cardioversion is the group that's
21 been there short term, often does revert
22 spontaneously, as well.

1 Do you have any feeling for what
2 percentage of those have identifiable
3 precipitating factors, such as commonly being
4 post-bypass surgery, but also with pneumonia,
5 some types of drugs, alcohol intoxication,
6 things like that, versus those that are
7 early, but we don't know why they got it, it
8 may actually reflect these people who have
9 been building up toward it with spontaneous
10 burst?

11 Do we have any idea which group is
12 which, and whether, in fact, this spontaneous
13 cardioversion would be equal in those?

14 My experience is that the ones you
15 know why they got it often go away as you
16 treat the underlying condition, or the time -
17 - the alcohol and things like that. But I
18 don't even know if there is any data to
19 support that, either.

20 DR. GRANGER: I think there is
21 relatively little data to support that. I
22 think the other issue for that particular

1 population is that's a population where I
2 think we are more comfortable either
3 chronically treating with antiarrhythmic
4 drugs, or chronically anticoagulating, if we
5 find a reversible cause in a single episode.

6 But I think most of these trials,
7 either those patients were excluded, or that
8 was a relatively small population, that most
9 of what we are talking about here are
10 patients who don't have a readily
11 identifiable reversible cause of their atrial
12 fibrillation.

13 DR. MASSIE: Would you say that
14 might explain why the control groups have
15 very little spontaneous --

16 DR. GRANGER: Well, as I showed,
17 the spontaneous cardioversion is actually
18 pretty high, as long as you have short
19 duration of atrial fibrillation.

20 DR. MASSIE: I mean, actually I'm
21 getting to the trials of the drugs that --

22 DR. GRANGER: That may be, yes.

1 Although I -- in general practice, sometimes
2 we think we may have a reversible cause, in
3 fact, sometimes it's hard to prove that that,
4 in fact, was a substantial contributor.

5 CHAIR HIATT: So Chris, we are
6 going to be debating the merits of two drugs
7 over the next two days that are given, as
8 patients present, and the outcomes are
9 assessed over the next couple of hours. And
10 there you can see a clear distinction between
11 drug and placebo.

12 Could you just help us, or clarify
13 one more time, if we were to wait more than
14 two hours, wait an additional 24 hours, you
15 would say that half to two-thirds of the
16 patients that will be deliberating in these
17 trials might spontaneously convert on their
18 own?

19 DR. GRANGER: Well, I think some of
20 the -- I think there is some data from the
21 trials to address that. But at least in
22 general practice, yes, that that's the case.

1 I'm not suggesting that there might not be
2 benefit, as long as it's done safely, in more
3 rapid conversion in terms of both health care
4 resource use and patient satisfaction, but
5 yes.

6 CHAIR HIATT: What I'm getting at
7 is I think we know pretty clearly what
8 happens when you treat according to the
9 protocols. And I think you can also assume
10 that, when you give either of these drugs
11 that, if you do convert, it's a fairly
12 durable conversion out to 24 hours, and even
13 out to seven days.

14 The question that is not really
15 addressed is, if you were to use a different
16 strategy, and wait longer, would the
17 spontaneous conversion rates sort of pick up,
18 and actually look a lot better? In other
19 words, if you had sort of looked at a longer
20 window of time, or delayed that decision, and
21 what would be the risks of waiting?

22 DR. GRANGER: Yes, and I think the

1 -- so the risks of waiting include patient
2 factors, you know, patient discomfort and
3 anxiety about being in this abnormal rhythm,
4 some of that warranted, some probably not
5 warranted, the need for anticoagulation, and,
6 you know, a delay in definitive treatment
7 that also I think in medicine if we can fix
8 something right away, I think there is a lot
9 of almost infatuation with the trans-
10 esophageal echo-guided approach, for example,
11 just in terms of a clinical correlate of
12 going ahead and doing something quickly for
13 those patients who are -- who have had atrial
14 fibrillation for longer than 48 hours.

15 We like to take care of things
16 right away if we can, not saying that the
17 outcome data necessarily support that. But
18 that's another factor, I think, that comes
19 into play.

20 DR. LINCOFF: One of the other
21 issues that we may be discussing relate to
22 hypotension and bradycardia, which I know

1 occurs to some extent after electrical
2 cardioversion, as well. Are there any data,
3 or even suggestion of ballpark figures of the
4 immediate rates of bradycardia or hypotension
5 following electrical cardioversion?

6 DR. GRANGER: Yes, another good
7 question, Michael. And I don't have any kind
8 of firm data on that, although I think, and
9 my anecdotal my answer would be, very common
10 to have some bradycardia, especially some
11 transient bradycardia, uncommon to have that
12 something that can't be managed with atropine
13 or simple observation and/or fluids for an
14 hour or two.

15 DR. HARRINGTON: Chris, another
16 issue that we are going to be discussing is
17 the merits of drug therapy over electrical
18 cardioversion, and some of the things about
19 electrical cardioversion that you have
20 already alluded to, particularly the sedation
21 issue.

22 Has there been any published

1 information on how patients perceive the
2 electrical cardioversion procedure? It's
3 talked about and said that it may be
4 uncomfortable, it may leave burns on the
5 chest, people go to sleep, but is there
6 actually data where people have been
7 interviewed in a systematic way and
8 published?

9 DR. GRANGER: Not that I know of.

10 DR. HARRINGTON: And do you know --
11 you made reference to the sedation
12 complications, aspiration, et cetera. Again,
13 what is the published rate of complication of
14 the anaesthesia part of the procedure? It's
15 got to be exceedingly low.

16 DR. GRANGER: I think it's very
17 low. There is also a wide heterogeneity of
18 how different centers deal with this, you
19 know, whether you have an anaesthesiologist
20 there administering anaesthesia under a very
21 controlled setting, or whether you are giving
22 high dose benzodiazepines in a kind of

1 conscious sedation approach is highly
2 variable.

3 And I could find nothing about any
4 systematic review of complications related to
5 that at the time of cardioversion.

6 MR. SIMON: You mentioned rate and
7 rhythm control. Could you please define
8 both, the differences, and why should there
9 be an advantage of rhythm over rate?

10 DR. GRANGER: So as you know, the
11 data don't support that there really is for,
12 at least for the trials that have been done,
13 that, granted, have been selective on who
14 even gets into those trials, to a certain
15 extent that it's a patient who is entirely
16 not tolerating their atrial fibrillation
17 wouldn't even be enrolled in one of those
18 trials, because there would be a feeling of
19 an urgent need for some attempt at rhythm
20 control.

21 But I think, you know, in terms of
22 the trials probably are the best place to

1 look at how one defines the two different
2 approaches. So for rhythm control, the
3 definition would be a primary approach of
4 using either antiarrhythmic therapy or
5 recurrent electrical cardioversion, or the
6 combination in attempts to maintaining sinus
7 rhythm, whereas rate control, the primary
8 focus being on simply using drugs that
9 control the rate to improve the tolerability,
10 understanding that some of those patients
11 will either spontaneously convert, or will
12 crossover, because they are simply not
13 tolerating their symptoms, and vice versa,
14 some of the rhythm control end up turning
15 into simply chronic atrial fibrillation in
16 spite of whatever else we do.

17 CHAIR HIATT: So given that, if a
18 patient presents with mildly symptomatic, or
19 asymptomatic atrial fibrillation, there is
20 nothing wrong with leaving them in atrial
21 fibrillation, giving them anticoagulation and
22 controlling their rate.

1 And so you would say that the main
2 indication for immediate fix it, like you've
3 just described, is driven by symptoms?

4 DR. GRANGER: Two things, I think.
5 One is -- and I think all the guidelines
6 support this, and these patients tended not
7 to be included in the trials like AFFIRM, for
8 example, is for patients with new onset
9 atrial fibrillation, or for I think almost
10 all of those patients, maybe with the
11 exception of a very important population,
12 that being the elderly relatively
13 asymptomatic. I think many of those patients
14 is perfectly reasonable and in fact probably
15 may be best to simply rate control and
16 anticoagulate.

17 But with the exception of that
18 group, I think most -- the conventional
19 wisdom approach is to go ahead and cardiovert
20 the new onset atrial fibrillation in either
21 the nonelderly or the symptomatic, and for
22 the paroxysmal patients who are more highly

1 symptomatic, to go ahead and periodically
2 convert them.

3 CHAIR HIATT: Other questions?

4 Well, thanks very much, Chris.

5 Norman, can we turn to your
6 general questions now to the committee?

7 QUESTIONS TO THE COMMITTEE PART 1

8 CHAIR HIATT: Dr. Stockbridge has
9 posed some questions to us, so let's turn to
10 those for a bit of a general discussion. We
11 actually have until 9:30 before we turn to
12 the sponsors' presentation.

13 So we've been asked to opine on
14 the appropriate role of two proposed new
15 drugs to effect conversion of atrial
16 fibrillation to normal sinus rhythm.

17 The time dependency that make this
18 determination challenging is illustrated by
19 the diagram - there we go.

20 So this is time in atrial
21 fibrillation over time, and it shows
22 essentially the possible benefits of

1 treatment, the bottom line, versus no
2 treatment, the top line.

3 Any effective therapy reduces the
4 risk of being in atrial fibrillation
5 corresponding to region A. There may or may
6 not be a region B representing risk reduction
7 for patients who never have converted
8 spontaneously.

9 Using an effective therapy too
10 early, period A, is of no utility, because
11 the spontaneous conversion rate is high, as
12 we've just heard. So the opportunity for
13 benefit is small compared with the risks of
14 treatment.

15 If region B exists, delaying
16 treatment too long, period B, loses any
17 opportunity to benefit patients who are at
18 risk because their atrial fibrillation for
19 moderate periods of time.

20 That we're not complicated enough,
21 one may have to consider that some of the
22 risks associated with being in atrial

1 fibrillation, for example having an embolic
2 stroke, are also likely dependent upon time
3 in atrial fibrillation.

4 Before considering drugs to effect
5 conversion atrial fibrillation and flutter to
6 normal sinus rhythm, the advisory committee
7 is asked to consider how well characterized
8 is the time course for spontaneous
9 conversion, how well characterized are the
10 harms of being in atrial fibrillation, how
11 well characterized is the time course for
12 successful electrical conversion?

13 So I guess I'd like to entertain a
14 discussion amongst the committee on question
15 #1.

16 DR. HARRINGTON: Well, I think that
17 Chris showed us the state of the state, which
18 is most of the evidence, Bill, that is
19 available. And as Chris points out, this
20 acute care issue is perhaps less well studied
21 than the chronic issue of things that were
22 studied in things like AFFIRM.

1 In the acute care issue, most of
2 the studies that have been reported are
3 pretty small, and so the best estimate we
4 seem to have from the small studies is that
5 short periods of atrial fibrillation are
6 highly likely -- or short durations of atrial
7 fibrillation appear highly likely to convert
8 spontaneously over the next, let's say, day
9 or two.

10 And I think the best evidence that
11 Chris showed were the studies that looked at
12 it at the end of 24 hours, and suggested
13 upwards of half to two-thirds of the patients
14 are spontaneously converting.

15 That certainly mirrors, I think,
16 the -- what the clinical anecdote would be.

17 But to me, one of the troubling
18 issues here, or the challenging issues, is
19 that the data, in fact, are pretty limited,
20 the actual published data.

21 So shorter durations in afib seem
22 to have a high likelihood of being converted.

1 Barry brings up some of the other issues of,
2 if you have a reversible cause, that as you
3 treat the reversible cause, people perhaps
4 are more likely to be converted, and people
5 who have normal structural hearts are likely
6 to more readily be converted spontaneously.

7 CHAIR HIATT: So the question
8 around this curve I think is that, as I asked
9 earlier, if that's true, then we have a clear
10 drug effect at two hours, and this is
11 speculation about what a study would look
12 like had the duration, or had you waited for
13 the spontaneous conversion to reach its 50
14 percent or 75 percent asymptote, and then
15 randomized patients, what that would look
16 like.

17 We've got clear primary endpoint
18 evidence of drug efficacy over the next two
19 days. What does that mean in terms of very
20 short term benefit, as Norm has shown in the
21 figure?

22 DR. HARRINGTON: The phrase that

1 always sticks in my brain is, live longer,
2 feel better, avoid unpleasant things.

3 And it's sort of one of our
4 central charges here. And I know a lot of
5 the discussion we'll have over the next
6 couple of days is that you can measure
7 something at 90 minutes or two hours, but
8 does that really matter?

9 And I'll reserve my statement on
10 that until I hear all the discussions over
11 the next, you know, at least over the next
12 day.

13 DR. MASSIE: I think probably the
14 most relevant, and seems like somewhat
15 characterized thing is what that time course
16 -- partly I'm depending a little bit on the
17 data from these applications where you
18 couldn't be entered if you were known to be
19 in afib for less than 72 hours.

20 And that sort of fits with my own
21 experience, which is, those people, they are
22 not the ones that have been drinking, they

1 are not the ones that, you know, may come in
2 with a URI, and lots of bronchodilators.
3 They sometimes overlap with the cardiac
4 surgery patients, but then we're not dealing
5 with that exactly today.

6 If it really is 72 hours, and I
7 would guess that 72 hours is a pretty good
8 threshold, I think it would make sense,
9 unless the patient is for some reason
10 hemodynamically unstable in a way that you
11 couldn't deal with it, or perhaps having bad
12 angina, together with -- although most of the
13 time we can control the rate pretty well if
14 they are in the hospital, the real question
15 becomes, we got past that point where the
16 high rate of spontaneous conversion, and I
17 think there is that point, and I guess that
18 will be the crux of things.

19 And I think it's pretty well
20 characterized, at least in the population for
21 these, and I don't know how much the external
22 data that Chris reviewed adds to that in

1 terms of a 72-hour time point. It certainly
2 fits with my own experience. Often, if
3 people aren't too symptomatic or too fast,
4 we'll just send them home, and have them come
5 back 24 hours later and see if they are still
6 in it, as a way.

7 So I guess that's the crucial part
8 of the first question from my -- you know, we
9 talked about the arm. At some point, there
10 are two arms. One is, you worry about
11 emboli, and the other is you begin to worry
12 about bleeding, because the highest risk time
13 for anticoagulation is early, partly because
14 you uncover bleeding, unknown bleeding risks
15 at that time.

16 And I guess it does appear that,
17 with time, it gets harder to convert. I
18 don't know exactly when that occurs. And I
19 think that was less clear, but obviously well
20 organized cardioversions, even quite a bit
21 later, electrical, do seem to have success.

22 CHAIR HIATT: And the third arm

1 would be the quality of life that you take,
2 and the exercise --

3 DR. MASSIE: Yes, for those that
4 are really miserable, and I certainly have
5 seen that happen, although usually with rate
6 control in the older population I deal with,
7 not many people are miserable.

8 CHAIR HIATT: So you'd say that,
9 the committee would say that the time course
10 for spontaneous conversion is reasonably well
11 known?

12 Okay, Michael.

13 DR. LINCOFF: You know, it's
14 interesting that, as we'll discuss, it looks
15 like most of the benefit from the
16 pharmacologic cardioversion seems to be up to
17 the seven-day period, although patients were
18 enrolled as early as three hours after their
19 onset of symptoms.

20 So clearly within the first couple
21 of days, I think, as you suggested, maybe the
22 first two or maybe three days, there is going

1 to be a very high rate of spontaneous
2 cardioversion.

3 But it looks like there continues
4 to be benefit even in patients who persisted
5 longer, and beyond two or three days, I think
6 the likelihood of spontaneous cardioversion
7 is low enough that this becomes an
8 incremental benefit.

9 CHAIR HIATT: Thoughts on this
10 side?

11 DR. CANNON: I think there are
12 special populations where the time line for
13 spontaneous cardioversion is less clear,
14 where a drug or a pharmacologic intervention
15 has appeal, and that is a postoperative
16 population.

17 So as a consulting cardiologist, I
18 see a lot of them. And that is a real
19 problem. Some of these patients have tenuous
20 blood pressures, and they go onto atrial
21 fibrillation, and we really feel like we need
22 to do something, not because they are

1 symptomatic, they may still be intubated, but
2 because the surgeon is concerned, and we are
3 concerned about their hemodynamic status.

4 And I think they are waiting 24
5 hours, 48 hours, to see if they spontaneously
6 cardiovert, which would make a lot of sense
7 in the ambulatory setting, I think is less
8 tenable in the post-operative population.

9 So I would be interested, when we
10 get the presentation, the industry
11 presentation, about getting more data on that
12 conversion rate.

13 MR. SIMON: Just a comment. I
14 guess from the patient's standpoint,
15 frustration plays a big part in atrial fib.
16 When I woke up this morning, I was fine,
17 sinus rhythm. During Dr. Granger's talk, I
18 was fine, about halfway through, I went out.

19 And I don't know if anybody saw
20 me, I took a pill, and within five seconds, I
21 was back in rhythm. The pill did not work
22 within five seconds, but I don't know if it's

1 mind over matter or whatever, but I'm back in
2 sinus rhythm.

3 So the frustration with atrial
4 fib, just to let you all know, there is a
5 point where it just is, intolerable is not
6 the right word, but there is a frustration.

7 And I understand from listening to
8 everybody else there is a frustration from
9 your standpoint, also.

10 CHAIR HIATT: Any more comments on
11 that?

12 Michael.

13 DR. MASSIE: I just would like to
14 chime in on the post-bypass or the post-
15 cardiectomy at least group of patients. It's
16 a pain in the neck, but they go in and out no
17 matter how I treat them, you know. So that
18 I'm not sure, and I think there are some data
19 from some of the drugs that we are dealing
20 with, but it wasn't really presented to us,
21 and great information whether a drug that
22 usually is effective still 24 and maybe 72

1 hours later would, in that population, be
2 still effective, or whether they would be
3 back in afib within an hour. Because we see
4 that, which is sort of why a lot of people
5 move to amio if we are going to do anything,
6 because there is something that sticks
7 around.

8 The problem isn't going to go away
9 for five days. You begin to get the worry of
10 anticoagulation on top of a post-bypass
11 patient, and it's a nightmare.

12 But I don't see how we can
13 extrapolate data from any other type of
14 patient population to that population, and
15 knowing what the success rates are going to
16 be, the persistence rates are going to be,
17 and the risks are going to be.

18 But it is -- keeps all of us who
19 are on call up at night all the time.

20 DR. LINCOFF: Despite all the talk
21 of spontaneous reversion to normal sinus
22 rhythm, the bottom line is that the data is

1 relatively limited, but in the studies that
2 have been done with the two agents that are
3 already approved, and the drugs we are seeing
4 now, we are looking at placebo rates, which
5 are relatively low, and these are the early
6 periods.

7 So discussions of other reversible
8 causes, including cardiac surgery or,
9 notwithstanding, what little data that does
10 exist is in these more recent studies, and it
11 does suggest that there is a relatively low
12 rate of spontaneous reversion in those
13 patients that present, depending upon what
14 the time period, the window is for how long
15 they've been in atrial fibrillation.

16 So I think it would suggest that
17 it's not particularly high for these
18 patients.

19 DR. HARRINGTON: But Mike, isn't
20 that one of the key questions? It depends
21 upon how well characterized the patient
22 population is, and in the studies we're going

1 to talk about today and tomorrow, patient
2 population is actually very narrowly
3 specified, as opposed to the challenges that
4 Dr. Ken and Barry bring up, for example with
5 the postoperative patients.

6 DR. LINCOFF: I think that's
7 absolutely true and, in fact, it
8 characterizes a relatively healthy population
9 which, if anything, would be probably more
10 likely to have spontaneous reversion to
11 normal sinus.

12 So in the less healthy population,
13 which may be the population we are more
14 interested in, and the efficacy is something
15 we'd discuss, you might even expect rates of
16 spontaneous reversion to be lower.

17 CHAIR HIATT: You know I would say,
18 Michael, that I was surprised a bit at the
19 conversion rates being fairly low on placebo
20 in both these programs early.

21 But what we really don't know is,
22 had you waited, and I think for both

1 programs, one of the fundamental issues I
2 have with the data is that we know an awful
3 lot about drug and placebo comparisons across
4 a variety of endpoints, including some
5 symptomatic endpoints, over a two-hour
6 window, but then other things happen after
7 that. You know, your option for electrical
8 conversion comes in. Placebo patients are
9 going to be treated differently. What I don't
10 know is the symptomatic difference at 24
11 hours, or at seven days. And so I think the
12 treatment window we are going to wrestle with
13 here today, as Norman put out in his figure,
14 you know, shows clear efficacy very early on.
15 But I think what is a little muddled in my
16 mind is this sort of temporal thing in terms
17 of what happens if we were to wait out to 24
18 hours. And we really don't know if there are
19 symptomatic differences.

20 So in other words, if you are
21 randomized to placebo, you get converted, are
22 you now asymptomatic? We don't have the

1 symptomatic data at 24 hours. I'd like the
2 sponsor actually to come up with that before
3 the end of the day. That's the hard part.

4 Then the last thing here is, is it
5 well characterized, the time course for
6 successful conversion?

7 It looks like, from Chris' data
8 that, and we all know that there are a
9 certain number of patients that are
10 refractory, or who go back into atrial
11 fibrillation over time. But there still
12 appears to be a treatment effect of
13 electrical conversion in that "D" area of the
14 curve.

15 Is that correct? Do you all agree
16 with that?

17 Okay, we have a few more minutes
18 on this. What are the disadvantages of
19 waiting for spontaneous conversion? Staying
20 symptomatic, risks of being in
21 anticoagulation, reduced rate of success,
22 poor hemodynamic outcome, shorter duration of

1 normal sinus rhythm, or other issues.

2 DR. HARRINGTON: Before we go to
3 that one, could we do the middle question:
4 how well characterized are the harms of being
5 in afib? Because I'd like some help in
6 understanding that.

7 I mean, Mr. Simon I think talked
8 nicely about one of the issues is the
9 frustration issue. But -- and that's an
10 important quality of life issue.

11 But what about other things? What
12 do we know? I mean we know with
13 anticoagulation for example, the teaching and
14 what the guidelines say is that atrial
15 fibrillation more than 48 hours warrants
16 consideration for anticoagulation or a TEE-
17 guided approach if you are going to consider
18 cardioverting that.

19 But in our practice we -- if
20 someone is beyond 48 hours, we'd
21 anticoagulate them, and either if they feel
22 pretty fine about it, wait four to six weeks.

1 So what are the harm issues of
2 afib? Thromboembolic one is certainly there.
3 Chris talked about the AF begetting some
4 abnormality in the atria, but as Chris said,
5 that's data from goats. I don't know how
6 relevant that is to people.

7 What do we know? I don't have an
8 answer for you. I'm asking you.

9 DR. MASSIE: Well, let me -- I
10 mean, I've always been surprised a little bit
11 about the anticoagulation issue. But it
12 seems like it's a major quality of life issue
13 for patients. To be anticoagulated, or even
14 the thought of it. I know people who just
15 refuse it because they assume it's going to
16 be miserable, and they are likely to bleed.

17 So now we are getting away from
18 this really early acute setting, which is, I
19 think, the thing we know the most about from
20 these trials, although those people I believe
21 were anticoagulated because it was already 72
22 hours for entry in most of them.

1 But so I think chronic
2 anticoagulation, either the perceived quality
3 of life issues, the real ones, and the
4 bleeding issues, are there. And it doesn't
5 show up in the rate control versus rhythm
6 control because, in fact, starting and
7 stopping seems to be worse than continuing.
8 But not that they love anticoagulation, it's
9 just that having fairly long periods of time
10 off anticoagulation doesn't prevent you from
11 the bleeding and the quality of life issues
12 when you have to go back on, I guess. And I
13 think it's pretty well characterized that,
14 when you start anticoagulation, you are
15 likely to get two-thirds of your year's
16 bleeds in the next four weeks.

17 So I think that is one of it. I
18 think, depending on your population, symptoms
19 would be important. I have some young
20 friends my age who really are miserable in
21 atrial fibrillation. So I think that is
22 another, although those don't tend to be the

1 people we enroll in any of these trials. So
2 I think that is certainly -- the symptoms,
3 and being on anticoagulation, I think are
4 really major harm, somewhat harm. The
5 symptoms don't seem to be as bad in some
6 populations as in others.

7 CHAIR HIATT: Okay, let's assume
8 that's true. And this committee has debated
9 a couple of years ago the merits of newer
10 anticoagulation agents to treat atrial
11 fibrillation, in particular. So there is
12 clearly a need for better therapy for sort of
13 chronic background therapy for atrial
14 fibrillation.

15 I think it's clear that there are
16 thromboembolic risks of not being treated,
17 and there are certainly bleeding risks of
18 being treated with anticoagulation.

19 I mean the problem I think with,
20 with sort of thinking about that issue is,
21 what's your alternative? And Chris showed us
22 that, if it was rhythm control, it's not

1 necessarily better, by trying to avoid
2 anticoagulation in terms of major clinically
3 relevant irreversible harm endpoints.

4 There is a symptomatic issue,
5 which I think there are a number of patients
6 who have commented on it, who are completely
7 asymptomatic, don't know they are in it. And
8 there are a lot of people, I imagine anybody
9 at this table who was in atrial fibrillation,
10 would certainly not like that.

11 Other comments?

12 DR. HARRINGTON: But isn't one of
13 the things we learned from AFFIRM is that
14 part of the difference between the rate and
15 rhythm arm might have been the differential
16 use of anticoagulation, that there is this --
17 there had been this fallacy that, if you were
18 in a rhythm strategy, that you could avoid
19 the burden of anticoagulation, but in fact,
20 both RACE and AFFIRM suggest that that is not
21 true, that one of the reasons that those arms
22 may differ in favor of rate -- I think Mr.

1 Simon had asked the question why might one be
2 better than another. One of the reasons that
3 rate might have been better than rhythm in
4 these trials is that there was better use of
5 anticoagulation.

6 CHAIR HIATT: I totally agree
7 with that interpretation, which means I
8 think, for today's deliberation, we may not
9 be able to avoid that particular background
10 therapy.

11 DR. CANNON: Along the lines of the
12 risks of not doing something about the
13 rhythm, just rate control with
14 anticoagulation, another special population
15 that I seem to deal more and more with is the
16 very elderly population.

17 So the elderly population, most of
18 them tolerate rate control very well, and I
19 can manage their anticoagulation very well.
20 But I worry about very elderly, about
21 compliance with coumadin and the 10 other
22 pills that they are taking, and stumbling and

1 falling and so forth.

2 And that's become more and more of
3 a headache maybe the older I get. But I
4 think that is an emerging special population
5 where the rate control with anticoagulation
6 is going to be problematic.

7 DR. HARRINGTON: And along with
8 that, I'd be interested to hear what Barry
9 says, the elderly that we all see that have,
10 you know, systolic preserved heart failure.
11 So that they've got these stiff ventricles,
12 and being in sinus rhythm seems to make them
13 perhaps feel a little bit better, because
14 they have better diastolic feeling.

15 Barry, is that a real entity?

16 DR. MASSIE: I think it is. And
17 the flip side is, when they go into atrial
18 fib, they tolerate it least well.

19 MR. SIMON: Being in the, since I'm
20 61, I guess I'm in the elderly, not the
21 super-elderly, but I think there's pros and
22 cons with regard to being on a drug and being

1 on an anticoagulant.

2 I have problems sometimes with the
3 anticoagulant that I'm on, but I get that
4 fixed fairly easily.

5 The drug I'm on has a number of
6 side effects. And I can take those --
7 actually, I can take those better than the
8 side effects if I'm not -- for example, if
9 I'm playing tennis, and I go into atrial fib,
10 and it can go to 300, 350 beats a minute, it
11 just wears me out.

12 If I'm playing on a golf course, I
13 can barely -- I can make it up the hill, but
14 it really wears you out terribly.

15 So I take the drug for the obvious
16 reason: I want to get rid of those symptoms.
17 But at a point, I'm at a maximum dosage right
18 now on the drug I'm on, so I'm considering at
19 this point in time the surgery, the radio-
20 frequency ablation.

21 In any case, there are pros and
22 cons with both, the anticoagulant and the

1 drug itself, but it's a lot better being in
2 sinus rhythm than not.

3 CHAIR HIATT: Yes, so let's just
4 acknowledge that, in addition to the special
5 populations that Dr. Cannon mentioned, the
6 symptomatic versus the asymptomatic patient
7 bears particular attention.

8 DR. MASSIE: And of course we
9 didn't, I guess it sort of doesn't need to be
10 said, but it should be said, that the flip
11 side of the anticoagulation is there is the
12 real risk of stroke, whether or not you're
13 anticoagulated, but obviously different.

14 So we -- I think the patient, my
15 patients seem to be often more concerned
16 about the anticoagulation than the stroke.
17 But really, this is the leading preventable
18 cause of stroke.

19 CHAIR HIATT: Indeed it is.
20 Hypertension, too.

21 Any more comments on question #1?

22 So what are the disadvantages of

1 waiting for spontaneous conversion? Comments
2 on that? We've hit some of this.

3 DR. LINCOFF: Since we are talking
4 about acute drug, I think the issue is less
5 whether or not we ultimately want to
6 cardiovert or not, which is a different
7 issue, but again, what is the delay in
8 waiting -- or what is the consequences of
9 waiting.

10 From my understanding, the data is
11 not clear that you can avoid the need for
12 anticoagulation after cardioversion, even if
13 you've cardioverted relatively early.

14 So I don't know that we can hang
15 our hat on the idea that, if you convert them
16 before a certain period of time, you can
17 avoid anticoagulation that you wouldn't
18 otherwise, because I think that's unclear.

19 To some extent, it depends upon
20 how long they've waited before they've come
21 to attention. But certainly, I think for
22 most of the people in these studies, beyond

1 24 to 48 hours, the issue of anticoagulation
2 is moot, because you pretty much want to or
3 need to do it anyway.

4 So it really comes down to issues
5 of flow of patients through the hospital
6 system, and that is going to be dealt with in
7 the emergency room, potentially sent home
8 rather than monitored. But then there is
9 periods of time to monitor for the drugs
10 themselves.

11 There's the issue, the very real
12 issues, of patient anxiety or frustration in
13 dealing with being in atrial fibrillation
14 longer, and waiting around to see it
15 converted.

16 But I think it's very hard to
17 show, aside from the hemodynamically unstable
18 patient, which I don't think any of us -- I
19 think that is very clear, that it's class 1A
20 indications to convert them fairly rapidly.

21 For patients who are not
22 hemodynamically unstable, not having the

1 angina from the rapid response -- and again,
2 we are not talking symptoms, because this is
3 not at home or playing tennis, but this is
4 sitting in the hospital.

5 I think it's very hard to show a
6 disadvantage of waiting. I think they are
7 mostly theoretical, as Bob and Chris pointed
8 out in goats, that you may make it more
9 difficult later on to convert.

10 DR. HARRINGTON: I think you have
11 said it, Bill. I think one of the
12 conversations we'll have over and over today
13 is about symptomatic versus asymptomatic
14 patients. Because as Mike said, that's very,
15 very different in terms of the urgency with
16 which you want to do something.

17 And the anticoagulation issue is
18 something that is going to be there. That if
19 you have a structurally normal heart, and you
20 are out of afib in the first two days, I
21 think you can make a case based on the
22 evidence to not provide longer term

1 anticoagulant therapy.

2 Everyone else, I think you have a
3 tougher case. If you do not have a
4 structurally normal heart, or if you are in
5 afib for greater than 48 hours, most of the
6 evidence would suggest anticoagulation, as
7 Barry said.

8 I mean, few things are better in
9 medicine than oral vitamin K antagonism for
10 the reduction of stroke. I mean, there's
11 almost a 70 percent risk reduction in stroke
12 with oral vitamin K antagonists relative to
13 placebo.

14 CHAIR HIATT: Yes, and thanks for
15 characterizing that, because I think we sort
16 of -- we hit on this just a little bit
17 earlier, but just to kind of maybe restate
18 it, it sounds like that there may be a
19 subgroup of patients who, for example, have
20 alcohol withdrawal, and will have a
21 spontaneous conversion to sinus rhythm,
22 structurally normal heart, and they

1 potentially could stay in sinus rhythm the
2 rest of their life, and that patient would
3 not get anticoagulated.

4 But most patients that would be
5 considered in these kinds of trials are at
6 risk for recurrent or chronic, and therefore,
7 the concept of background anticoagulation
8 therapy for all patients is one I think the
9 committee seems to be supporting as most
10 likely for most of these patients.

11 Am I right in saying that?

12 DR. HARRINGTON: I think another
13 way to say it is that we should probably take
14 off the table, for most patients you are not
15 avoiding the risk of anticoagulant therapy,
16 for most patients that we will be talking
17 about, though there will be special
18 situations where perhaps you can.

19 CHAIR HIATT: Okay, so then if
20 that's true, then once again we are dealing
21 with symptomatic issues in many respects.

22 DR. MASSIE: Although it does seem

1 like recent guidelines are beginning to push
2 the envelope of the non-anticoagulated
3 patients. It makes me scared, but I guess
4 they have been compending the data. You
5 know, the low risk patient, now it's gone up
6 to 65, and some people are saying the area
7 between 65 and 75 with those of less evidence
8 of heart disease are low risk.

9 I guess it's going to take awhile
10 for me to practice that way for the reasons
11 Bob just talked about.

12 But again, the types of patients
13 that we are talking about here tend to be the
14 chronic hypertensive person. The median age
15 is 65, and it looks like the AFFIRM
16 population, at least in that demographic,
17 background of hypertension, so I think most
18 of these will end up being anticoagulated.
19 And I guess the other thing is, I see a lot
20 of them end up on chronic antiarrhythmic
21 therapy because somebody thinks they are at
22 risk.

1 It seems to me that if you are
2 willing to use chronic antiarrhythmic
3 therapy, all those people should be on
4 anticoagulation, unless there is a direct
5 contraindication.

6 CHAIR HIATT: Excellent.

7 Any more comments on question
8 number two?

9 DR. STOCKBRIDGE: Did anybody want
10 to comment on the potential difference in
11 terms of hemodynamic outcomes? Anybody think
12 that is a pertinent consideration?

13 DR. MASSIE: There certainly are
14 people who are harder to rate control,
15 particularly the post-op patients sometimes
16 who tend to have fairly normal hearts, and
17 are on a real catecholamine high, and all the
18 rest, and if you can't control the rate, it
19 can be an issue. And some of the drugs we
20 give to control the rate begin to take their
21 toll, multiple hypotensive agents, then we
22 get into the second part of the hemodynamic

1 problem.

2 So I think it can be pretty
3 miserable. Now the types of people that were
4 in the study, I see the mean heart rates were
5 100. I don't think there probably was a big
6 issue with hemodynamics making them need to
7 have urgent cardioversion if you could get
8 the heart rate down to 100 pretty easily.
9 Although there must have been a couple of
10 140s in there, I think the upper range
11 reached that level.

12 So I think the hemodynamics, the
13 angina patient, the poly-pharmacy that
14 hemodynamic control requires isn't benign,
15 but I don't think it's a huge problem.

16 DR. STOCKBRIDGE: Okay, but this
17 wasn't about whether the strategy ought to be
18 rate control, it was about waiting some
19 period of time for spontaneous conversion.

20 DR. MASSIE: That was what I was
21 actually referring to. It's this period,
22 they are in the hospital, you know, do you

1 want to watch another couple of days. I
2 mean, I guess I took the data from these
3 studies to say, at least this particular
4 population, waiting isn't going to be very
5 productive beyond the 72 hours that they were
6 supposed to have already been observed to be
7 in atrial fibrillation.

8 Whether a week later it would
9 happen or not, I guess we just don't have
10 enough data to know. But the fall off
11 between that early, pretty high percentage of
12 spontaneous conversion to what we saw from 72
13 hours to the next few days, was pretty
14 dramatic. It didn't seem to me like that
15 would be likely.

16 So I was talking about the
17 hemodynamic problems there. This population
18 is pretty well controlled in their rate. I
19 don't think it was really probably hard to
20 deal with their hemodynamics, but I think
21 there are problems with hypotension, with
22 multiple rate control drugs that are real.

1 And sometimes you get periods of bradycardia
2 together with your tachycardia, and it really
3 depends on the population.

4 I think that is an issue, but
5 usually manageable.

6 DR. STOCKBRIDGE: Let me ask the
7 question a little bit differently. If you
8 were to convert, either spontaneously or with
9 some product, some other strategy, if you
10 were to convert, do you think there is a
11 difference in your hemodynamic state at that
12 point, depending on how you got converted?

13 DR. MASSIE: I wouldn't want to say
14 that I can imagine a really big difference.
15 They both can cause hypotension for various
16 reasons, you know, being the medicines that
17 we give with the cardioversion, and other
18 things, and they both get bradycardia some of
19 the time.

20 But I think those are manageable
21 with appropriate observation in either case.
22 So no, I don't think there's a big

1 difference.

2 DR. LINCOFF: I think spontaneous
3 cardioversion probably spares you -- I think
4 it's much less likely to be bradycardiac or
5 hypotensive in the case of spontaneous
6 cardioversion, so I think from that
7 standpoint - but again, these are relatively
8 manageable, and I think that, if you do wait
9 and decide to default to electrical
10 cardioversion, you are probably not buying
11 yourselves much.

12 And in terms of the hemodynamics
13 in the waiting period, I think you will know
14 up front for most cases, although it's very
15 true as Dr. Massie pointed out that some
16 types of medications we use in the interim to
17 control the rate do precipitate their own
18 treatment emergent hemodynamic consequences
19 that then push you to cardiovert.

20 DR. CANNON: I'm just wondering if
21 maybe what you are driving at is the benefit
22 of atrial systole. So obviously one

1 justification for restoring sinus rhythm is
2 to restore atrial systole. And for some
3 patients with stiff hearts, hypertrophic
4 cardiomyopathy, which I used to see a lot of
5 at the NIH, I don't see quite so much
6 nowadays, but atrial systole can mean a lot.

7 And I think the earlier the
8 cardioversion, whether it's spontaneous or
9 electrical, or pharmacologic, the more likely
10 you'll see a fairly rapid restoration of
11 atrial systole.

12 So what's one disadvantage of
13 waiting in that -- again, we are talking
14 about a special population. Well, I think
15 restoration of atrial systole will be more
16 delayed. So if you wait a month or so, or
17 six weeks, more than likely if you then
18 decide to cardiovert, or they spontaneously
19 cardiovert after six weeks or so in atrial
20 fibrillation, I think there is going to be a
21 longer time for atrial systole.

22 And that's one of the reasons why

1 we continue anticoagulation for three or four
2 weeks beyond that point, because we know
3 that, by echo, the atrium just doesn't move,
4 or doesn't move very well for a time. And
5 the longer that interval from the onset of
6 atrial fibrillation to cardioversion, the
7 longer I think it's going to take for the
8 atrium to wake up and do some benefit that we
9 hope will be achieved.

10 DR. LINCOFF: In that regard, with
11 the atrial mechanical activity, it's sort of
12 paradoxical that the period of time that
13 we're probably likely to recover the most,
14 that there is the most difference for every
15 smaller increment of time in recovery of
16 atrial activities probably early on.

17 So a patient who comes in seven
18 days, 10 days after their onset of afib, you
19 know, the extra couple of days are probably
20 going to make very little difference.

21 But on the other hand, that's the
22 time period where their likelihood of

1 spontaneous cardioversion is very low anyhow,
2 so that the pharmacologic cardioversion is
3 more of a margin.

4 On the other hand, very early on,
5 even though there may be a fairly high rate
6 of spontaneous cardioversion, you may be
7 doubling the time -- if they are presented
8 within a day, and you wait a day and a half
9 or two days, you may make quite a substantial
10 difference in terms of their recovery.

11 It's theoretical, but there is a
12 lot of talk about how long it takes before --
13 in the first couple of hours to the first
14 couple of days before you are left with a
15 substantial period of atrial inactivity, even
16 with restoration of electrical activity.

17 DR. MASSIE: Let me just make one
18 more point about hemodynamics. Those that
19 you don't rate control, it doesn't take more
20 than 24 hours before ventricular function
21 declines, too. I mean, I think this issue of
22 tachycardia myopathy is something that we

1 overestimated -, underestimated for many
2 years. I guess the dog model emergent, and
3 the ablation of peditrics with incessant
4 supraventricular tachycardia, with EFS going
5 from 20 to 50 in a couple of weeks has
6 finally alerted us to it.

7 But if you don't rate control, and
8 you have you people going 120 or faster, I
9 think there is a real toll in ventricular --
10 it's not irreversible, but can make -- can
11 really cause problems until it does reverse.

12 CHAIR HIATT: Why don't we go
13 through these next two questions.

14 Can you describe the magnitude and
15 durability of these disadvantages? I think
16 we've hit on some of this. Does anybody want
17 to add any more discussion to that?

18 And the last one: what is the
19 right interval to integrate the success of
20 spontaneous conversion?

21 If someone were likely to convert
22 spontaneously within the next hour, would it

1 make sense to consider treatment options
2 within the next day or the next week? This
3 gets back to that timing issue.

4 DR. HARRINGTON: So as I read
5 through both packets for the next few days,
6 this is the analysis I want to see, is the
7 time-dependent risk-benefit analysis, and
8 that you could almost view it as a continuous
9 function. Because at some point, I mean Norm
10 has thrown out for us some broad categories
11 within the next day, within the next hour.
12 But you almost wonder, using sort of like
13 landmark techniques, if you couldn't get at
14 this in a more quantitative way of, you know,
15 say in six-hour increments or something.

16 But there are analytical
17 techniques that should allow us to consider
18 the risk-benefits in each of those periods of
19 time. So, you know, just to set up the straw
20 man, Norm asked within the next hour - what
21 about the next two hours after that? The
22 next six hours after that?

1 I think we are going to need to
2 think about this in a more quantitative way,
3 and it would help if the sponsors could
4 actually show us data looking at the risks
5 and benefits at different time points.

6 CHAIR HIATT: Yes, I agree with
7 that. And let me also, I think I mentioned
8 this earlier to both sponsors, we know a lot
9 about the defined treatment interval for the
10 primary endpoint, and then things change.

11 And what I really want to know is
12 what happened when conventional therapy was
13 employed, more frequently on placebo patients
14 than in drug-treated patients. Nevertheless,
15 cardioversion did occur after two hours.

16 And what was their symptomatic
17 state at 24 hours? I mean, those are the
18 kinds of things. Now, we can't frame shift
19 those trials, as you were asking, Bob, across
20 a variety of intervals, because they all kind
21 of have the same design.

22 But we do have data, or there must

1 be data, that can look at those questions
2 that occur between groups after conventional
3 therapy occurs.

4 DR. HARRINGTON: Well, remember,
5 one of the advantages of the landmark
6 technique is that you're parsing things into
7 periods of time, for which you then reset the
8 clock. So you can take into consideration,
9 for example, if you are doing a landmark
10 analysis with six hour intervals, that when
11 you get to zero hours, you are looking at all
12 the baseline characteristics. If you then
13 reset it for six hours, you then look at
14 everything that has happened from zero to
15 six, and you can consider that in your
16 analysis.

17 And then you can look overall at
18 what the effect is. They start to do that
19 when they are talking about the three-hour to
20 seven day, and then the seven day to many
21 more hours. That's a variant of it.

22 And one of the issues is is that,

1 you know, all of the effect appears in the
2 early group, although when you look at the
3 overall effect, it's still preserved.

4 It's only because the early effect
5 is so impressive. But there are ways of
6 quantitatively teasing that out in smaller
7 portions of time.

8 CHAIR HIATT: Yes, I agree with
9 you, and we do see that evidence, and the
10 curve kind of looks like the one up there.
11 So you can kind of go back and look prior to
12 randomization, but the question is, can you
13 go forward from that point?

14 DR. HARRINGTON: Analytically, you
15 can. It's just a matter of, has it been done?

16 DR. LINCOFF: I think we need to be
17 realistic about the limitations of the data
18 that exists, though. This wasn't -- these
19 strategies were not to test against never
20 cardioverting in the groups that didn't
21 convert. So this isn't natural history, and
22 I don't think that there is much landmark we

1 can do beyond, because the strategy was, you
2 try the drug, and if it doesn't work, then
3 you default to cardioversion.

4 So what we are being asked is, if
5 this drug is approved, and now enters as an
6 armamentarium as a way of avoiding the
7 cardioversion, then, you know, how does that
8 change outcome, but not compared to people
9 who would never get cardioverted.

10 I think the more interesting
11 question is, if there were a way to stratify
12 according to how long patients had been in
13 atrial fibrillation ahead of time, but it
14 sounds like that is somewhat limited. It's
15 clearly going to be limited by the numbers.
16 We don't have many patients in overall
17 studies, anyhow, to try to do risk benefit at
18 different time periods of preexistent afib
19 before they presented, even if one knows
20 exactly when the patients were in atrial
21 fibrillation. And that gets very hard, as
22 well, for many patients to know exactly how

1 long they have been in atrial fibrillation.
2 Sometimes all you have is the last ECG that
3 showed sinus, and that's how you know how
4 long they've been in atrial fibrillation.

5 So I think for the trial data that
6 exists, it's going to be very difficult to
7 say much about what's long term benefit
8 compared to something else. Because
9 something else is fixing the rhythm in many
10 patients anyhow, and it's going to be
11 unfortunately hard to answer what I think is
12 the more relevant question, when we are faced
13 with a patient in the emergency room at time
14 zero of what to do, that is, is there a
15 benefit to using a drug or using electrical
16 cardioversion, or should we just wait and see
17 what happens to them spontaneously. Because
18 there is not much data for natural history
19 that we can rely on, and I don't think we can
20 take the data from this study in terms of
21 risk-benefit, because the risk side is going
22 to be so small numbers, especially once you

1 start to parse it into different intervals of
2 how long they've been in afib.

3 CHAIR HIATT: One more comment I'd
4 like to make before we transition to sponsor
5 presentations is, an FDA reviewer commented
6 that AF is an endpoint to surrogate. What
7 does the committee think about that?

8 DR. HARRINGTON: It goes back to my
9 earlier comment of, you know, live longer,
10 feel better, avoid unpleasant things. And it
11 depends how you view cessation of atrial
12 fibrillation.

13 And this might get to your
14 question, Bill, of symptomatic versus
15 asymptomatic. If you are asymptomatic,
16 conversion to sinus rhythm, one could argue
17 from a patient-centric perspective, are you
18 living longer, are you feeling better? You
19 can't feel better if you didn't feel bad.

20 And did you avoid something
21 unpleasant? Well, if the alternative was to
22 do nothing anyways, you really didn't meet