

1 You haven't' said anything about the acidosis.
2 Clearly, that will set up for toxicity.

3 Many of these patients with CKD in
4 the states will be on an ACE, an angiotensin-
5 converting enzyme inhibitor or an angiotensin
6 receptor blocker or both and, therefore, are
7 set ups for hyperkalemia and other electrolyte
8 disorders as well decreased kidney function.
9 The role of this agent in interaction with
10 those two drugs, I don't know. And in the
11 sense that this agent is cleared by an organic
12 acid pathway in the kidney makes interaction
13 with these other organic acids very high and
14 very likely.

15 So there's some food for thought
16 here plus also the fact that on one of your
17 slides, you show that renal vascular
18 resistance was increased in some of the
19 patients. And therefore, not only can you see
20 hypotension but you might see hypertension.
21 So this milieu of uremia really tells us that
22 we have maybe a different group that needs to

1 be examined very carefully if it's going to be
2 applied to a large population in the states.

3 CHAIR HIATT: Thank you.

4 Responses?

5 DR. STRAUB: Yes. I can only agree
6 that these are all valuable concerns, and I
7 think, especially in terms of potassium, we
8 have given pertinent instructions in the
9 package leaflet that we would like to get this
10 controlled before we actually examine the
11 patients. With respect to ACE inhibitors,
12 another concomitant medication, we have huge
13 experience in the dossier about giving that
14 drug concomitantly.

15 Yes, we do believe that even though
16 there are slight increases of AUC in patients
17 with impaired renal function, if it's mild to
18 moderate, we don't think it's a big concern
19 because we see that the differences are not
20 very huge and that we see, especially with QTc
21 returning back to baseline, that there is not
22 a large difference between these groups.

1 We would agree, though, and we have
2 evidence on that, on the oral part for of
3 tedisamil development that if you apply the
4 drug in severe kidney failure, that this
5 requires dose adaptation. So that's why we
6 propose to contraindicate it in severe kidney
7 failure.

8 CHAIR HIATT: Yes, Norman?

9 DR. STOCKBRIDGE: Can you describe
10 the timing of the torsade-like events with
11 respect to the start of the infusion?

12 DR. RACZKOWSKI: Yes, we have that
13 slide. That was one of the core slides.
14 There were two slides where we listed all the
15 torsade events in men and in women, and I
16 believe those two slides showed when they
17 occurred. They all occurred within 48
18 minutes. There was one outlier at 18.5 hours.

19 DR. STOCKBRIDGE: Yes. The process
20 by which you picked those up, was that just
21 the first event that everybody had, or were
22 there really no events after 48 minutes?

1 DR. STRAUB: No. The whole Holter
2 was screened for ventricular tachycardias.
3 And if there was a torsade, this was traced
4 and the most severe events were brought into
5 the attention, so the fastest one, any
6 torsades, any sustained ones, these were
7 brought to the attention of the committee. So
8 what you see here is really a true reflection
9 of the number of patients with torsades.

10 DR. STOCKBRIDGE: So it's the
11 number of patients with an event?

12 DR. STRAUB: Yes.

13 DR. STOCKBRIDGE: It is not the
14 distribution of all of the events?

15 DR. STRAUB: That is correct.

16 DR. STOCKBRIDGE: Okay. I mean I
17 was struck by the fact that all of them occur
18 except for this guy out here at 18 hours. On
19 the rising phase of the -- well, the plasma
20 levels are going up.

21 DR. STRAUB: Yes.

22 DR. STOCKBRIDGE: It would have

1 been kind of interesting to see -- and now I
2 understand why that is. You actually don't
3 report the ones that happened on the following
4 phase. But that might be of some interest as
5 people are trying to figure out how long you
6 should monitor.

7 DR. RACZKOWSKI: So the question is
8 is if a patient had a subsequent event of
9 torsade, was that detected in any of these
10 patients? Is that the question, Dr. --

11 DR. STOCKBRIDGE: Well, what I hear
12 is sort of no, the answer is no, that people
13 who had an event that got reported during the
14 infusion, in fact, don't have an event that
15 was reported subsequently after the infusion
16 was terminated.

17 DR. STRAUB: It was part of the
18 evaluation process, but what we report her eis
19 the earliest onset of the first event.

20 DR. STOCKBRIDGE: Okay. All right.

21 DR. STRAUB: And then there are
22 also only those which were serious were having

1 cardiac intervention. I tried to make that
2 point. So the sustained polymorphic VTs,
3 which were sustained torsades, these were all
4 receiving d/c cardioversions, all ended up in
5 normal sinus rhythm.

6 And you see here the sustained
7 events happened maximally 40 minutes
8 thereafter. And in female subjects, there
9 were two sustained events which required d/c
10 cardioversion. These were the first two here.
11 They appeared 20 and 30 minutes after start of
12 infusion. All the others were solved and were
13 not requiring intervention.

14 DR. MASSIE: Can I follow up on
15 this because it really just brings up the
16 Table I wanted to mention. This is the Table
17 12 in the reviewer. But what it is is it's a
18 list of subjects in whom the study drug was
19 discontinued during the initial infusion.
20 There were 18 of them; 17 were on the active
21 drug; and most appeared to be for VT, torsade
22 de point or prolonged QT. And while some were

1 above the recommended dose, 12 of the 18 were
2 at the recommended doses.

3 So the number turns out to be a
4 small number, I guess, with a big denominator,
5 but the connection between the infusion and
6 adverse events, which, had the infusion not
7 necessarily been discontinued, which it should
8 be, but, you know, might have led to more
9 severe consequences. I'm not sure whether the
10 people who had d/c cardioversion were included
11 in those. I assume that they probably are
12 included in that group, because it seems
13 unlikely you would start back the infusion.

14 DR. RACZKOWSKI: I'm not sure that
15 we can reproduce the FDA Reviewer's Table, but
16 we do have some, I think, granular data that
17 we can share with you.

18 DR. STRAUB: Let me show you a
19 couple of slides in the main studies by study
20 what were the reasons for stopping the
21 infusion prematurely. We start with the male
22 study. You see here a threatening change in

1 rhythm or AV conduction. There is QT interval
2 more than 550 which was one of the stopping
3 criteria. Because it was a dose-defining
4 study including .64, we didn't want to over
5 shoot the response and, therefore, said if you
6 see more than 550 milliseconds, then you stop
7 the infusion. So it was a stopping criteria.
8 So these are the majority of the reasons in
9 that study.

10 There was one systolic blood
11 pressure less than 90 and QT interval more
12 than 550 and/or a 20 percent increase from
13 baseline under .64.

14 In females in that study, remember
15 that study has been amended to include only
16 males at the end, but there were a couple of
17 females in that study. And there was systolic
18 blood pressure less than 90 in one case and a
19 threatening change in rhythm of AV conduction
20 here.

21 The next study was given this picture.
22 That was pretty equally distributed in terms

1 of, again, QT interval change, conversion to
2 normal sinus rhythm. Here, that was a
3 misinterpretation because we didn't say once
4 somebody should convert that they should stop
5 the infusion regimen. But in that patient, it
6 was done that way.

7 Then you have conversion to sinus
8 rhythm or bradycardia. Again, a
9 misunderstanding from the investigator in that
10 case. Due to administrator reason for
11 protocol violation and onset of ventricular
12 tachycardia in one case.

13 In the next study, these were the
14 reasons for discontinuations. And there was
15 one under drug and one under placebo patients
16 converted to normal sinus rhythm. Again,
17 erroneously done. And then QRS duration
18 increased more than 30 percent in one placebo
19 patient.

20 In this study, 16, you see this
21 picture - QRS duration increased with .032 in
22 three cases here; placebo one case here; one

1 case under .24 conversion to normal sinus
2 rhythm. And in the study 18, which is the
3 last study, you see adverse event not
4 otherwise specified.

5 So this completes the picture, and
6 from my perspective, it's the majority of the
7 cases are QT discontinuations or a
8 misinterpretation of the study protocol,
9 namely that the patient cardioverted and the
10 full dose was not given.

11 DR. LINCOFF: Can you explain the
12 monitoring of the QT, what the protocol was?
13 Were they supposed to do 12 leads or were
14 these read off a monitor, because in the midst
15 of an infusion, it might be difficult --

16 DR. STRAUB: It was 12 leads but if
17 local 6 lead was done in order to control the
18 environment, that was allowed. But normally,
19 all ECGs were 12-lead ECGs. But for
20 monitoring purposes, the QT should be
21 followed, there were specific leads given
22 likely to --

1 DR. LINCOFF: And it was just
2 continuously monitoring during the 30-minute
3 period?

4 DR. STRAUB: Absolutely, telemetry
5 and Holter.

6 CHAIR HIATT: So, let me get to
7 your point, Norman. I think there does appear
8 to be kind of a dose administration
9 relatedness for these arrhythmias, and it also
10 is occurring at does at or below the
11 recommended doses, but there clearly seems to
12 be a dose response.

13 Okay. We're nearing the noon hour,
14 and I'm thinking from just a logistical
15 perspective, we might take an hour off for
16 lunch, come back to the open public hearing,
17 which might be quick, followed by, if sponsor
18 is prepared, to do their data summary of the
19 integrated sort of numbers of safety events,
20 et cetera, then the FDA review, and then we'll
21 got to the questions. Does that sound okay?
22 Thank you very much.

1 (Whereupon, off the record at 11:56
2 a.m. and back on the record at 1:03 p.m.)

3 CHAIR HIATT: Once again, I have
4 the privilege of reading you a script. This
5 is for the open session.

6 "Both the Food and Drug
7 Administration and the public believe in a
8 transparent process for information-gathering
9 and decision making. To insure such
10 transparency at the open public hearing
11 session of the Advisory Committee meeting, FDA
12 believes that it's important to understand the
13 context of an individual's presentation. For
14 this reason, FDA encourages you, the open
15 public hearing speaker, at the beginning of
16 your written or oral statement to advise the
17 Committee of any financial relationship you
18 may have with the sponsor, his product, and if
19 known, its direct competitors. For example,
20 this financial information may include the
21 sponsor's payment of your travel, lodging, or
22 other expenses in connection with your

1 attendance at the meeting.

2 Likewise, FDA encourages you at the
3 beginning of your statement to advise the
4 Committee if you do not have any such
5 financial relationships. If you choose not to
6 address this issue of financial relationship
7 at the beginning of your statement, it will
8 not preclude you from speaking.

9 The FDA and this Committee place
10 great importance on the open public hearing
11 process. The insights and comments provided
12 can help the Agency and this Committee in
13 their consideration of the issues before them.
14 That said, in many instances, and for many
15 topics, there will be a variety of opinions.

16 One of our goals today is for this
17 open public hearing to be conducted in a fair
18 and open way where every participated is
19 listened to carefully, and treated with
20 dignity, courtesy, and respect. Therefore,
21 please speak only when recognized by the
22 Chair. Thank you for your cooperation."

1 Do we have anyone in the public
2 hearing portion that would like to come
3 forward and make any comments? No one? The
4 open public hearing portion of this meeting is
5 now concluded. We will no longer take
6 comments from the audience. The Committee
7 will now turn its attention to address the
8 task at hand, careful consideration of the
9 data before the Committee, as well as the
10 public comments.

11 I think what we're going to do now
12 is just get just an update from sponsor, if
13 we could, on the sort of event considerations,
14 and any other kind of final comments they'd
15 like to make. And then we'll turn to the FDA
16 presentation.

17 DR. RACZKOWSKI: Over the lunch
18 break, we were able to pull together some
19 analyses of the distribution of D/C
20 cardioversions over time between the placebo
21 group and the medicinal group. And we also
22 have some of the adverse event data that you

1 had requested by time, by treatment group. So
2 Dr. Straub will present them.

3 CHAIR HIATT: And if this is going
4 to be summary information, if there's any
5 possible way to have that printed just so we
6 can kind of have a look at it as we go through
7 the questions, that would be appreciated.

8 DR. STRAUB: Some numbers are
9 coming up. So this is a slide showing you in
10 males, and in females those proportion of
11 patients being in normal sinus rhythm at 24
12 hours. These were under .48, 51 percent
13 versus placebo 47 percent. If we exclude
14 those patients who have the C cardioversions,
15 we still have a proportion of 46 percent
16 conversions under Tedisamil, and 30 percent
17 under placebo. If we add these with D/C
18 cardioversions, you won't find a relevant
19 difference any more. Although it doesn't tell
20 you anything about successful cardioversions,
21 we have had these numbers in a separate slide.

22 If we look to females, 40 percent

1 are in normal sinus rhythm at 24 hours, 40
2 percent versus 34 percent on the placebo. If
3 you exclude those patients with D/C
4 cardioversions, then we have 30 percent on the
5 Tedisamil versus 18 percent on the placebo.
6 If we count all the D/C cardioversions in
7 there, the conversion rate at 24 hours being
8 patients in normal sinus rhythm at 24 hours is
9 79 versus 84 with the placebo.

10 CHAIR HIATT: Do you want to pause
11 on that for a just a moment, let the Committee
12 respond to what you're seeing?

13 DR. MASSIE: Two things. One is,
14 you've captured what Norm was making us think
15 about, and there's a lot of people without the
16 cardioversion mandated or happening clearly.
17 Beside D/C electrical cardioversion, was any
18 other type of attempted cardioversion allowed?

19 DR. STRAUB: No.

20 DR. MASSIE: No other pharmacologic
21 strategy?

22 DR. STRAUB: No.

1 DR. MASSIE: So this is either
2 spontaneous, or as a result of electrical
3 cardioversion.

4 DR. STRAUB: Yes.

5 CHAIR HIATT: So a little over --
6 well, around half the men are still in atrial
7 fibrillation then. Correct?

8 DR. STRAUB: Yes.

9 CHAIR HIATT: And 60 plus percent
10 of the women are still in atrial fibrillation.

11 DR. STRAUB: If we do not count the
12 D/C cardioversions, yes. I mean, if no D/C
13 cardioversions are counted, you have 46
14 percent on the Tedisamil, and 30 percent on
15 placebo.

16 CHAIR HIATT: But you take all the
17 ---- now we go out 24 hours, you take
18 everything.

19 DR. STRAUB: Yes, we take
20 everything, including --

21 CHAIR HIATT: And you're in sinus
22 rhythm --

1 DR. STRAUB: -- D/C and that is 69

2 -

3 CHAIR HIATT: Wait a minute. These
4 are sub -- I'm confused. I'm assuming the top
5 line is everybody.

6 DR. STRAUB: This is everybody.
7 That is correct.

8 CHAIR HIATT: All right.

9 DR. STRAUB: This is the proportion
10 of the D/C cardioversions.

11 CHAIR HIATT: Okay.

12 DR. STRAUB: I'm sorry. Yes, it
13 was a little bit misleading. So those without
14 the D/C cardioversions, there were 61 patients
15 from 133. This is 46 percent were converted
16 by --

17 CHAIR HIATT: See, the denominators
18 are changing as you go below.

19 DR. STRAUB: Yes.

20 DR. LINCOFF: But that's
21 remarkable, that 30 percent of men without
22 cardioversion in the 24 hours converted

1 presumably spontaneously, unless there was
2 much off protocol violations --

3 DR. CANNON: So I'm confused. So
4 why is that number so much higher than was
5 quoted for placebo sinus rhythm in the data?
6 I mean, it was less than 10 percent.

7 DR. LINCOFF: That was the first
8 two and a half --

9 DR. STRAUB: In the two and a half
10 hours. We now count the 24 hours.

11 CHAIR HIATT: In fact, I was
12 speculating that low spontaneous conversion
13 rate might have been maintained, but it looks
14 like there is one that continues to occur
15 after the two and a half hour window. But
16 it's also remarkable that half the men are
17 still in atrial fibrillation at the end of all
18 this, and 60 plus percent of women are still
19 in atrial fibrillation at the end of this.

20 DR. STOCKBRIDGE: You're picking up
21 about 3 percent an hour. It's 3 percent of
22 the people who couldn't even get the therapy

1 by the time they converted, and then it's
2 another roughly 10 percent in two and a half
3 hour post randomization. And, so, it turns
4 out to be about 3, a couple of percent.

5 DR. LINCOFF: So it would be -- I
6 mean, I realize the subgroup is a subgroup,
7 but it would be interesting to see how many of
8 those spontaneous cardioversions were actually
9 the people who came within the first day or
10 two days of duration of Afib, because if it's
11 the first day, then that wouldn't be
12 surprising. If you got patients out at two
13 days, three days, four days converting like
14 this spontaneously, that would be surprising.
15 But I think it is striking that if we look at
16 these drugs, as I have advocated, as a way of
17 preventing D/C cardioversion, without D/C
18 cardioversion, 46 percent of patients, or
19 incremental 16 percent of patients end up
20 being affected by the drug, men, and 12
21 percent women, unless you bring cardioversion
22 into the equation.

1 CHAIR HIATT: So your
2 interpretation of the avoidance of
3 cardioversion here at 24 hours is what?

4 DR. LINCOFF: Well, it's hard to
5 put a percentage. You don't know how many
6 wouldn't have had it, but if you say in a
7 strategy that avoided cardioversion, you got
8 an incremental 12 absolute percentage points
9 of women, and 16 absolute percentage points of
10 men into normal sinus by 24 hours.

11 DR. STOCKBRIDGE: Right. Assuming
12 you're willing to wait 24 hours.

13 DR. LINCOFF: Which I think is
14 clinically reasonable. Waiting several days
15 isn't, but waiting 24 hours I think it's hard
16 to argue.

17 DR. STRAUB: Okay? So the next
18 data requested were sorts of what is the
19 curve? We don't have a curve, but we wanted
20 to re-emphasize what is the Afib flutter
21 duration percentage conversion, and you have
22 here three to 48 hours. We have 48 hours to

1 seven days, and eight days to 45 days. You
2 see that how the conversion rates, there's 52
3 percent, 28 percent, and 13.1 percent, and we
4 have the change, and between the placebo and
5 the active, so this is 40 percent, 28.6, and
6 13.1. These are the males. And if you look
7 at the females, we have 32.4 percent, 16.3,
8 and 8.0, placebo 10 percent, zero, and 3.3,
9 that speaks for a change of 22.4, 16.3, and
10 4.7 percent.

11 MR. SIMON: Can I ask a question?
12 With regard to Afib and atrial flutter, do you
13 have data that shows who had just atrial fib,
14 who had just atrial flutter, and who had both,
15 and is there a difference in conversion?

16 CHAIR HIATT: And then in terms of
17 kind of the series of requests, I'm assuming
18 the next thing we'll see is the safety,
19 cumulative safety data.

20 DR. STRAUB: This is first for the
21 baseline characteristics about atrial
22 fibrillation and flutter. You will see 85

1 percent have atrial fibrillation, 15 between
2 11 and 16 percent have atrial flutter.

3 CHAIR HIATT: Maybe if we want to
4 keep the train of thought going, perhaps, a
5 delay on that response, and if you wouldn't
6 mind getting back to the things, the new
7 information you prepared for us.

8 DR. RACZKOWSKI: Dr. Straub, could
9 we pull up the safety analyses, the
10 distribution by time?

11 DR. STRAUB: Okay. We start with
12 the males, these are the serious adverse
13 events time to onset which was requested. We
14 have here the day one events, day two to
15 seven, and day eight to twenty-eight. You see
16 the deaths in males, which occur late.
17 Hypotension, one late placebo case, myocardial
18 infarction between two to seven days, also
19 here a placebo case, pulmonary edema, one case
20 here, thromboembolic events between days two
21 and seven, and one case later, and bradycardia
22 two cases from day two to seven.

1 DR. MASSIE: Are these patients?

2 DR. STRAUB: This is patients.

3 This is patients.

4 DR. MASSIE: This is patients. But
5 a patient couldn't be listed as several
6 events, like an MI, and then a death, or
7 something?

8 DR. STRAUB: Theoretically, yes.
9 I will comment on the next slide, there is a
10 double counting.

11 DR. MASSIE: Okay.

12 DR. STRAUB: Actually, this is in
13 females. You see here one death case, which
14 was the same like pulmonary embolism, which is
15 also counted here. There is -- so this one is
16 early, and happened on 0.24 as you might
17 remember. All the other events were occurring
18 late. Hypotension, we have two cases on the
19 Tedisamil, one on placebo here, one on placebo
20 there, so that seems to be balanced.
21 Myocardial infarction, one placebo case and
22 three other cases. Pulmonary edema, one case

1 here. Thromboembolic events, one case early,
2 three, two, and two later. Torsade de
3 pointes, one case early. Bradycardia, one
4 case on the Tedi, one case on the placebo, and
5 two for other cases later. So in our
6 understanding, as this was sort of a balanced
7 type of observation, we are left with torsade
8 de pointes, a known risk, hypotension, the
9 death case of the pulmonary embolism, which we
10 thought is not related, thromboembolic events
11 which is the double count, so we are left with
12 torsade, one case, a known risk, and the sorts
13 of balance observation of bradycardia, which
14 we had called earlier. These were the four
15 serious adverse events.

16 CHAIR HIATT: Yes. It is sort of
17 how you would anticipate that would have
18 sorted out. I would -- my sort of simple
19 numbers would say that there are a couple of
20 extra thromboembolic myocardial infarction
21 death events, major events, and the torsades
22 that we've talked about earlier, trying to go

1 back and forth between males and females,
2 early and late, that kind of thing.

3 DR. LINCOFF: In the FDA Table 12,
4 there appear to be a couple of more
5 hypotensive events that led to discontinuation
6 of therapy. I mean, the number looks like
7 three or four here. How was hypotension
8 captured for your analysis?

9 DR. STRAUB: Hypotension here was
10 only counted as serious adverse events. If
11 you want to look for the treatment
12 discontinuations, I can pull up a slide for
13 that one, too.

14 DR. LINCOFF: So discontinuation
15 alone, if it wasn't coded by the investigator
16 as an SAE, would not have qualified.

17 DR. STRAUB: Correct. So this is
18 the time to onset of the serious adverse
19 events in males. There were four cases of
20 ventricular tachycardias. Other than that,
21 this cohort looks pretty clean. In the mid-
22 part we have myocardial infarction, three

1 cases here, one case there, one case very
2 late, and tachycardia, also delayed case --
3 three delayed cases on the placebo, three
4 thromboembolic events in the time spent of two
5 to seven days, and one case between eight and
6 twenty-eight days, plus three bradycardia
7 cases in the bin of two to seven days, and
8 twenty-eight days.

9 And, finally, the observation in
10 females, I think we have seen it, haven't we?
11 No. Okay. So we have one death case here,
12 which was the pulmonary embolism. I think
13 I've lined that out already. I think you have
14 seen that. The hypotension was two cases
15 here, one case there, and placebo. That's an
16 equal distribution, so the only thing to
17 repeat was the torsade de pointes from our
18 perspective to be the worrisome non-balanced
19 event. You see the bradycardia here coming
20 under placebo, but also under active with one
21 case each.

22 CHAIR HIATT: So just to try to

1 interpret what we're seeing then. It seems to
2 me that the bradycardia hypotension events are
3 relatively balanced, but that the broad
4 definition of cardiovascular/thromboembolic
5 events are numerically a little unbalanced.
6 And then I think the other challenge we all
7 just sort of look -- well, what do you think
8 is a time frame that's appropriate for a drug
9 with a very short half-life? But the sponsor,
10 I think, intentionally chose seven days. It's
11 kind of -- that was in your sort of primary
12 adverse events sort of time window, wasn't it
13 seven days?

14 DR. RACZKOWSKI: No, we collected
15 adverse events for four weeks.

16 CHAIR HIATT: Right. But I guess
17 I'm just trying to think, an MI that occurs at
18 28 days, versus an MI that occurred within 7
19 days, would we consider that relatedness
20 slightly different?

21 DR. STRAUB: That's true. Our
22 interpretation was, of course, we wanted to

1 have a broad observation window, and we wanted
2 to have this 28-day window to be absolutely
3 sure we have captured everything what is
4 serious, so it wasn't for us to concentrate on
5 24 hours only, so we also wanted to go beyond.

6 CHAIR HIATT: Yes.

7 DR. STRAUB: And then looking at
8 individual cases, and making case-by-case
9 evaluation, whether or not the likelihood was
10 bigger or less likely that this was related to
11 Tedisamil or not. And given the fact that we
12 didn't see anything which we didn't know yet,
13 so meaning bradycardia in the sense of a heart
14 rate lowering effect is a known phenomenon
15 with Tedisamil, and the other observation --
16 hypotension, by the way, looks pretty
17 unlikely. And we know the drug behaves
18 totally different, even in the other
19 direction, so given that, and what we see here
20 is pretty much what we know.

21 DR. WALDO: I'm Al Waldo from Case
22 Western Reserve University, a consultant for

1 Solvay. This gives me an opportunity that I
2 really wanted to get before, to get back to a
3 case that Dr. Cannon was talking about before,
4 and that's the one case here of the lady who
5 died. And Dr. Cannon's last words were, she's
6 not the same patient because -- and, of
7 course, we all understand that. But I wanted
8 to rise at the time and say, "Yes, she is the
9 same patient." And the lesson really is, is
10 to understand a little more about sinus node
11 dysfunction, the presence of atrial
12 fibrillation. I mean, unfortunately, two-
13 thirds of patients with atrial fibrillation,
14 one form or another have a sinus node
15 dysfunction, and some of them have it extreme.
16 And what happened with this patient is after
17 conversion to sinus, after conversion of the
18 atrial fibrillation, she had a huge pause, and
19 sinus node didn't wake up, and she didn't have
20 a junction escape, but a classic example of
21 sinus node dysfunction, led to her arrest.

22 She survived that. She was

1 extubated, her blood pressure was normal, she
2 was minimally supported on ventilation, and
3 then she went back into fib. And the docs
4 there, unfortunately, didn't learn the lesson,
5 and it wasn't the drug, per se, that did it.
6 Although the drug was there, it was the
7 underlying mechanism, so had a severe sinus
8 node dysfunction. And so what happened, they
9 gave her Amiodarone this time, and the same
10 thing happened. When she broke, she got
11 hypotensive because she had prolonged
12 asystole, and this time they couldn't -- she
13 didn't survive.

14 So the only point I wanted to make
15 is that it's the but-for argument about, as is
16 often said, about if you hadn't taken the
17 drug, this wouldn't have happened. But it's
18 a little different. It's not the drug, per
19 se. It would have been any drug. It turned
20 out to be Amiodarone the second time.

21 I think in a small number of
22 patients, they will have this extreme result.

1 In fact, that gets back to what Peter was
2 talking about earlier, which is the other
3 reason I wanted to make a point, is that the
4 notion of just waiting for sinus, the node
5 function to return for spontaneous conversion
6 of atrial fibrillation, send the patient home
7 and see what happens, there are going to be
8 some patients who this sort of thing happens
9 to. And even spontaneously, they have
10 exaggerated over-drive suppression of sinus
11 node function with marked sinus node
12 dysfunction, so huge portions of the sinus
13 node waking up, no junction escape, and it can
14 lead to terrible things at home, too.

15 DR. CANNON: So I would
16 respectfully disagree. I think this drug
17 contributed to her death. This is real world.
18 There are going to be people with sinus node
19 dysfunction, presumably, who get this drug.

20 DR. WALDO: That's my point. I
21 agree with you.

22 DR. CANNON: And now the drug is on

1 board, and we talked about how it might affect
2 metabolism of other drugs. We talked about
3 that earlier, so if you're giving Amiodarone
4 later, and perhaps with the cardioversion and
5 the hypotension and so forth, their AV node
6 dysfunction got even worse, so I would
7 conclude that the drug likely contributed to
8 her death, not unlikely.

9 DR. WALDO: We don't disagree, I
10 don't think, if you hear my point. Of course,
11 the drug contributed. I don't for a moment
12 suggest that that should be denied.

13 Absolutely, it did, but I'm suggesting it
14 would have been any drug. That's my point.
15 I'm suggesting it could either have been
16 cardioversion, I'm suggesting it could even
17 have been spontaneous reversion of the atrial
18 fibrillation, because the intrinsic problem
19 was not the drug or the D/C shock, but the
20 intrinsic problem was markedly abnormal sinus
21 node dysfunction, absence of a juncture escape
22 rhythm, which is classically described.

1 CHAIR HIATT: Will we be able to
2 get some printouts of that new data?

3 DR. STRAUB: Sure.

4 CHAIR HIATT: That's wonderful.

5 DR. STRAUB: We're in the process
6 of printing them.

7 CHAIR HIATT: I appreciate that.
8 Do you have some more to present on that area
9 topic, as well?

10 DR. STRAUB: Only to answer the
11 question of Dr. Simon. And we have here the
12 subjects with recurrent episodes, which we
13 have differentiated also for the people who
14 had both rhythms at the same time, with atrial
15 fibrillation in about 80 percent of the
16 patients, atrial flutter in about 10 percent
17 of the patients, and between 6-12 percent had
18 both.

19 CHAIR HIATT: Thank you for the
20 extra information you provided us today. We
21 very much appreciate that.

22 DR. MASSIE: I did have one other

1 question that I didn't answer this morning,
2 but a lot of these people did get electrically
3 cardioverted. And I would be curious as to,
4 this will be anecdotal, perhaps. What type of
5 adverse reactions may have occurred during the
6 time of that cardioversion? It was just
7 brought up that people may have sinus node
8 arrest and dysfunction. Were there any
9 difference in those types of things in the
10 people who got electrical cardioversion? Is
11 there anything to say it was equally safe,
12 better, more successful cardioversion, or
13 perhaps was there a safety signal the other
14 way? By treatment group, yes, not
15 cardioversion versus none, but in the people
16 who were pre-treated with the drug.

17 DR. RACZKOWSKI: Well, let me just
18 offer here for a moment that, of course, the
19 patients weren't randomized, and so we're
20 looking at a non-randomized sample here. And
21 I don't know if we have immediately available
22 the adverse events on those patients who were

1 D/C cardioverted.

2 DR. MASSIE: But in theory it is an
3 important issue, because we know a fair number
4 of people will get cardioversions.

5 DR. RACZKOWSKI: Yes. Yes.

6 DR. MASSIE: I've seen some pretty
7 long pauses after Ibutilide. I've seen some
8 long pauses with Ibutilide without
9 cardioversion. It will be anecdotal, but I
10 think it's worth looking at.

11 DR. HARRINGTON: But, again, as the
12 sponsor said, I mean, the challenge is that
13 now the group of patients who get
14 cardioversion in the treatment arm may well be
15 different than --

16 DR. MASSIE: Right.

17 DR. HARRINGTON: -- than the group
18 having a treatment, cardioverted in the
19 placebo arm. But you just want just
20 additional information.

21 DR. MASSIE: We're talking about --
22 one can perceive a risk. It's worth looking

1 at. I would be actually quite comfortable and
2 happy if, in fact, when they cardioverted
3 them, there was no difference in bad outcomes,
4 but I realize they're different.

5 DR. STRAUB: So we've got some
6 adverse event information in those patients
7 who cardioverted versus non-cardioverted. So
8 if you want to see that? So here, converters
9 versus non-converters. You see here the
10 females, to start with, subjects with at least
11 one treatment emergent adverse event. These
12 are the subjects who converted to normal sinus
13 rhythm, and these are the subjects who did
14 not.

15 DR. RACZKOWSKI: Well, let me just
16 ask Dr. Massie, is that the question you're
17 asking? I think you wanted to know about D/C
18 cardioversion. Is that correct?

19 DR. MASSIE: Yes, electrical -- I
20 just -- the interaction between electrical
21 cardioversion and being pre-treated with
22 Tedisamil.

1 DR. STRAUB: We did not analyze
2 that.

3 DR. MASSIE: Okay.

4 CHAIR HIATT: Okay. And there may
5 be some other things that come up. I'm just
6 wondering maybe if it would be appropriate now
7 to transition to the FDA presentation. Thank
8 you.

9 DR. MARCINIAK: Okay. I'm Tom
10 Marciniak from the Division of Cardiovascular
11 and Renal Products, and I'd like to give I
12 don't think a drastically different
13 perspective, but a slightly different
14 perspective on this particular product. I
15 would like to start with a disclaimer. This
16 is probably my least favorite activity. And,
17 in fact, when Norm said I'd have to do it, I
18 said, "Norm, can I volunteer for a trial of
19 direct cardioversion instead".

20 (Laughter.)

21 DR. MARCINIAK: And Norm being the
22 very wise boss says, "No, Tom, why don't you

1 benefit, when I talk about net benefit, I
2 don't mean the efficacy minus safety issues.
3 I really mean what is a benefit that I, as a
4 patient, might be able to appreciate. And I
5 think the complicating factor is, as it was
6 yesterday, as it is today is, I don't think
7 converting a few hours early is a huge
8 benefit. I think really the benefit is, if I
9 do things like avoid that shock, or avoid
10 treatment with Ibutilide. And so I think
11 that's always the thing we have to look at,
12 and we really have to try to get a handle on
13 that to really understand what is the net
14 benefit.

15 I also looked at the literature,
16 similar to what Dr. Granger did. In fact, I
17 did come up with exactly the same conclusions.
18 The first article I'd like to quote is this
19 one from the Annals of Internal Medicine,
20 which basically said very nicely as we do in
21 this country, well, the range is from zero to
22 76 percent in terms of spontaneous conversion

1 rates. And, actually, that article does go on
2 to explain, in fact, it's this issue about
3 what is your patient population? How many
4 patients are recent converters, versus delayed
5 converters is why you have such wide range.

6 I actually like our colleagues
7 across the Atlantic who have, I think, a more
8 simpler view of the world, if you like. And
9 in terms of recent converters, there's a
10 review from The Lancet that nicely pegged it
11 at 20 percent at three hours, 60 percent at 24
12 hours, and 80 percent at 48 hours. And not
13 surprisingly, that review actually recommends
14 waiting 24 hours to see what happens before
15 doing anything. They do support this with one
16 reference.

17 I also looked at a recent meta-
18 analysis, or not recent from JACC, which
19 basically looked at Amiodarone studies,
20 basically some of the -- including the two
21 that Dr. Granger quoted. And for onset
22 between 48 and seven days, typical rates of

1 conversion were somewhere between 35 and 64
2 percent.

3 Now actually what starts to look
4 fairly substantial to me is, is actually these
5 things are actually very, very consistent. We
6 don't see this consistency in clinical trials
7 we get submitted on most drug products. And
8 so I guess one of the questions is going to
9 be, is how consistent does this fare out in
10 terms of actually what happened in this
11 particular study, because the zero to 76
12 percent is what we have to worry about. What
13 was it actually here?

14 Now while we accepted in terms of
15 looking at whether the drug has any activity,
16 what was the conversion rate at 2.5 hours, I
17 think what's more meaningful is to look at
18 what I call the success rate. And by a
19 success rate, I mean the patient was in sinus
20 rhythm at 24 hours, and they did not have any
21 other conversion attempt, either D/C
22 conversion, or actually in this case there

1 were a number of patients that did get
2 prohibitive medicines. They did get Ibutilide
3 at least the way we have interpreted the data
4 files.

5 For this particular success rate,
6 I count in the numerator patients who got an
7 additional conversion as failures. This is
8 what's talked about. It doesn't make much
9 sense if they got converted because they had
10 v-tach to count them as a success. I did
11 still include them in the denominator for
12 these particular numbers, but it's arguable
13 which way you should look at that. If we look
14 at then -- these are also for atrial fib. If
15 we look at doing that, it's counting other
16 conversions as failures. Looking at 24 hours,
17 I get rates somewhere between 28-55 percent,
18 not dramatically different.

19 Now, actually last night I thought
20 okay, I should look at the other question that
21 was asked yesterday, is what if I exclude
22 everybody, now excluding from both the

1 numerator and denominator of patients who got
2 direct cardioversion, or some chemical that
3 might appear to be a chemical diversion
4 attempt. If I do that, would anybody hazard
5 a guess what the number comes out to be? Look
6 at all these studies, placebo group only,
7 excluding cardioversion from both numerator
8 and denominator, my number comes out to be 47
9 percent in those with recent onset.

10 I have to start concluding these
11 studies are really remarkably consistent, and
12 that their fact is, even in this population,
13 a very, very substantial conversion rate,
14 spontaneous conversion rate.

15 Now the other thing about -- these
16 numbers here are all these subtracted numbers,
17 placebo subtracted numbers, so these numbers
18 here are already the net benefit. So at 48
19 hours, I start to see -- I see a dose
20 response. I start to see actually not what
21 you see with -- I shouldn't say with other
22 drugs, a net benefit. I'd say somewhere in

1 the range of 20 to 30 percent.

2 What I think is even, again,
3 probably reinforcing, is now if I look at
4 greater than 48 hours, now you see placebo
5 rates virtually nil in spontaneous conversion.
6 And I still see, perhaps a bit lower, but I
7 still see an additional conversion rate, I
8 called it perhaps 10-20 percent. I thought
9 okay, this is 48 hours. This is what we were
10 given in terms of the sponsor's standard
11 categorical. So last night I looked at the
12 other question.

13 What if I break this down by
14 greater than seven days, and it made it a
15 little difficult on us because we didn't get
16 the -- we got categorical data on most of our
17 study files. If you do pull it out for the
18 patients that at least we have the actual days
19 since onset, interesting, these numbers don't
20 change dramatically. I still see a fairly
21 good conversion rate, perhaps in the order of
22 10, 15, as high as 20 percent, even in the

1 patients that had onset greater than seven
2 days.

3 I think this is probably to me the
4 best testimony that this thing is doing
5 something more than just converting people a
6 few hours early. So I think, from the
7 efficacy standpoint, well, let's go on.
8 There's also some peripheral issues. If I
9 look at gender, if you look at the tables of
10 all studies, remember some studies were gender
11 -- were females, only some were males, not
12 only, it looks like perhaps there is a
13 reasonable comparability, perhaps, to rates in
14 males. If you look at the two studies that
15 actually did a head-to-head comparison of
16 females, and that's 107. And, actually, there
17 is also a small number in 102, 112 which were
18 dropped from most of the analyses, you start
19 to see that actually doesn't look quite as
20 favorable for conversion rates between females
21 and males. So I think there is still a
22 lingering question of whether your efficacy is

1 lower in females than males.

2 I might also note that, of course,
3 that it's what - somebody correct me - one of
4 these studies is a study which is largely in
5 the U.S. population. We looked at that actual
6 issue, and we don't typically, in looking at
7 a first cut, we typically did not find any
8 differences between -- differences by country,
9 but the limiting factor there is, of course,
10 there aren't large numbers in the U.S.

11 Success rates for atrial flutter,
12 this is at 24 hours, sort of the opposite of
13 what we see for fib. Really don't see much
14 that says at 24 hours there's really a
15 difference in success rates. The p-values
16 that actually come close to being significant,
17 this is a non-corrected post hoc analysis, you
18 like; actually, are the ones that say that
19 placebo works better than Tedisamil. So I
20 think, really, I can't say that it looks like
21 there's a lot of efficacy in atrial flutter.

22 So what's my conclusions on the net

1 benefit? For atrial fibrillation in men, it
2 does seem to be at least 20 to 30 percent with
3 recent onset, beyond what I might expect by
4 just allowing them to convert on their own.
5 With greater than 48 hours, and even greater
6 than seven days, I still see at least some
7 efficacy, 10 to 20 percent, somewhere in that
8 range. And it's probably lower in women.
9 And, in fact, in atrial flutter, I don't see
10 any clear evidence of benefit at all.

11 That's what I view as the, if you
12 like, the benefit to the patient side. Well,
13 what does this balance off against? Well, I
14 think there are two types of problems that I'm
15 concerned with. Obviously, number one, what
16 are the pro-arrhythmic effects? And, of
17 course, then are there any other safety
18 concerns? And you've actually touched upon
19 all of them so far in your discussions.

20 This is just kind of a reminder
21 that that one death you can argue, and I think
22 it is correct, maybe this patient with another

1 drug would have done as badly. Still have to
2 probably count it as a treatment-related
3 death, and I guess the feeling that if you do
4 have any of these drugs go out into widespread
5 use, unfortunately, you're going to see this.
6 It's going to be, I think, impossible to
7 avoid.

8 The ventricular tachycardia
9 fibrillation or arrest on day one, this is my
10 analysis based on day one on events reported,
11 trying to look at them. And I think what
12 started to be outstanding is, in fact, if you
13 like, even at the to be marketed dosage, males
14 at 40, starts to increase at three two
15 milligrams, I think clearly elevated when you
16 get to .48, and starts to look perhaps not
17 quite as clear for women. But, obviously, if
18 you get slightly higher, you see a very, very
19 clear dose response. So I think it says that,
20 again, given variability in levels, that this
21 will be a problem in some patients.

22 The bradycardia and hypertension I

1 thought was worth looking into a bit more
2 detail just because that was the mechanism of
3 death, if you like, for the one patient that
4 might be drug-related. And I looked at them
5 in various ways, and for some reason, I came
6 up with this slide, even though if you look at
7 it, it doesn't look bad at all. It's mostly
8 zeroes. There are no events. And this is on
9 day one, an event of bradycardia, or
10 hypotension that was reported. But what
11 started to concern me is you do start to see
12 this typical dose response where it goes up,
13 and, in fact, you may see somewhat of an
14 interaction where it goes up faster in the
15 presence of a beta blocker, so there may be
16 issues here with pre-treatment with beta
17 blockers. And, of course, that's a great
18 concern, almost 80 percent of patients were on
19 beta blockers.

20 Finally, this is another version of
21 trying to look at thromboembolic events. I
22 happened to arbitrarily, and this is very

1 arbitrary, cut them off at two weeks, and you
2 seem to see this - even with some suggestion,
3 perhaps, maybe of a dose response, maybe not,
4 that thromboembolic events, and this is
5 including Mis, strokes, peripheral embolism,
6 pulmonary embolism in this case, within that
7 time frame. So there's a suggestion here,
8 kind of hard to say what it means.

9 Now, in fact, actually at first
10 cut, sort of the reaction is okay, this drug
11 has a very short half-life, that these could
12 not possibly be related. But then one of our
13 cardiologists reminded us that well, there's
14 actually been some speculation on whether with
15 some drugs that you see increased atrial
16 stunting.

17 This happens to be one article. I
18 do not put this forth as even being a good
19 study. It does make the comment that the
20 decrease in left atrial doppler flow actually
21 was seen even in patients who did not have
22 successful conversion to sinus rhythm. But

1 the whole point is, and it can be debated
2 whether this is a real effect or not, is
3 probably there is at least one potential
4 mechanism where a drug with an extremely short
5 half-life could affect thromboembolic events
6 probably for at least a number of days in the
7 future.

8 Now last, but not least, on the
9 safety side is the issue of dosing of this
10 drug. And this is actually the complete
11 reproduction of the two dosing charts nicely
12 put. Blue is for boys, and pink is for girls,
13 I guess it is. And I guess it's really of
14 great concern to many of us, is that -- I know
15 it's almost like throwing a dart at this and
16 saying what the dose should be, is that we're
17 really concerned that it would be very easy to
18 go wrong along one of these tables and columns
19 and pick out the right dose.

20 Now, probably that won't lead to a
21 dramatically wrong dose, but we just think it
22 has problems in terms of potentially

1 increasing more dosing errors. The two-step
2 infusion also raises issues about whether it
3 will be infused right. And it's just
4 something to see what will really happen to
5 this drug when it's put out into the real
6 world.

7 So my conclusions are with
8 widespread use that, actually, yes, you will
9 run the risk of having deaths from both
10 ventricular arrhythmias, and from bradycardia
11 and hypertension. And I think you've got to
12 realize, if you approve this, this is what
13 will happen. We see it in post-marketing
14 reports with all of these drugs. It doesn't
15 mean it is an absolute why not for approval.
16 Is there an increased thromboembolic risk?
17 That data, I don't know. I can't totally
18 ignore it. Don't have the answer on it. And,
19 finally, of course, the important question,
20 what will happen in the real world,
21 particularly considering this complex dosing
22 scheme.

1 And so, as I said at the start,
2 it's not an easy question to answer, and this
3 is actually the official FDA view on doing
4 benefit-risk adjustments. And, of course,
5 you're involved here, and we certainly greatly
6 appreciate your opinions on this. Thank you.

7 CHAIR HIATT: Thank you. Would you
8 clarify a little bit more your concerns about
9 the dosing regimen, because I think you were
10 recommending that further study needs to be
11 done on that before approval? I mean, I
12 appreciate your concern, but --

13 DR. MARCINIAK: No, it all depends.
14 You can make your judgment. I don't think
15 there's a simple black and white answer to
16 that. You could argue that you can live with
17 that complex dosing scheme.

18 CHAIR HIATT: Just to clarify your
19 concerns about dose, because there was a lot
20 in your part in the documents. So the first
21 question is, do you think we know the dose in
22 men and women?

1 DR. MARCINIAK: I think in males
2 you've got a good handle on what the dose is.
3 I'm less clear in females whether I have a
4 good handle.

5 CHAIR HIATT: Why?

6 DR. MARCINIAK: Why? Because I
7 actually -- you're going to a lower dose,
8 which doesn't seem to have much efficacy.

9 CHAIR HIATT: But do you know that.
10 My question is, do you know?

11 DR. MARCINIAK: The size of the
12 studies I showed there, the number of women
13 involved was fairly small.

14 CHAIR HIATT: Okay. But the
15 sponsor made, I think a pretty good effort to
16 study both genders in a fairly rigorous way.
17 And we recognize that the responses seem to be
18 less, and there seem to be a few more bad
19 things happening to women than men. But do
20 you -- would you want another study at a
21 higher dose in women to know that, or not?

22 DR. MARCINIAK: Probably not.

1 CHAIR HIATT: So you do know the
2 dose.

3 DR. MARCINIAK: Well, I may not
4 know the dose in terms of do you know what the
5 interaction is with the dosing regimen.

6 CHAIR HIATT: So that's where I'm
7 going, because it seems to me like there's
8 multiple steps here to getting to a
9 recommendation that we need another formal
10 Phase III new dosing regimen before this drug
11 could be approved. Or could that be explored
12 as a post-marketing thing to refine the dose,
13 because you've got -- I think you have the
14 dose, mostly, and you have an algorithm the
15 sponsors -- we have some nice PK data, they
16 can generate a plateau and the drug levels.
17 They have a good sense of what it's related to
18 in terms of body mass and height, and it's
19 complicated. I want to isolate what the issue
20 is here.

21 So the issue is, I don't think it's
22 that we don't know the dose. The issue is

1 that it just may be prone to lots of mistakes
2 in the field, because it's a complicated
3 algorithm. It's not just a simple weight-
4 based, or BMI-based dosing regimen. Would you
5 agree with that, or no?

6 DR. MARCINIAK: Partially.

7 CHAIR HIATT: Well, what am I
8 missing? What are your other reservations
9 about your dose?

10 DR. MARCINIAK: Even at your -- I
11 guess it's a question whether you think it is
12 feasible to explore higher dosages, or higher
13 dosages in a different -- or different dosages
14 in a slightly different regimen.

15 CHAIR HIATT: Well, a simpler
16 regimen is not an unreasonable request to make
17 at all, I don't think. But it's driven not so
18 much because you don't know the dose, it's
19 because you think that the current regimen is
20 so complicated that there may be lots of
21 errors. And you add that to monitoring the QT
22 back to normal, those other things we talked

1 about earlier, and that -- just the nature of
2 the management might be particularly
3 challenging. And having a really simple
4 dosing scheme, which you proposed, which I
5 think was a reasonable proposal, makes a lot
6 of sense. I just want to pin you down a
7 little bit as to what you're really thinking,
8 and particularly, if this drug were to be
9 approved, are you -- how uncomfortable are you
10 with the current proposed dosing regime if it
11 were to go forward now or not?

12 DR. MARCINIAK: Oh, I think I'm not
13 that uncomfortable. The issue might be is I
14 think there's very little efficacy in women.

15 CHAIR HIATT: That's a different
16 issue.

17 DR. MARCINIAK: Well, if you
18 approve it for women, it's not a different
19 issue.

20 CHAIR HIATT: Well, no. Sorry. I
21 just don't want to dwell on the dose thing too
22 much. I mean, when I read that, I felt well,

1 maybe we should consider a simpler dosing
2 regime before approval to avoid narrow toxic
3 therapeutic ratio kind of effect here. Maybe
4 we should do that, but the sponsor has made a
5 real effort to persuade us that they've got a
6 really clear way to sort of inform the
7 physicians, there'll be lots of education, and
8 there'll be observational data coming forward
9 that will tell us how it's being used in the
10 field, and so what does the rest of the
11 Committee think about the dosing regime here?

12 DR. CANNON: Can I ask, what do you
13 mean by a simpler dosing regime? What do you
14 mean by that?

15 CHAIR HIATT: Well, it's
16 complicated by both weight, and then above a
17 certain weight you have to factor in height.
18 And, so, you've got these tables that do all
19 that for you, but they're suggesting it could
20 just be maybe be weight-based, I think. Am I
21 right in saying that?

22 DR. MARCINIAK: No, it's not -- the

1 dosing scheme is not only the weight
2 adjustment. The dosing scheme is also this
3 two-step infusion, too.

4 CHAIR HIATT: Thoughts about that?

5 DR. LINCOFF: Aside from
6 simplicity, I think the issue of whether or
7 not we could further explore and learn more
8 about higher doses in women would be pretty
9 difficult at this point. The data is not
10 definitive, but I think you'd have a lot of
11 trouble getting past IRBs or other ethical
12 groups the idea of doing higher doses in
13 women. So I think we're left with what
14 exists, that is a fairly narrow therapeutic
15 margin, and that's particularly relevant in
16 women for whom, to get a dose that appears to
17 be relatively safe, you give up what appears
18 to be a lot in efficacy, and that's just the
19 way it is.

20 I'm less bothered by the male-
21 female, because it's really two cards, pink,
22 blue, whatever, than I am about the two-step,

1 which I think really introduces a lot of issue
2 regarding dosing errors. And we've seen with
3 this narrow therapeutic window how an overdose
4 could potentially markedly increase the risk
5 of complications. And I don't know how, on
6 the basis of existing data, to address that
7 problem. I don't even know how you could go
8 into Phase III again and do it. You'd almost
9 have to back up all the way to Phase II, and
10 try to design something different.

11 CHAIR HIATT: So I do think the
12 FDA's concern about this is very legitimate,
13 and for the reasons you articulated, Michael.
14 There's a couple of components to the dose
15 that make it a little bit challenging. And
16 because you kind of see these events occurring
17 at these doses above the recommended in men
18 and women, it makes you think that if there
19 was a little bit on the high side -- I mean,
20 you actually if it was on the low side, if you
21 waited a little longer, it might have been
22 okay. But on the high side, it might create

1 some problems, so I think that these are
2 really important issues, that if a vote were
3 to sort of recommend approval, what do we
4 think about the dose, and how it's being
5 delivered?

6 I mean, understanding all that you
7 know, and that was specifically brought out in
8 the FDA review, are we okay with the way the
9 sponsor wants to do that?

10 DR. HARRINGTON: Well, I think that
11 Mike has summarized my concerns quite well,
12 that it is complicated, it is prone to error
13 despite nice charts, et cetera, we all know
14 the medical error IOM report. Medical errors
15 will be made. I thought it was said very
16 well, that it will happen. I worry about
17 being on a very slippery slope. The female
18 issue, I agree with Mike 100 percent. I don't
19 think you could go back and explore higher
20 doses in women given -- it might have been a
21 run of bad luck that you got four or five
22 significant arrhythmias in women at that dose,

1 but that's what you got. And you would be
2 hard-pressed to go back to your investigators
3 and say hey, we want a little more data at
4 that dose, so I think Mike is exactly right.
5 So what you're left with is less efficacy in
6 women.

7 I worry about the two-step dose.
8 I want to -- I know the sponsor wants to say
9 something, but I also want -- if Tom could
10 address the issue with dosing, there's the
11 other side of dosing, which is how long the
12 effect persists. And the FDA, I'm looking at
13 your review, it says, "Monitor it for six to
14 eight hours or longer". And the sponsor's
15 pharmacology experts and QT says that no, a
16 couple of hours is fine. And could you give
17 us your perspective on that end of the dosing?

18 DR. MARCINIAK: I'm actually going
19 to pass that on to our clinical pharmacologist
20 for his comment on that.

21 DR. HARRINGTON: You don't have
22 slides, or could you point us to the page that

1 it's in the briefing book?

2 MR. TORNOE: I don't have the
3 briefing book at hand. We looked at the QTCF,
4 not the QTCB, as the sponsor. And it takes
5 about six to eight hours to return to baseline
6 QTCF, so that's where the six to eight hours
7 comes from. So the discrepancy might be that
8 the sponsor looked at QTCB.

9 DR. HARRINGTON: And do you want to
10 argue for one methodology over the other? You
11 obviously chose one, but the sponsor chose the
12 other. Could you give us the arguments that
13 favors your --

14 MR. TORNOE: Well, QTCB has been
15 shown to be less correlated with heart rate,
16 so when you correct the QT, you want to remove
17 the heart rate effect. And their QTCF is
18 better than QTCB, so whether you should
19 monitor all the way until the return to
20 baseline, that's another issue. But when we
21 did the analysis, it takes about six to eight
22 hours before they return to baseline in QTCF.

1 CHAIR HIATT: So the Committee --
2 let me see. Maybe this is my bias, I think
3 we have a lot of information about the dose.
4 We have dose response and efficacy, we have
5 some dose response on safety. We totally
6 acknowledge that there's this male-female
7 difference, that pushing the dose in women
8 might be a stretch, that you have a
9 complicated dosing regime on a couple of
10 points, and then we have a long monitoring
11 window, which we haven't reached a consensus
12 on. But as we sort of talked about
13 previously, maybe the idea that the QT could
14 be reliably assessed as an endpoint in
15 practice is probably not a good assumption;
16 and, therefore, it's easy to recommend longer.
17 And at some point in time, you might bump into
18 feasibility issues.

19 Okay. So if that's all true, then
20 the question - again, I want to go back to the
21 FDA about this - what more information do you
22 need, either pre or post approval, to

1 understand more about the dose and its
2 ramifications?

3 DR. MARCINIAK: I guess it's a
4 question of whether, in fact, you can achieve,
5 particularly in women, particularly actually
6 in the unstudied population we haven't talked
7 about, in blacks, a reasonable risk benefit
8 for the conversion versus other events,
9 adverse events. To a certain extent, I think
10 it definitely is a judgment call on whether
11 you have enough information with what you
12 have.

13 CHAIR HIATT: So there's a couple
14 of options I could give you then. One is, is
15 that the sponsor's design of what seems like
16 would be probably a reasonable observational
17 study, and you could say we know enough about
18 the dose, and how to give it, and maybe we can
19 talk about a conservative window to monitor
20 patients. And then just really monitor things
21 in the field, let things go forward, and
22 assess how it's being deployed, how many

1 mistakes are made, if you can. And the more
2 rigorous the observational study, the more
3 formal the assessment of those things would
4 be. And you get all the additional dose
5 information you need in the field.

6 The other approach is to say well,
7 we need all that information before approval,
8 do another study. And a third option might be
9 to go forward with what you've got and monitor
10 it, but do a Phase IV trial where you refine
11 the dose, and test that formally in randomized
12 trial.

13 DR. MARCINIAK: Yes. I guess I
14 don't have much confidence I would be able to
15 get information, much information on true
16 rates of dosing errors post --

17 CHAIR HIATT: In an observational
18 study?

19 DR. MARCINIAK: In observational
20 study.

21 CHAIR HIATT: How about a Phase IV,
22 would you feel good about that?

1 DR. MARCINIAK: Phase IV
2 commitments we always worry about getting
3 done.

4 CHAIR HIATT: So then you'd want a
5 Phase III.

6 DR. MARCINIAK: This -- I think
7 it's coupled -- this is one of the issues that
8 it's coupled with. Dosing is one the issues.
9 Use in some populations such as blacks is
10 another issue. You could raise the issue
11 about if you really want to address use
12 against background therapy of other anti-
13 arrhythmics.

14 CHAIR HIATT: So you have a lot of
15 other unanswered questions.

16 DR. MARCINIAK: There are a whole
17 bunch of unanswered issues, which makes me, at
18 least, lean to say okay, I'd be better off
19 with another study to address all of these
20 issues.

21 CHAIR HIATT: Okay.

22 DR. MARCINIAK: We'd really like to

1 see a simplified dosing regimen. We don't see
2 how we can introduce that into practice unless
3 it's actually tested fairly well in a clinical
4 trial. They can argue whether that's
5 necessary.

6 CHAIR HIATT: I think we fully
7 appreciate your concerns. I'm only pressing
8 you to better understand what the implication
9 of that concern might mean.

10 DR. MASSIE: I find it a little bit
11 hard to separate this issue from the one I
12 brought up earlier, which is the lack of
13 experience in the population that's going to
14 receive it, in general, at least in North
15 America. So we have -- I think they probably
16 have the right dose. I don't know about the
17 way of administering it or not, and I guess
18 there would be errors. I'm no expert on
19 medical errors, thank God, but what I want to
20 see is actually our patients with our practice
21 with this dose, if this is the dose the
22 sponsor thinks is the right dose, or maybe

1 with this dose and a simplified dose in a real
2 study.

3 I just don't think that we know
4 enough to be talking about getting the rest of
5 the information post marketing. So if you ask
6 me the question you asked FDA, I think that
7 you -- when you say you're going to get more
8 information post marketing, you usually start
9 with more information about -- it's true, you
10 don't have blacks sometimes, you don't have
11 other subgroups sometimes, but we have no
12 North Americans almost in the pivotal trials.
13 We do know that there are differences in
14 practices between those, and I just don't
15 think we'll find out all the answers we want
16 later. And if we do, we may regret it.

17 CHAIR HIATT: Let me give you
18 another one of my philosophical points on
19 that. It's easy to sit on this Committee and
20 ask sponsors to do all kinds of things. Let's
21 go back to North America and find every
22 African American we can, but the implication

1 of that request is resource-intensive. And I
2 think we have to both sort of ask -- what I'm
3 trying to get at here, what are the seminal
4 issues that need to be resolved? And what of
5 the things that you'd like to have, that you
6 might be able to gather in ways that maybe
7 with recent legislation might be more
8 effectively gathered than previously. What
9 are the things that might be deal-breakers
10 now, or not?

11 Once again, I'm hitting it on the
12 dose a little bit, because I think the sponsor
13 has done a really good job showing dose
14 response, and they've done a really good job
15 in trying to defend a way to deploy that dose
16 in the field. But the FDA has voiced concerns
17 that they think that maybe there are
18 unresolved issues around that particular
19 thing. It's a nice example of what we're
20 talking about here, because it truly gets at
21 this compound in terms of safety and efficacy,
22 because we see those relationships across the

1 dosing range.

2 DR. MASSIE: Well, I would say one
3 word is what I don't think we know, and we
4 need to find out, and that is safety in the
5 population it's going to be used.

6 DR. HARRINGTON: Yes. I don't want
7 to harp on this after Barry has already been
8 harping on it, but lack of a representative
9 patient population, to me, is really
10 troubling, that there's a lot that we don't
11 know, largely because we're ex-U.S. here. We
12 don't have a good sense of the practice patent
13 relative to our own practice patent. And I
14 appreciate Peter Kowey's remarks that from the
15 registry data, they look kind of similar.
16 That's legitimate, but I would feel a lot
17 better knowing that the way that it's going to
18 be used in our population has actually been
19 adequately studied.

20 I agree with you that I think
21 they've done a nice job with understanding the
22 dose. I think they've -- I applaud them for

1 not ignoring the females when they saw there
2 was a problem, but actually forging ahead to
3 do rigorous trials in women, specifically, and
4 acknowledging that there was potentially less
5 of an effect there. But I think we need to
6 tease this out more in a population that's
7 representative of -- we're sitting here in the
8 U.S. FDA, not the U.N. FDA, and we're trying
9 to understand what's going to happen when we
10 around the table are choosing to use this
11 drug, or our colleagues.

12 CHAIR HIATT: I'm going to press
13 you on that one, and now I'm going to actually
14 bridge the two days, because the demographics
15 are really similar, at least in terms of the
16 racial demographic. Eastern Europe was
17 included in the previous development program.
18 We weren't as disturbed about that yesterday
19 as we are today.

20 DR. HARRINGTON: I voted no
21 yesterday, Bill.

22 PARTICIPANT: Well, yesterday, most

1 were Danish, yesterday.

2 CHAIR HIATT: Yes, we had more
3 Western Europe than Eastern Europe.

4 PARTICIPANT: They were almost all
5 Western Europe. They were largely
6 Scandinavian.

7 PARTICIPANT: And they were -- when
8 they counted the Canadian ER experience, which
9 raises a different issue, but probably an
10 important issue to understand better, was
11 nearly 40 percent in North America.

12 CHAIR HIATT: Both populations
13 aren't -- I mean -- okay.

14 DR. LINCOFF: I mean, since you're
15 going to bridge, aside from the international
16 differences, which I think are important, but
17 may -- I don't think they're quite as
18 important as some of the issues here, that we
19 have no concomitant medications that are
20 commonly given that have a real potential to
21 interact with this medication, so it's not a
22 theoretical, is this going to be a high-risk

1 population, or the doctor is going to do
2 something. This is the reality that these are
3 all medications that affect risk. And this
4 drug has a therapeutic margin which is not
5 particularly wide. I mean, we've been talking
6 about that all along, so if any of these shift
7 that dose relationship and risk, we have no
8 data at all. And that's not how these drugs
9 are going to be used in practice, so I think
10 that's a very real issue, not to diminish any
11 other issue.

12 CHAIR HIATT: I appreciate that. So
13 clarifying what might be different is really
14 helpful. Thank you. Response?

15 DR. STRAUB: Yes, maybe a few
16 comments. Going back to the 2107 Study, which
17 was the dose finding study, that, indeed, was
18 in a U.S. population, and we have been
19 starting to do our dose finding efforts in the
20 American population in the majority, so that
21 one comment.

22 The second comment, and here's how

1 we designed our infusion regimen, not to
2 reiterate, but we have been coming a long way
3 with this drug, so meaning we have tested
4 virtually all regimens you ever can think of.
5 I think our expectation was that the 10-minute
6 infusion regimen is a regimen which you need
7 to control in the first 10 minutes, rather
8 than give a quick shot. If you would do that
9 free from the hands in the EP lab, and you
10 would over-shoot the dose, that would not be
11 an ideal limitation of variability. So that's
12 why we have chosen for a 10-minute controlled
13 infusion regimen to get it up to plasma
14 concentrations which are reasonable, and then
15 maintain it throughout. That's the reason for
16 the two-step.

17 Now a few words about dosing
18 errors. The only two things which could
19 happen once you, in our point of view, apply
20 dosing errors, is that you have to adapt the
21 infusion after 10 minutes, because the
22 infusion speed after 10 minutes is a different

1 one from the start of the infusion, where you
2 have a different speed. So if you apply that
3 with a one bag regimen, which is possible,
4 then the nurse has to adapt that, or the
5 physician. So if you forget to do that, of
6 course, you could over-shoot the dose, so what
7 to do about it?

8 We have heard from Dr. Sands how to
9 do this. You can apply two bags, which would
10 really for sure insure that you use two
11 different bags that this doesn't occur. So
12 that, we think, we can easily test in the post
13 marketing observation study in order to put
14 this right.

15 And the other thing is whether or
16 not people would adhere to the label, and
17 give a male dose to a male patient, and a
18 female dose to a female patient. I think
19 they're all my comments.

20 DR. KOWEY: I know how difficult
21 this is, and I won't even for a minute try to
22 over-simplify it, but this dosing regimen that

1 you're seeing actually is -- what Matthias
2 said is very true. It's a good deal of
3 thought that went into this, and let me try to
4 explain this electrophysiologically. We think
5 that it isn't just peak concentrations that is
6 the important principle in converting somebody
7 to sinus rhythm, but it is the plateau, that
8 is, the number of minutes that you can expose
9 the membrane to the drug at a sufficient
10 concentration. So the reason why this was put
11 together this way is because we were able to
12 optimize the amount of time the membrane saw
13 the drug without having big over-shoots in QT.
14 And you saw those data, it actually worked.
15 And it was really worked out, I thought,
16 pretty well.

17 Once that regimen was in effect,
18 the numbers of torsade cases they saw, and
19 they can probably show you the numbers, is
20 very small, because they corrected the problem
21 they had when they were giving it as a single
22 infusion. That's my first comment.

1 My second comment is that we are
2 kind of used to doing global studies in AF
3 now. It's common for data sets to have high
4 concentrations of people from Eastern Europe,
5 for example. And I know the FDA knows this,
6 that you're seeing lots of data sets like
7 that. In fact, these countries have enrolled
8 patients so rapidly, we've had to cap them -
9 you know that, Bob - because we don't want
10 them to be grossly over-represented.
11 Unfortunately, in this particular data set, I
12 think we're seeing more than we're used to
13 seeing. But having said, the quality of the
14 data from these centers is extraordinarily
15 good, and their adherence to protocol, at
16 least in the studies that I have had a lot to
17 do with internationally, has been very, very
18 good. And I don't have any a priori reason to
19 believe that somebody from Poland is going to
20 react to this drug for cardioversion than
21 somebody from Canada. I just don't have any
22 reason to believe that, so I'm a little

1 surprised that I'm getting this reaction.

2 I mean, yes, in the best of all
3 worlds, I agree with Barry, having some
4 percentage of people in North America might be
5 a nice thing, but I don't know what that
6 percentage is. And it certainly hasn't been
7 studied in any kind of rigorous way, so to put
8 aside the data set, it's not relevant to the
9 people I'm seeing, it may not be relevant to
10 the people you're seeing purely because of the
11 reasons we talked about earlier, but not
12 because they're from Europe. I mean, I think
13 that's a little disingenuous.

14 DR. HARRINGTON: So let me clarify
15 my remark, because much of what you said I
16 agree with, that our group certainly conducts
17 many large international studies. And we have
18 exceedingly good experience and relationships,
19 and collaborations with our colleagues in not
20 only in Central and Eastern Europe, but
21 Southeast Asia, et cetera, outstanding
22 investigators, high-quality research. You're

1 absolutely right. But we've got a little bit
2 of a different situation here, is that we've
3 got virtually no patients from the U.S. in the
4 pivotal studies. And I think that the issue
5 that I think needs to be addressed, Peter, is,
6 do I think that the patients fundamentally in
7 Prague are different than the patients in
8 Durham, probably not, in terms of, we're
9 mongrels here in the United States. We're
10 made up of people from all over the world, so
11 I'm very comfortable with the genetic issues.

12 I'm more uncomfortable with the
13 issues that Mike brings up. How certain are
14 we that these patients are being treated the
15 same way? I have the same concerns about AMI
16 studies, ACS studies, PCI studies. Are they
17 getting the same practice of care? And I
18 think you're absolutely right, I don't think
19 we know enough about this, and it's not been
20 studied in a rigorous way. All we know is
21 that there are international differences in
22 clinical trials. Why there are, is very

1 unclear.

2 DR. KOWEY: I guess I've taken some
3 solace in the -- from the information, and Tom
4 even said this earlier, that in looking at
5 these numbers in the best way you can, it's
6 really hard, because you don't have a lot of
7 North American patients, that there doesn't
8 appear to be any gross discrepancies in terms
9 of either placebo rates of conversion, or in
10 terms of drug -- or in terms of the dose
11 response. All those things seem to be
12 reasonably similar. And I'm sure that
13 although the company isn't able to show it to
14 you as quickly as maybe they'd like to, I'm
15 sure we can go back and look at much more of
16 the demographics, and medical histories of the
17 patients that were enrolled in this trial. It
18 doesn't have to be this very moment, but I
19 think that probably can be done. And I'll be
20 very surprised if there's a big discrepancy.
21 But I under -- I don't think we're in gross
22 disagreement. I just think that we have to be

1 somewhat careful not to throw out the baby
2 with the bath water, I guess.

3 DR. HARRINGTON: I would never
4 throw out data.

5 CHAIR HIATT: And we actually said
6 that a few hours ago, that maybe there's a
7 country difference, maybe there's not.
8 Certainly, the data are available to look at
9 that. The groupings can be logically put
10 together, and it's something you might want to
11 do at a later date.

12 MR. SIMON: I'd like to make a
13 comment from the patient standpoint. You
14 mentioned that it's a complicated issue. If
15 it's complicated for you, you can imagine how
16 complicated it is for me.

17 From that standpoint, I think I'd
18 like to ask you somewhat of a hypothetical
19 situation so that I can understand. If I'm in
20 Afib 24 hours, and I walk into my doctor's
21 office, or I walk into the ER, and my doctor
22 or the ER doctor has been out all night on

1 work, whatever the case may be, and things are
2 not exactly perfect. Okay? What do I do, if
3 they're going to recommend using your drug,
4 versus cardioversion, versus whatever? Can
5 you talk me through the process, and what I'd
6 have to do, what the doctor would have to do,
7 what everybody else would have to do, so I can
8 understand the logistics, I guess.

9 DR. KOWEY: Can I -- all right.

10 Let me just clarify, so I answer your question
11 as best I can. So you've come to the
12 emergency department, so you're having atrial
13 fibrillation of how long duration?

14 MR. SIMON: Say 24.

15 DR. KOWEY: Okay. So you've been
16 in it for a day, and how are you feeling?

17 MR. SIMON: Lousy.

18 DR. KOWEY: Okay. You're feeling
19 pretty bad. And you and I have made a
20 decision together that it would be a good
21 thing at this point in time to put you back
22 into sinus rhythm. And the question you're

1 asking is, how would we together make the
2 decision as to how we might want to do that.

3 Well, the first thing, if this drug
4 were available, and I was considering using
5 this drug, the first thing I would do is
6 explain to you that that's an option. We have
7 an option. If you had a laboratory screening,
8 and an electrocardiogram, and your history and
9 physical examination all looked like it was
10 fine, so that we could do it, and you
11 fulfilled all the reasons why I might want to
12 use the drug, I would explain to you what the
13 chances were that I was going to be able to
14 convert you to sinus rhythm with the drug, and
15 what were the chances that I might hurt you by
16 giving you the drug, so I give you some kind
17 of realistic appraisal of what the benefit and
18 what the risk might be, judging what I know
19 from the drug's development. And then we would
20 make a decision, and you would decide whether
21 you wanted to have that, or if you wanted me
22 to have the anesthesiologist come and put you

1 to sleep, and do it electrically. And I'd
2 explain those risks and benefits, as well.

3 MR. SIMON: Would I be the person
4 that should make that decision, or should the
5 physician, or should both of us?

6 DR. KOWEY: Well, this gets into a
7 real complicated question of philosophy of
8 care. You're a very intelligent guy, and the
9 last thing I'm going to do is take a
10 paternalistic view of your care. So, no,
11 unfortunately, you're going to have to help.
12 I'm not going to foist the entire decision on
13 you, but I think that you and I have to work
14 together on it.

15 MR. SIMON: From the time I get
16 into the ER or the doctor's office, until the
17 time conversion starts, what length of time
18 are we talking about?

19 DR. KOWEY: Well, you'll have to
20 ask Tom Marciniak how long he wants us to
21 watch you. But I think it's somewhere around
22 a decade. No, I'm just kidding. I'm sorry,

1 Tom, that was a low blow.

2 (Laughter.)

3 DR. KOWEY: No. I think -- I'm a
4 person who's very comfortable with monitoring
5 patients QT interval, so if I were to give you
6 this drug and you converted to sinus rhythm,
7 I have great confidence that I would be able
8 to track your QT interval back to where I
9 thought it was okay, and that's when I would
10 let you go. So judging from what I've seen
11 about the kinetics of the drug, if we gave you
12 the compound and you converted to sinus
13 rhythm, somewhere between two to three hours,
14 in that range. That's for me. That's what I
15 would answer. Now, obviously, there's lot of
16 questions to be answered by the Committee, as
17 well.

18 MR. SIMON: So you're saying two to
19 three hours from the time I enter?

20 DR. KOWEY: The time I gave you the
21 drug, to the time you were able to leave.

22 MR. SIMON: How long between the

1 time I get there, and the time I get the drug?

2 DR. KOWEY: In our emergency

3 department, it shouldn't take very long.

4 There are some places in Philadelphia that run

5 it by calendar rather than by the clock, so it

6 really depends on how fast we can get to you.

7 MR. SIMON: All right. And then

8 after the drug, how long - about 24 hours

9 before you leave?

10 DR. KOWEY: No, no, no, no. Once -

11 - in the clinical trials, and in my practice,

12 if I give an intravenous drug that's approved

13 for the indication, and your QT interval is

14 back to normal, and you feel okay, you go home

15 right there from the emergency department.

16 CHAIR HIATT: The other thing your

17 question implied is if your doctor had been on

18 call all night, or the nursing staff, it's

19 five in the morning before a shift change,

20 would the medical error rate be a concern.

21 MR. SIMON: Right, the medical

22 error. Yes, in fact, I skimmed over that.

1 The medical error rate, what -- I don't know
2 what the normal, if there is a normal medical
3 error rate in the ER room.

4 DR. KOWEY: Well, this gets down to
5 something that Barry brought up yesterday, and
6 has been discussed, it was discussed - and,
7 again, not crossing over - but it was an
8 issue, and that is, who's going to use the
9 drug, and under what circumstances? And I
10 think one of the things that happens with
11 these kinds of drugs when they get approved is
12 that there's clearly a trickle-down effect.
13 The first people who begin to use a drug like
14 this are electrophysiologists, and people who
15 have a very strong interest in cardiac
16 arrhythmia. They're the people in the
17 hospital that generally start using it, and
18 it's only after a period of time, in my
19 experience, where people have become
20 comfortable with it, that it sort of trickles
21 down, and other people in the hospital begin
22 to use it. So I think in the very beginning

1 of its implementation, it's unlikely the ER
2 doctor is going to do this. I suspect it will
3 be a cardiologist, an electrophysiologist, and
4 I think those people are able to do this
5 without a high error rate. So I would say the
6 error rate should be fairly low.

7 DR. HARRINGTON: But I think it's
8 fair to say, let's not leave it on that note
9 alone, I mean, we have a Institute of Medicine
10 report that claims that medical errors are the
11 fourth leading cause of death in this country,
12 so I absolutely agree with Peter, that when
13 drugs start in the hands of expert physicians,
14 the error rates likely are lower. But as
15 things trickle down, there's absolutely no
16 question, I think that the FDA reviewer said
17 this, errors will be made. That is the
18 reality of our system, that it's not perfect,
19 and systems are not geared up to deal with
20 that.

21 DR. KOWEY: Or they cannot
22 synchronize the cardioverter, and you put into

1 ventricular fibrillation with electrical
2 shock, so let's not assume that we know how to
3 use procedures better than we know how to use
4 drugs. We have error rates with lots of
5 different things.

6 DR. HARRINGTON: And as reported in
7 the news a few weeks ago, we could give you a
8 logarithmic increased dose of Heparin by
9 mistake, so there is all sorts of things that
10 go wrong in American hospitals, and so this --

11 I agree with Peter, this is not necessarily
12 different than others, except for that you're
13 starting baseline with a more complex --

14 MR. SIMON: So if I can understand
15 - well, let me ask you this, Peter, one more
16 time. What's the worst thing that could
17 happen to a patient if you follow the
18 directions, but you made one error during that
19 process?

20 DR. KOWEY: With this particular
21 drug, and I agree with the panel completely,
22 this drug does not have a very wide toxic to

1 therapeutic ratio, so if you were to -- if
2 somebody were to make a mistake, Bill said
3 this earlier, it's okay if it's lower, but
4 what we're really worried about, if it's
5 higher. So if you get a bigger dose of this
6 drug, you could prolong your QT interval and
7 develop torsade.

8 What you saw in the clinical trials
9 when that happened, whether it was - and I'm
10 not assuming it was a mistake - even when it
11 happens without a mistake, patients are
12 promptly cardioverted, and nobody in the
13 clinical trial died of torsade. And that's
14 the way it should be, by the way. If you have
15 a drug that causes torsade, and this is true
16 also in the Ibutilide experience back in 1996.
17 There were torsade cases, but nobody died from
18 torsade. And it should never happen that that
19 happens, so what's the worst thing that will
20 happen? You'll wake up in sinus rhythm, you
21 will have saved the cost of anesthesia,
22 because you would have become unconscious from

1 torsade, so it's actually pretty good news.

2 DR. LINCOFF: I'd like to ask a
3 somewhat related question to the general trend
4 of questioning here. In one of your early
5 slides you talked about unmet need, and which
6 potentially of those characteristics this drug
7 fulfills. So now that we've heard about the
8 safety and the efficacy, and recognizing that
9 there are two existing similar technologies.
10 There's D/C cardioversion and there's
11 Ibutilide, what's the incremental meeting of
12 an unmet need that this drug provides? If
13 these were all available to you, how would you
14 choose? What would be your criteria? What
15 unmet need advantage does this drug have?

16 DR. KOWEY: Well, I'm going to go
17 out on a little bit of limb here without
18 having comparator data, and I really hate
19 doing this, because it's always -- somebody
20 always saws me off, but what it looked like to
21 me with the infusion rate that's used in the
22 Tedisamil program, I think this drug will have

1 less torsade risk than Ibutilide. So if I
2 were going to use a drug, and I wanted to use
3 something on label, and I wanted to use
4 something that I knew about its efficacy and
5 safety, and I was afraid of the -- and I had
6 a real concern about the Ibutilide torsade
7 rate, then this is the drug that I would
8 choose. Does that answer, Mike?

9 DR. LINCOFF: So it's certainly not
10 ease of administration, or --

11 DR. KOWEY: No.

12 CHAIR HIATT: Other questions about
13 Tom's presentation from the FDA? Other
14 general thoughts you all want to get into
15 before we turn to the questions? Anything
16 from the sponsor side? Did you have any one,
17 or any issues that you feel you'd like to step
18 up and say anything about, what we've
19 discussed so far?

20 DR. WALDO: Just one minor point.
21 The notion about potential relationship of the
22 drug to enhanced thrombosis, the data you

1 showed from echo are correct, except they're
2 also correct for spontaneous cardioversion.
3 And, again, it gets down to basics, atrial
4 fibrillation is a very rapid rate, they
5 usually go about 350 beats per minute. They
6 cause mechanical dysfunction, and depending on
7 how long the Afib lasts, and is it variable,
8 but if it's over 48 hours, for instance, one
9 out of four people have stunting. It doesn't
10 matter if they convert spontaneously, or you
11 do it with a drug, or you do it with
12 cardioversion, with external or internal
13 cardioversion. Those studies have all been
14 done.

15 So I know you were looking hard to
16 find a mechanism for seven days out, and I
17 think you have to assume that spontaneous is
18 the same, this drug is the same as
19 cardioversion. I think those are supported by
20 data and studies.

21 CHAIR HIATT: I appreciate you
22 saying that in one way, because

1 mechanistically yes, maybe anything you do can
2 disturb the milieu enough to create a
3 thromboembolic event, and there's certainly
4 drug effects that can induce greater cardio
5 hypotension, et cetera. But I think what
6 we've got from the summary, the information
7 from both FDA, and now from the sponsor, just
8 by my simple count, so my interpretation of
9 that data is numerically a few extra
10 thromboembolic cardiovascular events on the
11 therapy, one, maybe two deaths can be
12 attributed to the drug. I'm not sure that the
13 bradycardia hypotension, I think it's
14 relatively well balanced. That's how I would
15 interpret those data. And, to me, it's a
16 dosing strategy, so you start with the
17 randomization, but then things happen after
18 that. And what matters is where you are at the
19 end of the day. That's what matters.

20 DR. LINCOFF: It matters where you
21 are the end of the day for safety. If it
22 mattered for efficacy, I mean, we already

1 discussed today and yesterday that D/C
2 cardioversion is probably better. We're all
3 going to end up at the same place at the end
4 of the day. It's how you got there. But
5 that's, to me, the safety issue.

6 CHAIR HIATT: I totally agree. And
7 then we've got the avoidance of harmful
8 things, which we now have some information
9 about the number of cardioversions at 24
10 hours, and you have this window. And I'm
11 going to assume for today's discussion that
12 out of atrial fibrillation means you've locked
13 your symptoms, and that's a good thing.

14 DR. HARRINGTON: Yes. To me,
15 that's what it all comes down to, is the
16 avoiding electrical cardioversion being a good
17 thing. And, again, I give Peter credit, he
18 says from the beginning of the day that think
19 about this as strategies, and as complementary
20 therapies. We're going on this path towards
21 normal sinus rhythm, and the sponsors show us
22 that at 24 hours/seven days, in both groups

1 people have a high likelihood of being in
2 normal sinus rhythm. It's just how you got
3 there.

4 CHAIR HIATT: And by the new
5 strategy, what have you gained symptomatically
6 that's advantageous to going that way? And is
7 there any risk in choosing that strategy?

8 DR. LINCOFF: Avoiding bad things
9 in Bob's paradigm of live longer, whatever -
10 feel better, avoid bad things. Certainly,
11 avoiding a cardioversion is good, but we've
12 been unable in any of these discussions to
13 really put numbers to true adverse events
14 associated with cardioversion, so it's an
15 unpleasant thing, but we can't quantify it
16 being as a bad thing. So what we have to do
17 is sort of weigh whatever risks are associated
18 with the alternative against the
19 unpleasantness, and the logistic difficulties
20 of cardioversion. And we're doing that sort
21 of an imputation here, or as --

22 CHAIR HIATT: We are sort of by

1 definition, aren't we, because we don't have
2 a head-to-head comparison. And so,
3 unfortunately, we can't -- it would be nice to
4 have AE profiles specific to the therapy, and
5 specifically related to their onset and offset
6 for a comparison of active cardioversion
7 versus drug.

8 DR. LINCOFF: Right. And the only
9 way to have done that would have been to have
10 done a trial which is controlled not by
11 placebo, but controlled by just okay, we're
12 going to electrically cardiovert from the get-
13 go, and then at the end of the day, count up
14 your adverse events. But that wouldn't have
15 been able to quantify either the
16 inconvenience, and the fear, and the
17 anesthesia, and the time, and everything else
18 associated with cardioversion.

19 CHAIR HIATT: I think if you
20 prospectively cared enough, you could do that.

21 DR. HARRINGTON: But don't you
22 think, Mike, that we're getting some of that