

1 misleading.

2 DR. HOETELMANS: Well, if you look at the
3 data that I just presented of studies that did show
4 relationships, I don't really agree that in all cases
5 the relationships are very poor. It is, of course --
6 we should realize that all attempts in which those
7 relationships were not found, it's very likely that we
8 don't find them in the literature. So it's also the
9 selection of cases where these relationships did work
10 out.

11 But in most of the studies that I just
12 went over, the relationships I think were quite clear,
13 but they were defined in well-defined populations,
14 patients with same backbone of nucleoside analogs,
15 which is something completely else when you look at
16 the patients that are being treated with drugs at this
17 moment outside of clinical trials.

18 DR. BERTINO: But given the large
19 variability in antiretroviral pharmacokinetics, I
20 still think it's misleading to say, well in this study
21 we found a relationship between protease inhibitor
22 exposure and reduction in viral load. Because, as you

1 said, this may only apply to that small population
2 that was being studied.

3 And the only point I want to make is, I
4 think you need to look at the combination of drugs and
5 see what their contribution to viral load reduction is
6 to really -- you need a bigger picture.

7 DR. HOETELMANS: Yes. That's generally
8 not been done, because the other drugs -- the one I
9 showed you, most of the studies concern single PI
10 therapy with two nucleoside analogs, and most studies
11 did not look into nucleoside analog concentrations,
12 because it's known that it's very difficult to
13 correlate the exposure to those drugs on any efficacy
14 parameter. So they all focus on only one drug.
15 That's true.

16 CHAIRMAN GULICK: Dr. Gallicano?

17 DR. GALLICANO: I'd like to second what
18 Joseph was talking about, about trying to separate the
19 contribution of the other antiretrovirals. Also,
20 Richard, I think most of these studies do not take
21 into account the within-subject variability. That is
22 because they were all single location studies.

1 And are you aware of any work that is
2 done, more longitudinal work maybe on different days or
3 different months and then -- to see if these relations
4 still hold in, say, Month 1 versus Month 6?

5 DR. HOETELMANS: Well, not -- all studies
6 that I showed you were single-point studies, as to
7 say. Most of the studies indeed look at one time
8 point, determine either a trough level or an AUC, and
9 that's been used as a measure of exposure over the
10 whole period of maybe six months.

11 But, for instance, the Adams study, the
12 ENCAS study, they all looked into drug level
13 determinations over the whole period of the study, and
14 those studies still find the relationships. But it's
15 a minority. Most studies indeed looked at only one
16 time point.

17 DR. GALLICANO: And second, I would like
18 to support your rationalization for using EC versus
19 IC. There's always a lot of discussion on these two
20 components. The main problem I see with EC
21 determinations is that they're quite model-dependent.
22 And, as you know, they need a wide range of

1 concentrations in order to develop a good model. And
2 it's -- the data that I've seen in the literature, you
3 rarely get concentrations at the low end of the curve
4 to really adequately define a true EC_{50} , because you
5 really need patients that are close to failing if
6 you're going to get these very low values that are
7 actually less than the EC_{50} .

8 DR. HOETELMANS: Yes, I agree. I don't
9 think it's very difficult to cover the whole range of
10 concentrations, because the intro variability and
11 exposure is quite large for these drugs. But you do
12 need a lot of patients before you are able to build a
13 model that is good enough to be used.

14 CHAIRMAN GULICK: Dr. Flexner?

15 DR. FLEXNER: Richard, thanks for a very
16 nice summary of what I agree with you is a somewhat
17 disappointing field right now.

18 A couple of comments. First, to perhaps
19 refocus the debate, we're spending a lot of time
20 talking about whether or not a concentration response
21 relationship exists. It always exists. What we're
22 trying to do is discern it. And so when you can't

1 find a concentration response relationship in a
2 clinical trial, it's an error of discernment, not an
3 error of science, unless you don't have an active drug
4 in the first place or a toxic drug in the first place.
5 Because in the simplest of circumstances, if the
6 concentration of drug is zero, there's no effect; if
7 the concentration of drug is something, there is an
8 effect.

9 And so that relationship is always there.
10 The question is: Is it worthwhile measuring it,
11 determining it; and what can you do with that
12 information you get? And I think that's why we're
13 here today.

14 Couple of comments related to your talk.
15 The first is the issue of relationship between
16 nucleoside, NRTI exposure, and outcome. There
17 actually have been several studies looking at this
18 relationship in patients. We published data with
19 deoxyfluorothimidine in 1993, looking at the
20 relationship between AUC and C_{min} and viral load
21 changes, showing a quite clear and precise
22 relationship, although that was in concentration

1 controlled clinical trials. George Drusanos published
2 data with DDI also showing the concentration response
3 relationship.

4 And so even though the active metabolite
5 is the nucleoside triphosphate, for many of these
6 drugs there is an apparently well-behaved relationship
7 between plasma concentrations in parent drug and
8 intracellular concentrations of the active metabolite.
9 So I'm a little more optimistic about the possibility
10 of using that plasma effect relationship in a
11 beneficial way for nucleosides than perhaps was
12 implied by your presentation.

13 And I think one of the things that has led
14 us into thinking that plasma concentration effect
15 relationships are not very good for nucleosides is the
16 AZT story. AZT's probably an exception to the rule
17 rather than the rule maker, in that the intracellular
18 concentrations of AZT triphosphate are orally
19 correlated with plasma concentrations, because the
20 rate-limiting step in its conversion to triphosphate
21 is the conversion from monophosphate to diphosphate,
22 and so you get very high concentrations accumulating

1 of monophosphate, and very low concentrations of
2 triphosphate. And that's probably why the AZT
3 concentration effect relationship is not a good one.
4 But I think for most other nucleosides we can probably
5 be more optimistic that the relationship between
6 plasma concentrations and intracellular concentrations
7 will be better behaved.

8 The final point I want to make is that
9 we've spent a lot of time so far this morning talking
10 about dose -- or talking about concentration response
11 relationships. We haven't said a lot about dose
12 response relationships. But, in fact, dose is often
13 quite a good surrogate for concentration, and there is
14 substantially more information out there on dose
15 response relationships for antiretrovirals. And I
16 think we shouldn't neglect that very large body of
17 data.

18 And as we start to talk about modeling,
19 that may be one very nice area using dose and regimen
20 response relationships, to convert that into a
21 concentration response relationship. And I think that
22 information might be quite useful in mapping out the

1 future.

2 DR. HOETELMANS: Yes, I agree.

3 CHAIRMAN GULICK: Dr. Yogev?

4 DR. YOGEV: I was intrigued by your
5 comment that IC_{50} may be better than IC_{90} or IC_{95} ,
6 taking into account that we have so many quasi species
7 in human being, and the more we treat them, the higher
8 the quasi species.

9 And especially the NNRTI are telling us
10 that one mutation is there already which we are just
11 going to select and is a question of time. We see
12 failure after Week 24. When Week 24 we do
13 mathematics, it seems like anti-infective drugs
14 combination thirty-six out of forty-eight failing.
15 Isn't it more logical to check more to have the
16 assimilation of quasi species which are in the human
17 being?

18 DR. HOETELMANS: Well, the point I wanted
19 to make is that we should not only focus on the fact
20 that a trough level, for instance, should always be
21 above an IC value, whether this is an IC_{50} or an IC_{90} .
22 Because there may be many factors that make -- that

1 this ratio should be well over 100, for instance, for
2 the non-nucleoside analogs, or may even be smaller
3 than one.

4 But the fact that -- in the case of this
5 being used, I think it's important to use the value
6 for the IC a parameter that can be best determined,
7 and this is the IC₅₀ rather than the IC₉₀. So it might
8 well be that for Drug A the IC₅₀ -- sorry, the trough
9 versus IC₅₀ value should be over 100; whereas for Drug
10 B it should always be over two in order to have a good
11 clinical response in most patients.

12 CHAIRMAN GULICK: Dr. Pomerantz?

13 DR. POMERANTZ: Yes. I want to comment on
14 this, because I understand what Dr. Yogev is saying
15 about the need to inhibit quasi species or substrains
16 in HIV *in vivo*. But there's some misunderstanding
17 about what you usually do *in vitro* with IC₅₀ and IC₉₀.
18 The only reason that you use IC₅₀ is because it's
19 easier to determine on an accurate sense.

20 And remember that for the most part, when
21 you do these studies *in vitro*, they're not done with
22 quasi species; they're done with either molecular

1 clones, or even biological isolates which are not --
2 which don't have this resistance pattern that you're
3 seeing. That problem is inherent, whether you use
4 IC_{50} or IC_{90} , and that's important to realize, because
5 you're not going to get --

6 Your issue's a good one. But IC_{50} or IC_{90} ,
7 as done by most studies, is going to have no
8 interaction with what you may see in vivo when quasi
9 species develop. So I see your point, but that's not
10 how you're going to get at it.

11 DR. YOGEV: And I agree with you in
12 principle. But I think we are just repeating what we
13 stayed with in the past, which is so far removed from
14 what we did in viruses, that we used the IC_{50} for a
15 long time, to find out that the IC_{90} is much closer to
16 what you really need to kill the virus.

17 For me, the IC -- the inhibitory concept
18 is an issue that we relate *in vitro*, we accept it.
19 I'm just tackling the issue. That's why the EC is
20 better. I don't know how to define it. But the EC is
21 telling you what -- the phenotype or what happened in
22 real life versus the IC_{50} . If we accept it, we're

1 saying it's okay not to have the variation in nature
2 or take the molecular clone or whatever and accept it,
3 even in 50 percent of what we can test. And to me it
4 just push a little bit closer with the IC_{90} .

5 And I agree with what you're saying. It
6 might not interlock if they are very close in drug
7 sensitivity. But we get a huge variation in certain
8 drugs, especially in bacteria, that might be the same
9 here. And that's why I wonder why not use it.

10 DR. POMERANTZ: That requires some comment
11 as well. I agree -- I see what you're saying. But,
12 once again, you can't make bacteria into viruses or
13 vice-versa. The reason you use MIC_{90} s or when you're
14 using MBCs, they're easy to measure compared to what
15 you do with a viral inhibitory concentration. If you
16 could do IC_{90} s and be accurate with them, you would
17 use them.

18 It's just, as was said in this
19 presentation, they have this variability, and so the
20 data there may be actually making it harder for you
21 because it is less accurate. I don't think you can
22 make viruses into the same problem we've had for

1 bacteria because of the technical differences in
2 studying them.

3 DR. YOGEV: You're right. I'm challenging
4 just the issue of the IC_{50} , 90, 95. Should it be used
5 as a parameter for when we're making decision because
6 it's easy, or because it reflect what's in life? And
7 if it doesn't reflect what's in life, we should
8 challenge that specific issue. That's my point.

9 CHAIRMAN GULICK: Dr. Piscitelli?

10 DR. PISCITELLI: So it's clear there's a
11 gaping hole in our knowledge in terms of the
12 experienced patient and what to do in that population.
13 And the reason that these studies aren't done or we're
14 not finding relationships is we don't know what to
15 shoot for in those patients.

16 It's easy, in naive patients, to give
17 everyone the same dose or look at some certain
18 relationships. In the experienced patient, we need
19 some target to shoot for, so in defines of the C_{min} to
20 IC_{50} ratio, it's probably buying us some information
21 about where we need to be. I think that can be very
22 useful, where we keep forgetting the virus end.

1 CHAIRMAN GULICK: Dr. Schapiro?

2 DR. SCHAPIRO: Just to follow up on that,
3 I agree it gives some parameters, but I think if we
4 look at the data, it -- and along with what Dr. Yogev
5 said as well, it really underestimates to a great
6 degree. And if we look at a lot of the studies, we
7 have these incredible ratios which blow away all of
8 the viruses in the body, and when we see the clinical
9 results they're very disappointing. So it does give
10 us possibly a ballpark figure, but I do think that the
11 clinical EC-something is required, and I think a lot
12 of that comes from what we saw with Richard, that
13 we're really not at the final journey when we get to
14 a drug level. You know, that's not where it's
15 working.

16 But to extrapolate from that to what's
17 actually happening in the cell, it's difficult. I
18 don't know if we could do -- intercellular levels
19 might be more effective, but without that, I think in
20 a way we're lulling ourselves into very optimistic
21 data, when we look at the IC_{50} to C_{min} ratios, and
22 clinically they don't pan out.

1 DR. POMERANTZ: Well, I mean, I think
2 there's clearly a lot of work to be done with
3 intercellular concentrations. I'm sure one would
4 agree with that. Likely, many of these failures,
5 again, it gets back to adherence and tolerability
6 issues and things of that sort.

7 CHAIRMAN GULICK: Dr. Mathews?

8 DR. MATHEWS: One of the problems that I
9 see with the EC_{50} concept is that there's much more
10 heterogeneity in each system, and so it's -- how would
11 you begin to standardize from one trial to another
12 what the EC_{50} actually means, because it's a function
13 of the heterogeneity susceptibility of patients
14 enrolled in that trial, as well as the range of viral
15 loads that were observed in the trial, besides the
16 drug potency.

17 DR. HOETELMANS: Yes, I think you're
18 right. I think the -- if you would determine an EC_{50}
19 value, for instance, in a certain study or in a
20 cohort, it will always be linked to the features of
21 those patients, so whether or not they were naive and
22 treated with what other drugs. And it might

1 be possible that for Drug A you find an EC_{50} of 100
2 when you look at patients with high baseline viral
3 loads treated also with two nucleoside analogs. But
4 you find a totally different EC_{50} value when other
5 drugs are being used, when the patients are pre-
6 treated. And this makes the use of these EC values
7 also quite difficult in the long term. So it will
8 depend on many parameters.

9 CHAIRMAN GULICK: Did I understand you
10 correctly when you said that in your review there is
11 no current information linking dual protease inhibitor
12 parameters to virologic efficacy?

13 DR. HOETELMANS: Yes. I was not able to
14 find, at least by the published data or presented data
15 at conference, that looked into this concept.
16 Recently in our own group -- but these are unpublished
17 data -- we did do an attempt to look at drug levels of
18 Saquinavir and Ritonavir used 400/400 BID, over 100
19 patients, with a median follow-up of one-and-a-half
20 years, and we were not able to find any relationship.

21 DR. POMERANTZ: There actually is one
22 paper which is in the background materials, looking at

1 the combination of the Saquinavir/Ritonavir. It's
2 showing a relationship with viral response. So that's
3 the only one that I'm aware of.

4 CHAIRMAN GULICK: Dr. Masur?

5 DR. MASUR: There's one concept maybe you
6 could expand on for me. I guess I'm a little confused
7 as to how you can do an EC_{50} when you're always doing
8 combination regimens. You could do an EC_{50} if you
9 have a constant background of whatever your companion
10 drugs are. But how are we going to devolve this in a
11 way that is relevant to something other than the exact
12 combination background that you're using?

13 I mean, if you're looking at Indinavir, if
14 you always have the same concentrations of AZT and
15 3TC, perhaps you could define it. But how do we
16 develop this system where we can't do monotherapy?

17 DR. HOETELMANS: Well, I think it's
18 important, when defining EC_{50} values -- for instance,
19 for a protease inhibitor in a certain population --
20 that we should try to do it with different backgrounds
21 of nucleoside analogs, for instance, and see if there
22 is any influence of the use of other nucleoside

1 analogs on the value of this EC_{50} .

2 We have to assume that the effect of the
3 other drugs that are also applied to those patients
4 are the same, regardless of their exposure, because
5 there will be differences, of course, in the exposure
6 to the other drugs in these patients. Or we should
7 use very, very complex models that also take into
8 account the exposure to the other drugs that are being
9 used in the patients.

10 CHAIRMAN GULICK: Any other questions,
11 comments? Thank you again.

12 Our final speaker this morning is Dr.
13 Terry Blaschke from Stanford.

14 DR. BLASCHKE: I'd like to add my word of
15 appreciation to the FDA staff for allowing me to come
16 and present at this meeting, and also for the
17 excellent background material that was provided to
18 those of us attending this meeting.

19 I'm going to do a little graduate thing
20 here. I'm going to say one word, and I hope that it
21 will permeate through the rest of my presentation this
22 morning. And the word is "integrate."

1 You're going to see, from some of the
2 material that I present today, that we've talked a lot
3 about a variety of different issues:
4 pharmacokinetics, pharmacodynamics, drug-taking
5 behavior, and so forth. And in order for us to come
6 up with useful information with respect to the basic
7 question that we're going to discuss today -- and that
8 is changes in dosing regimens or alternative dosing
9 regimens -- we really have to consider all of those
10 factors, as well as, I think, both *in vitro* and *in*
11 *vivo* data that will be generated in the course of drug
12 development, as well as in the clinical trials that
13 are done. Next one, please.

14 I'm going to focus on this issue for my
15 discussion this morning. And I'll thank the earlier
16 speakers for leaving me plenty of extra time here to
17 go through the slides that I have. But what I want to
18 focus on is: Can PK/PD modeling help to devise dosing
19 regimens that will have better efficacy and/or safety,
20 without adding time or cost to drug development?

21 I'm also going to start out with this
22 premise which I haven't heard anybody else talk about

1 yet this morning, and that is: There is a need for
2 alternative dose-finding methods, since all reasonable
3 regimens cannot be studied using the standard that Dr.
4 Jolson mentioned this morning. We have limited
5 patient resources, as she mentioned. The time
6 requirements would be excessive, and delay in patient
7 access to alternative regimens is an important
8 consideration. And of course, HIV therapeutics is a
9 fast-moving field, and approved regimens may not be
10 acceptable as controls to patients or investigators in
11 studying alternative dosing regimens.

12 And again, this is a slide I've shown in
13 a number of different venues to talk about the numbers
14 problem with commentorials. This is a formula back
15 from your high school algebra, talking about the
16 number of combinations of "n things taken p at a
17 time." And the important thing to point out here is
18 that when we begin dealing with two or three drug
19 combinations -- and most of these days we're dealing
20 with three drug combinations -- if we consider that
21 for a number of different drugs --

22 These numbers were picked up a few years

1 ago based on the number of antiretroviral drugs that
2 were on the market. And if we are dealing with
3 something on the order of 31 drugs taken three at a
4 time, we have over 4,000 possible combinations, and
5 that doesn't include differences in dosing regimens or
6 dosing schedules. That's just the number of
7 combinations of three drugs that we would have to
8 consider.

9 So the reality is that we have to use
10 other methods to help us design dosing regimens. And
11 then the question that we're going to be addressing
12 this morning is: What can we do with other PK/PD
13 relationships to help us then provide that evidence
14 that Dr. Jolson and Dr. Reynolds were talking about,
15 without having to do large-scale clinical trials?

16 I think we need to be careful about our
17 definitions of what we mean by PK/PD modeling. We've
18 heard the term used a number of times this morning,
19 and I'm going to spend just a minute or two talking
20 about what we really mean by this expression. Next
21 one, please.

22 And Kellie already put these definitions

1 on the slide for you earlier, that "pharmacokinetics"
2 describes the time course of drug concentrations in
3 plasma, and sometimes in other tissues and fluids,
4 resulting from a particular dosing regimen. And
5 "pharmacodynamics" expresses the relationship between
6 drug concentrations in plasma, and sometimes in other
7 fluids or tissues, and a resulting pharmacologic
8 effect.

9 A PK/PD model consists of the following
10 components: It's a model describing the drug
11 concentrations versus time -- that is, the PK model --
12 along with the model describing a relationship of the
13 effect versus concentration -- that is, PD.

14 And the third part and the most key part
15 of the PK/PD model is a statistical model that
16 describes the variation in intra- and inter-individual
17 PK/PD models that's used to predict the time course
18 and the variability of the effect as a function of
19 time.

20 So we have PK/PD. These are not time-
21 independent models. We have to put the dependency of
22 time into these PK/PD models to really have an

1 understanding of the outcome. And then I've made a
2 note here at the bottom which I'll come back to later
3 on in the presentation. And that is that only
4 mechanistic PK/PD models can be relied upon for
5 extrapolation; that is, for prediction versus just
6 descriptive models.

7 So I'm going to talk at some length about
8 mechanistic models as opposed to statistical
9 correlations, and talk about the value and the use of
10 mechanistic models in PK/PD modeling, and then I'll
11 finish in discussing some of the ways that we can
12 generate those mechanistic PD models.

13 The process that we go through is to build
14 a PK model, to build a PT model, to link the PK and
15 the PT -- PD models, and then to simulate treatment
16 regimens or trials to obtain some useful predictions.
17 So this is what I mean by integration of the different
18 components of the modeling process of the PK and PD
19 model in order to come up with useful information.

20 And in the next two slides I'm going to
21 show you an example of some PK/PD modeling that was
22 done by colleagues at Abbott in conjunction with

1 colleagues of mine at Pharsight Corporation. And I'm
2 going to use this mainly to illustrate how the PK/PD
3 modeling can be used to help give us information and
4 predictive capacity about outcomes. Next one, please.

5 This is the model that was used by Abbott
6 in conjunction with Pharsight, which was a model to
7 link adherence, pharmacokinetics, and viral
8 pharmacodynamics to treatment outcome in a patient
9 population. And we have on the left-hand side here
10 the prescribed PI doses, we have adherence based --
11 and I'll come back to this later in terms of what our
12 sources of information are. We have the actual dose
13 that the patient took in. We then have a
14 pharmacokinetic model, and as you see in a moment,
15 that involves both a pharmacokinetic model for a
16 single drug, as well as a drug interaction model that
17 generates plasma concentrations as a function of time.
18 And that's then input into a pharmacokinetic model
19 that's based on *in vitro* data, as well as
20 antiretroviral experience in disease severity, and the
21 output from that model then is a measure of viral
22 load.

♦

1 The pharmacokinetic model as a component
2 of this overall PK/PD model accounts for -- in this
3 case with Ritonavir and a protease inhibitor -- dose-
4 dependent bioavailability, competitive inhibition of
5 the other PI by Ritonavir, and exposure-dependent
6 enzyme induction on the part of Ritonavir.

7 So we have a very complicated
8 pharmacokinetic model that both incorporates the
9 pharmacokinetics of both drugs, as well as the effect
10 of one drug on the pharmacokinetics of the other drug,
11 as well as the effect of one drug on its own
12 pharmacokinetics. So this is a standard approach to
13 generating pharmacokinetic model. Next one.

14 Now, this is the pharmacokinetic model,
15 and this is worth spending just a moment or two
16 talking about, because this model is what I would call
17 a mechanistic model. This model, which has been
18 presented and published in abstract form, includes two
19 viral strains, both a wild-type and a preexisting
20 mutant strain. It incorporates both long-lived
21 infected and actively infected cells. And it
22 incorporates different sites of action between the

1 protease inhibitors and the nucleoside reverse
2 transcriptase inhibitors.

3 Now, I won't go through this model in
4 great detail because it would take too much time to go
5 through the details of the model. But let me just
6 give you a quick overview of this pharmacodynamic
7 model.

8 Basically we see two different types of
9 virus, a wild-type virus and a mutant virus infecting
10 T-cells, and we see the reverse transcriptase as
11 agents that prevent infection of uninfected T-cells.
12 Those T-cells, when they become infected, can either
13 be long-lived or short-lived T-cells which produce
14 virus, and viruses released from those T-cells as the
15 virus replicates, that can be blocked, of course, by
16 the protease inhibitors.

17 And you see that there's two symmetric
18 halves of this particular model: Again, the mutant
19 virus and the wild-type virus, with the possibility of
20 the wild-type virus mutating at a given mutation rate
21 to a mutated virus and infecting other cells. We also
22 have -- this occurs at a much lower rate -- but at

1 least the probability of a mutant virus mutating back
2 to a wild-type virus.

3 So we take this entire model and we
4 essentially fit our plasma concentration data to these
5 entire pharmacodynamic model, and the model also then
6 incorporates, for example, differences in the fitness
7 of virus of a mutant virus versus differences in the
8 replication rate or the fitness of the wild-type
9 virus. Next one, please.

10 So the model that I showed you was used to
11 assess the effect of pharmacokinetics and adherence
12 variability. And the simulation that was done with
13 this particular model incorporated 400 subjects
14 simulated at 40 weeks of therapy in six different
15 regimens. And there was a dose-time perturbation that
16 was introduced into the model based on data that was
17 available about patient adherences.

18 And adherence was also incorporated into
19 the model with the distribution and the standard
20 deviation, again based on the published literature
21 data. And the regimens that were modeled in this
22 particular experience were a BID and a QD regimen with

1 these different average levels of adherence and the
2 variability thereof in adherence. Next one, please.

3 And as I said, Abbott used this approach
4 to compare various combinations of PI dosing regimens
5 which included low- and then moderate-dose Ritonavir.
6 And by using this model, they were able to predict the
7 range of the peak and trough concentration for each of
8 the PIs in the regimen, and the ratio of the trough
9 concentrations, the IC_{50} values for the virus. They
10 were also able to look at the effect of varying
11 degrees of non-adherence on the fraction of patients
12 who are likely to experience virological failure.

13 And they took this model, and
14 assimilations from this model, and compared it with
15 actual data that they had generated in clinical
16 trials, and found that there was a good correlation,
17 a consistent relationship between what was observed in
18 the full simulations using the full model, and what
19 was observed in the clinical trials. And I'll come
20 back to that in just a few minutes in talking about
21 what do we need to do when we evaluate a
22 pharmacokinetic/pharmacodynamic model. Next one,

1 please.

2 I'm going to spend a little bit of time --
3 because I was asked to do that and then I think it's
4 important -- to talk about how we build and evaluate
5 PK/PD models. Pharmacokinetic models are fairly
6 straight-forward. And the way we do that is the
7 classical way that we do that; that is, as part of
8 conventional pharmacokinetic studies, we need to
9 obtain information on inter- and intra-subject patient
10 variability.

11 We heard someone mention earlier about
12 this issue of intra-subject patient variability, and
13 that is an important factor that needs to be
14 incorporated into these models. And we can generate
15 those data early on in the process of drug
16 development.

17 Now, for drug combinations we need to
18 study interactions and we need to evaluate those
19 interactions at steady state with dosing regimens that
20 include and bracket those likely to be used
21 clinically. In other words, we know that we can't
22 always extrapolate these kinds of interaction studies

1 beyond our actual data, so that in planning to do a
2 pharmacokinetic model we have to study interactions,
3 and it's best to study those interactions at steady
4 state.

5 And we've heard a little bit of mention
6 about protein binding. One needs to consider
7 measuring binding protein, such as α_1 , acid
8 glycoprotein, and the unbound drug concentration
9 during the pharmacokinetic studies, because there is
10 some variability, particularly for those drugs that
11 are bound to α_1 , acid glycoprotein, and to know
12 that relationship has some significance.

13 I'm going to go back again and just talk
14 a little bit about the issues of where do we get the
15 data that we need to generate these pharmacokinetic/
16 pharmacodynamic model so that we can use it for
17 helping us design dosing regimen. So, for example, if
18 we talk about the adherence component of this model,
19 in the Abbott study the adherence information was
20 generated from adherence data that was in the public
21 literature, had come from some of their own studies,
22 and that was what was used to develop the adherence

♦

1 model in the particular modeling situation that I just
2 described to you.

3 As far as the pharmacokinetic model was
4 concerned, those data came from multiple-dose Phase 1
5 studies, and one Phase 2 study, and then generated two
6 one-compartment PK models as I showed you earlier,
7 with enzyme induction and enzyme inhibition.

8 And then the pharmacodynamic model was
9 generated from *in vitro* data, as well as information
10 that was available from the clinical studies on
11 antiretroviral or experience and disease severity.
12 For example, we do know, and we know from a lot of
13 experience, that the baseline RNA count, et cetera, is
14 an important predictor of the efficacy of the
15 compounds that are used. So we use that information
16 and the information from the *in vitro* data to come up
17 with the model -- the pharmacodynamic model that I
18 showed you earlier on.

19 And again, then, this entire model -- that
20 is, the adherence model, the pharmacokinetic model,
21 the pharmacodynamic model,** and the dosing regimens
22 that one wants to understand -- are then put into the

1 entire model, the simulation is run to give us the
2 information on the predicted viral load outcome from
3 those particular input parameters; that is, the
4 prescribed PI doses. Next one, please.

5 So, in building and evaluating that PD
6 model we need a combination of *in vitro* and *in vivo*
7 data that's incorporated into this mechanistic model
8 of viral dynamics. And as I said, that model will
9 incorporate baseline CD4, RNA copy number, and
10 possibly prior treatment into that PD model. And we
11 relate *in vitro* and *in vivo* sensitivity data hopefully
12 using early monotherapy data from naive subjects with
13 wild-type virus. And this, I think, goes back to
14 Henry's question earlier on. Much of these data can
15 and should be generated during the initial development
16 of a drug before we get into issues about changes in
17 dosing regimens and so forth, so that we can get that
18 information about the relationship between the *in*
19 *vitro* and the *in vivo* data, and we can do that in the
20 early phases of drug development and get useful
21 information at that point in time.

22 And then we expand that model to pre-

1 treated patients using additional *in vitro* data by
2 using the various resistant mutants that are found *in*
3 *vivo*. So we can then incorporate that into the
4 overall pharmacodynamic model dealing with all the
5 different quasi species that are found in the *in vivo*
6 situation. Next one, please.

7 Again, the point to be made here is that
8 essentially most of the data that we need to create
9 these PK/PD models comes from data that's already
10 preexisting about the drug itself. And the challenge
11 here is to incorporate that preexisting data into our
12 pharmacokinetic/pharmacodynamic model. Next one,
13 please.

14 Now, I want to take a little parenthetical
15 aside here for a moment to talk about one *in vitro*
16 approach that's been used at Johns Hopkins, and that's
17 the use of an *in vitro* pharmacokinetic/pharmacodynamic
18 system, essentially a model that allows
19 pharmacokinetic profiles to be generated *in vitro* that
20 can be matched to any *in vivo* profile that might be
21 generated. And again, I won't go into detail here.
22 There was a publication in Antimicrobial Agents and

1 Chemotherapy a few years ago.

2 But basically the concept is that cells
3 are grown in a dialysis type cartridge, and then that
4 cartridge can be profused with drug concentrations
5 with or without protein, if you choose to do that, and
6 you can generate an individual profile essentially
7 that can match any *in vivo* profile. And this could be
8 potentially a very useful way of addressing some of
9 these questions that we have, for example, about post-
10 antiviral effect, C_{max} , C_{min} , in terms of its effect on
11 viral replication. So this is just something to keep
12 in mind. I'm sure others will have other suggestions
13 for how we can generate better *in vitro* data. Next
14 one, please.

15 Going back to the PK/PD model, we evaluate
16 PK/PD models by comparing the outcome of trial
17 simulations using the full model to actual data from
18 trials in experienced patients. And the response
19 variables that we look at in those clinical trials are
20 treatment failure and/or the presence of genotypic and
21 phenotypic resistance, "because those are also
22 important outcome variables from the clinical trial.

1 And we have to incorporate -- and those of
2 you who know me will appreciate this. We have to
3 incorporate realistic estimates of drug-taking
4 behavior into the simulation. And for the clinical
5 trial that's used for the comparison, we can either
6 make actual measures of drug adherence, or we can use
7 literature values for adherence and use those in our
8 model. Next slide, please. Next one after that.

9 Now, I want to go through just one other
10 short example to talk about a simple PK/PD
11 relationship to help understand potential consequences
12 of changes in dosing regimens or formulations. We've
13 heard a lot about C_{max} , C_{min} , area under the curve, and
14 so forth. Those aren't really pharmacokinetic
15 parameters. The parameters that we usually think of
16 are clearance and volume distribution. Those
17 parameters describe the plasma concentrations of a
18 drug after a particular dosing regimen. And what I
19 want to do is talk a little bit about how that really
20 factors into thinking about changes in dosing regimen.
21 Next one, please. **

22 I'm going to show you two hypothetical

1 relationships between inhibition of viral replication
2 from a given species of virus that may be present in
3 the plasma -- for example, a very sensitive virus with
4 a low EC_{50} -- and different dosing regimens that might
5 be used for this species as well as for another
6 species that's a little less sensitive. Next one,
7 please.

8 So, for example, here would be a dosing
9 regimen in which the drug is being given every half-
10 life. It's being given three times a day, and we
11 start out with concentrations that are very much in
12 the flat part of that concentration response curve.
13 And then at the end of the dosing interval in the C_{min}
14 area here -- next slide, please -- we essentially have
15 essentially complete inhibition of viral replication
16 at the trough concentration. So we don't see much of
17 any viral replication. And the integrated -- the
18 overall antiretroviral response is really the
19 integrated response over time, over the entire dosing
20 interval. Next one, please.

21 If we give essentially the same dosing
22 regimen -- that is, the same total daily dose -- but

1 now split it into a BID dose instead of a TID dose, we
2 essentially have the same average plasma
3 concentration. But what do we have? We have a little
4 higher peak concentration and a little lower trough
5 concentration. Next one, please.

6 So in this case, with a sensitive virus,
7 even though we have a lower trough concentration, we
8 still have, at the end of the dosing interval,
9 essentially a concentration that would produce a 98
10 percent inhibition of the virus replication at the
11 trough concentration. So let's go to the next one.

12 And now we're dealing, again, sensitive
13 virus; we're going to give the drug once a day; and
14 again we have a slightly higher peak concentration and
15 a slightly lower trough concentration. Next one.

16 What that means is that even with this
17 long dosing interval, now three times the original
18 dosing interval, we still have 96 percent inhibition
19 of viral replication at the trough concentration.
20 Next one, please.

21 Now, let's consider a drug that has a
22 somewhat higher EC_{50} . Not a particularly resistant

1 virus, but one that's more resistant perhaps than the
2 wild-type virus with about a fourfold increase in the
3 EC_{50} , and this is an *in vitro* EC_{50} . Next one, please.

4 So again we start out with a TID dosing
5 regimen. And, next one, we see that now, with the
6 more resistant virus, even with the TID dosing
7 regimen, we have only 90 percent inhibition of viral
8 replication at the trough concentration. Next one.

9 With a BID regimen, again, same average
10 plasma concentration, but now -- next one -- at the
11 trough concentration with a BID regimen we only have
12 85 percent inhibition at the trough. And remember
13 that what we're really seeing here again is an
14 integrated response. We're going essentially from
15 almost complete inhibition at the beginning of the
16 dosing interval, down through this curve down here to
17 the point where at the trough concentration we have
18 only 85 percent inhibition. Next one, please.

19 Now let's go to the daily dose. Again,
20 same average concentration, higher peak concentration,
21 lower trough concentration. And here at the trough
22 concentration, with the QD dosing regimen, again, same

1 average daily dose, same average plasma concentration,
2 same area under the curve as far as the area under the
3 curve is concerned. But, here again, the dosing
4 regimen of a QD dosing regimen, we have only 72
5 percent inhibition at the trough.

6 Now, I won't go into -- there's some very
7 interesting estimates that one can make of what
8 happens with non-compliance in a QD dosing regimen
9 versus non-compliance in a BID dosing regimen. I
10 think those are important considerations, and those
11 are the kinds of things that we can actually simulate
12 quite well using these kinds of modeling to try to
13 understand whether partial compliance on a BID regimen
14 is better or worse than partial compliance, for
15 example, on a QD dosing regimen.

16 But again, the point here is that when
17 we're dealing with sensitive viruses -- for example,
18 in naive patients -- we can give practically any
19 dosing regimen and we're going to get good inhibition
20 of viral replication. But when we're dealing with
21 multiple different species^{**} in the plasma, then we can
22 estimate that with a change in dosing regimen that

1 gives us a lower trough concentration, we're going to
2 have less inhibition of viral replication. So we do
3 have to consider the entire range of the concentration
4 response relationship in trying to understand that
5 relationship. Next one, please.

6 So I'll finish up and talk a little bit
7 about where I think we stand as far as PK/PD modeling
8 in HIV therapy. I think it's fair to say that we have
9 some well-defined PK models for antivirals that have
10 been defined during drug development. I think we are
11 limited in some cases in terms of the PK models for
12 drug-drug combinations. But basically, for single
13 drug therapy, we have well-defined PK models.

14 And I would also state that we have
15 several good models of viral dynamics that have been
16 developed. The model that I presented to you; there's
17 other models that are out in the literature from
18 Perleson and Ho; other models from Roy Anderson;
19 number of good models that are in the literature for
20 viral dynamics. And I think what we are doing and
21 what we want to do is to link those relationships.
22 And I think that for the PIs and for the NNRTIs, we

1 have plausible mechanistic relationships between the
2 drug concentrations in plasma and the inhibition of
3 viral replication that we can use to develop this full
4 integrated PK/PD model. Next one, please.

5 Now, simulations using that full
6 mechanistic model are consistent with the observed
7 data, but the robustness of these models in a variety
8 of different settings and dosing regimens has not yet
9 been demonstrated. I tried to indicate that the model
10 that I described for you with the Ritonavir, the
11 Abbott model, did provide good relationships or good
12 comparisons with the observed data in the trials, but
13 we don't have a lot of that so far. We don't have a
14 lot of these kinds of models and simulations that we
15 can compare to actual clinical data, and we need to do
16 more of that.

17 And I think that it's too soon to conclude
18 that we could use simply a PK/PD model to substitute
19 for the confirmatory trials, and I don't think that's
20 what we're asking for in any event. We're asking:
21 Can they be supportive evidence of efficacy rather
22 than a substitute, and how much can they substitute

1 for the need to do the full therapeutic comparison,
2 the comparative clinical trial? Next one, please.

3 So I'll finish with just a couple of
4 comments. Where do we go in terms of PK/PD modeling?
5 We have to continue to improve and refine these
6 mechanistic PK/PD models using both *in vitro* and *in*
7 *vivo* data. And as I mentioned before, for individual
8 drugs the *in vitro* data needs to be related to the *in*
9 *vivo* data, and those data can be generated early in
10 development when monotherapy are still -- monotherapy
11 data are still being generated. And then we need to
12 generate concentration response data early in
13 development. And that can be done by careful
14 measurements of concentration response relationships,
15 again during the early phases of drug development.
16 Next one, please.

17 And I would ask the question: Can we
18 use -- we should use -- or make the statement that we
19 should use PK/PD models to plan trials, and therefore
20 limit the dosing regimens and drug combinations to
21 those that are likely to demonstrate acceptable
22 efficacy and toxicity, and be robust to non-adherence.

1 And then my own personal view is that we need to be
2 measuring adherence more often during both the
3 clinical trials, as well as in the observed data that
4 we're using for comparative purposes. Next one,
5 please.

6 And then finally I think we need to
7 consider whether PK/PD modeling, based on the short-
8 term comparisons -- for example, studies up to 24
9 weeks -- can be used as a surrogate for evidence of
10 long-term efficacy. And my own view, again supported
11 by John, I think, is that the differences in outcome
12 between 24 and 48 weeks are more likely due to non-
13 adherence than to regimen failure. That is, use
14 effectiveness versus method effectiveness.

15 So I think that we actually have come a
16 long way in terms of the sophistication of our PK/PD
17 models. I think that we can continue building these
18 PK/PD models, and if the Abbott experience is
19 representative, then these PK/PD models, at minimum,
20 can be used to help design those dosing regimens which
21 are likely to produce the kinds of long-term benefits
22 that we all want to see. That is, simpler regimens

1 with improved adherence and better outcomes. Thanks.

2 CHAIRMAN GULICK: Thanks, Dr. Blaschke.

3 Dr. Masur?

4 DR. MASUR: Well, Terry, that was a very
5 provocative presentation about modeling. But probably
6 the most provocative thing was your last statement.
7 I mean, is it reasonable to assume, from a virologic
8 point of view, that 24-week data is predictive of
9 everything except adherence in terms of durability of
10 response? And from a virologic point of view, I would
11 think there might be other explanations. And I think
12 that's obviously a very important point, whether we're
13 flogging a system unnecessarily, if we could really
14 make predictions based on 12 or even shorter data.

15 DR. BLASCHKE: Henry, I think it depends
16 frankly on the quality of that baseline PD model. And
17 the baseline PD model has to incorporate the knowledge
18 that we already have about the various quasi species
19 that are going to be present in patients; for example,
20 heavily pre-treated patients versus naive patients.
21 Now, we won't always know this, obviously, for sure.
22 We won't necessarily have measured it. But we

1 certainly have a lot of data already in the literature
2 about that. And that needs to be incorporated into
3 the pharmacodynamic model. If it's not incorporated
4 in the pharmacodynamic model, then it's not going to
5 be predictive.

6 If it is incorporated appropriately into
7 the pharmacodynamic model, then I think the model is
8 predictive, and I think we can use that early data,
9 then, to essentially confirm the model, confirm the
10 pharmacodynamic model in data up to, let's say, 12 to
11 24 weeks, to go beyond to that 48 week data.

12 That's an assertion, and I understand
13 that. But I believe that that's really the key to the
14 good pharmacodynamic model, is that the
15 pharmacodynamic model has to take into account more
16 than one species, quasi species of the virus, in order
17 to be a good pharmacodynamic model.

18 CHAIRMAN GULICK: Dr. Pomerantz?

19 DR. POMERANTZ: Yes, I also thought that
20 was a really helpful talk, but I do have some
21 questions. First I'd like to follow up on that. I
22 think that it's obvious, I don't know anyone who would

1 disagree that adherence is a major problem after 24
2 weeks, and probably the major problem. What I think
3 Henry was saying, what I agree with and I think, if
4 you look at the models that you're using, including
5 the Ho-Perleson model, out past 24 weeks we really
6 don't know a lot about the dynamics of viral
7 replication.

8 And there are more and more studies that
9 are showing that out past there, whether you're
10 dealing with people who are inhibited to below 400,
11 below 50, or if you look at Luc Perrins' study from
12 Geneva, less than 20, there are differences. And
13 saying that what you see during active viral
14 replication in 99 percent of the cells is going to be
15 the same as basically what are question marks in the
16 Ho-Perleson model in long-lived cells and in the
17 latently infected reservoir, I think is a jump.

18 I would say I would agree with you,
19 adherence is going to be the biggest problem. But I
20 don't think we have enough data by any means, looking
21 even at what you've put up there, to say that after 24
22 weeks compared to 48, or time after that, that you're

1 going to be able to have -- state precisely what
2 you're going to get with these 24-week models.

3 DR. BLASCHKE: Well, let me take a
4 somewhat tangential answer to your question. What
5 we're trying to do here, and what we're trying to do,
6 is to understand the efficacy and the safety of
7 different dosing regimens. We're trying to ask the
8 question not in the grand scheme of things whether a
9 drug that's given for 24 weeks, because it's going to
10 have a different effect at 48 weeks, because it
11 probably will. Even if adherence were perfect,
12 there's going to be other long-lived cells, et cetera,
13 et cetera, that are going to produce a different
14 response.

15 What we're interested in, for the purposes
16 of this meeting, I think, is to ask the question of
17 whether a change from one regimen to another is going
18 to produce a similar outcome in terms of efficacy or
19 safety.

20 And I think one can do that from the
21 modeling short-term. It would have to imply
22 that there's some difference in the way the drug works

1 from 24 weeks to 48 weeks. If we can show that the
2 drug works the same or similarly under a different
3 regimen up to 24 weeks, then despite what might happen
4 between 24 and 48 weeks, we wouldn't necessarily
5 expect a difference due to the regimen itself.

6 DR. POMERANTZ: I have to continue on.
7 Sorry. Because I thought this was a great talk. Then
8 we agree on that.

9 The second thing is, you mentioned and you
10 showed a nice example of 99 percent inhibition. I
11 want to get on Dr. Yogev's good side here, because I
12 think this brings up his good point before, only we're
13 talking about ECs now and not IC_{50} s.

14 When you look at 99 percent inhibition and
15 you show 98 percent inhibition and 96 percent
16 inhibition, since that may be a significant difference
17 in retro viruses as opposed to bacteria, where we've
18 not shown a post-antibiotic effect or antiviral
19 effect, and because of the quick generation of
20 resistant quasi species, how do you see the models
21 determining or how do you see the data fitting in with
22 what we're going to say is enough? Is 99 percent as

1 good as 98 percent?

2 DR. BLASCHKE: No.

3 DR. POMERANTZ: You know, that's what I
4 mean. "Close" only counts in hand grenades and
5 horseshoes. And for this, 98 versus 99 may be a
6 profound difference in some patients.

7 DR. BLASCHKE: Well, I mean, I agree with
8 that. I think what this -- the reason I showed the
9 second half, for simple simulation, is basically to
10 say that if you extend the dosing interval and you
11 change the ratio between the peak and the trough
12 concentration, the integrated response is going to be
13 lower automatically. That's follows -- the theory
14 behind that is complete.

15 So the issue then becomes if we go from a
16 TID to a BID regimen, is that so much better in terms
17 of the ability of the patient to comply with the
18 regimen that perhaps a slight loss of efficacy,
19 theoretical loss of efficacy, is offset by better
20 patient compliance, and therefore it's a good regimen,
21 and therefore we want to approve it. That's the issue
22 we're dealing with.

1 DR. POMERANTZ: Final question. You bring
2 up another very important point that I think this
3 Committee is going to deal with, as we did with
4 phenotypic and genotypic resistance, and that's viral
5 fitness. You said that in the model that you're
6 showing, and in future models, fitness is factored
7 into that.

8 One of the things that you didn't mention,
9 which I think that's maybe as important, is viral
10 virulence. Because a fitness usually is sort of
11 defined as the same or similar replication rate. And
12 yet they're showing now, in preliminary data from
13 different groups, that a virus may be as fit,
14 replicative, but not as virulent; i.e., killing T-
15 cells. Are there any models or any thoughts about
16 modeling in viral virulence changes?

17 DR. BLASCHKE: Yes. I think there should
18 be and there are; and yes, that would be an important
19 thing to incorporate into this mechanistic model. And
20 again, we can do a lot of this -- a lot of this is
21 really actually doable. I know we've heard a lot of
22 criticism of *in vitro* studies, but I think we can get

1 a lot of information from *in vitro* studies that we can
2 incorporate into an *in vivo* model.

3 CHAIRMAN GULICK: Dr. Wong?

4 DR. WONG: I also found this really
5 helpful and useful, to kind of outline the issues.
6 The question I have is: As these models become -- you
7 know, as you become more sophisticated in developing
8 these models and taking more -- you know, more factors
9 into account, at what point do they require specific
10 prospective experimental validation? I mean, how much
11 can we conclude, if the question is if we go from
12 three times a day to two times a day, one can predict
13 that a certain result can be achieved.

14 But before we accept that that prediction
15 is valid and the third or fourth or fifth time we do
16 this, do we have to demonstrate directly that it
17 worked the first and the second time we did this? And
18 what are your thoughts on -- you know, on experimental
19 validation of the general approach, especially as
20 relates to antiretroviral therapy?

21 DR. BLASCHKE: Yes. I think we're at a
22 stage where it has to be -- I don't like to use the

1 word "validation," because that implies certain
2 statistical tests and so forth. But I think the
3 models, as they become more sophisticated, have to be
4 evaluated against actual data. We're not at a point
5 now where I think we can say, well, we're so smart at
6 developing these models and we can add all these extra
7 features and covariants and so forth to these models,
8 and don't have to actually test whether that model is
9 a good descriptor of the actual clinical data.

10 So I think we're a long way away
11 from being able to say we know enough about the
12 modeling and the models and so forth, that we don't
13 have to have clinical validation.

14 DR. WONG: And what sort of standard would you
15 want to see? I mean, would you want --

16 DR. BLASCHKE: Well, I think we usually --

17 DR. WONG: -- you know, deliberate or specific
18 experiment designed to answer that question?

19 DR. BLASCHKE: Well, the nice thing about
20 modeling is that it can take a variety of different
21 inputs. You don't -- the model is input-independent.
22 In other words, it should work. If the model is

1 constructed properly it will work with a variety of
2 inputs, and therefore it should work with a variety of
3 clinical trials and clinical data. We don't have to
4 do the exact experiment. What we should do is model
5 the exact experiment that we're -- model the exact
6 trial that we're comparing our data against.

7 And let me just add to one other point
8 that you alluded to. We would be looking, for
9 example, in evaluating the model, whether it predicted
10 -- and that's why I listed that on a slide -- both
11 viral load; that is, how many patients appear to fail.
12 But also look for the presence of genotypic or
13 phenotypic resistance and whether that was consistent
14 with the model. But I think you do need to evaluate
15 all of these models against clinical data.

16 CHAIRMAN GULICK: Dr. Bertino?

17 DR. BERTINO: Thank you. This corner of
18 the table's a little nervous since Dr. Pomerantz made
19 his hand grenade comment, so, but --

20 DR. POMERANTZ: I also said horseshoes.

21 DR. BERTINO: Horseshoes. Okay. I've
22 been hit by a horseshoe.

1 But in the models that you presented, I
2 didn't -- you didn't present anything in terms of
3 factoring in toxicity to the patient. And is that
4 a -- presumably that should be another part of the
5 model.

6 DR. BLASCHKE: It would be another part of
7 the model. And again, it goes back to what Richard
8 was talking about, and we need to know, because we
9 don't necessarily have a good model, empirical model.
10 Maybe we do for nephrotoxicity in some cases or -- or
11 urologic toxicity. But that would have to be factored
12 into the model.

13 We certainly need more data of the type
14 that Richard said wasn't available, but we need to
15 begin generating it, that is relating, for example,
16 peak concentrations to -- or area under the curve to
17 various safety issues and toxicity issues.
18 Absolutely. And it certainly can be -- there's no
19 real difficulty in incorporating another outcome
20 measure, a toxicity outcome measure into the model.

21 CHAIRMAN GULICK: Last question, Dr.
22 Gerber?

1 DR. GERBER: I think this is great, Terry.
2 I mean, this is a really exciting model that's at
3 least sophisticated. But any model is as good as its
4 weakest link, and I really believe it's modeling human
5 behavior, and that's what you're trying to do with it
6 here. And it's going to be a very difficult task.
7 And over a period of time, to be a consistent measure
8 of human behavior or drug-taking behavior, that's
9 going to be a difficult component. And I'm just
10 wondering, how are you going to be able to put that
11 into a formula?

12 Because most of -- when we look at
13 adherence, we're only looking at a certain time frame,
14 and I think it may change over time. As you
15 mentioned, maybe adherence in the first 24 weeks is
16 quite different than in the second 24 weeks or the
17 third, et cetera. And how is that going to be able to
18 be put in there, when I think modeling human behavior
19 is going to be a challenge?

20 DR. BLASCHKE: Well, I agree, John. And
21 I think I would certainly in the beginning probably
22 try to use mostly clinical data that came from

1 clinical trials where we have, in many cases, better
2 information about patient drug-taking behavior, rather
3 than comparing it to observational data from clinical
4 treatment regimens. Because I think there, you're
5 right, we have pretty limited data.

6 But, again, once you've got the model,
7 then you can play with the model and play with
8 different information, different patterns of drug-
9 taking behavior, and see how they affect the outcome.
10 That's the big advantage.

11 CHAIRMAN GULICK: Okay. I'd like to thank
12 Dr. Blaschke and all the morning speakers once again,
13 and the panelists for a lively conversation so far.

14 We're going to break now for lunch. We'll
15 reconvene promptly at 1:00.

16 (Whereupon, the foregoing matter went off
17 the record at 11:52 a.m., and went back on the record
18 at 1:06 p.m.)

19

20

21

22

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:06 p.m.)

3 CHAIRMAN GULICK: Okay, we're ready to
4 begin the afternoon session. Welcome back from lunch.5 DR. CHAMBERLIN: Okay, I have to read
6 another conflict of interest statement. Before we
7 begin this afternoon's session, we'd like to disclose
8 that Dr. Charles Flexner, one of FDA's invited guests,
9 has interests that we believe should be disclosed so
10 that the participants can objectively evaluate his
11 comments.12 The following was inadvertently omitted
13 from this morning's disclosure statement. Dr. Charles
14 Flexner would like to disclose for the record that he
15 has received consulting fees from Roche and Abbott,
16 and that he has received speaker fees from Abbott,
17 Agouron, DuPont, Glaxo Wellcome, Merck, and Bristol-
18 Myers Squibb. In addition, he has received research
19 funding from Abbott, Agouron, Glaxo Wellcome, DuPont,
20 and Merck. Thank you.21 CHAIRMAN GULICK: We're ready to begin the
22 open public hearing part of this meeting, and two

1 people signed up in advance. The first person to sign
2 up was David Pasquarelli from ACTUP, San Francisco.
3 If he's here, could he come forward to the mic. Okay.

4 Second person to sign up was Jules Levin
5 from National AIDS Treatment Advocacy Project in New
6 York.

7 MR. LEVIN: Hi, everybody. I just have a
8 few brief comments. I don't want to get off topic
9 here, because I think this is a very important and
10 interesting discussion, and focused. But, and it's --
11 I run an organization in New York, NATAP. And I have
12 HIV. I've had HIV for 17 years, and hepatitis C for
13 probably just as long. And I just have a few brief
14 comments, some of which were sort of brought up, I
15 think, this morning. They were touched on.

16 But I wanted to just mention some of the
17 things that I think are important to the community,
18 related to this subject. And a lot of people are
19 concerned about potential drug level and PK
20 differences related to gender and dosing. A lot of
21 women are concerned about maybe they're taking too
22 much drug, maybe they don't need as much drug because

1 maybe their body weight is smaller. And that could be
2 the case for a man, too. Also, women are concerned
3 that there may be gender PK differences. And it was
4 mentioned this morning potential PK differences during
5 pregnancy.

6 And things of this nature I think are
7 important to keep in mind when discussing this issue.
8 And I think what's important is not just that the FDA
9 and some of the academic researchers are hearing this,
10 but I think it's very important for the industry to
11 take note of these concerns, because these are
12 concerns that the community have and that industry
13 ought to give due consideration to.

14 And I just want to bring up one important
15 subject which relates to me personally, but also
16 relates to a lot of other community people, and I
17 think it deserves some attention. And I know that
18 there are a couple of people on the panel that I've
19 already spoken to, and they feel similarly to me about
20 this and they may bring it up this afternoon. And
21 that's the fact that there's so many people who have
22 hepatitis C today who have HIV. And there's a lot of

1 concerns about how to take antiretrovirals in the
2 context of having hepatitis C. Is the liver
3 metabolizing the drugs the same? Are people getting
4 too high levels of drugs? When you take treatment by
5 interferon or Ribavirin, does it change how your liver
6 metabolizes the antiretroviral drugs?

7 That's if it's -- I might as well mention
8 that I went through all this personally, and I think
9 there are a lot of people who have this on their mind.
10 The question is: Should you test drug levels when you
11 have hepatitis C, and should you check -- is your
12 liver's ability to metabolize the drugs going to
13 change after you take treatment for HCV? And so
14 should you wait and check your blood levels then? And
15 what's the potential damage to taking normal dosing to
16 drugs on your liver if you have hepatitis? Can you
17 reduce your doses and still have adequate antiviral
18 activity? And what's the long-term implications for
19 all this? And so I don't know the answers to any of
20 this, and I'm not sure how many people do know any of
21 the answers to this. But I think these are questions
22 that need to be addressed and discussed.

1 And one of the concerns I have, and
2 finally -- this is my last statement on this -- is not
3 to look at these questions three years after drugs are
4 marketed, but maybe the industry and the FDA can work
5 together to try and figure out a way to figure this
6 out before the drugs come to market. What dose should
7 a person with hepatitis take for a medication before
8 it comes on the market? After it comes on the market,
9 I think it's too late. They're going to take the
10 standard dosing. And we don't know the implication of
11 that down the road. So, I think that's about all I
12 have to say. Thanks.

13 CHAIRMAN GULICK: Jules, thanks for those
14 thoughtful comments.

15 Is there anyone who didn't sign up who
16 would like to make a statement at the open public part
17 of this meeting?

18 Okay, we'll close the open part, then, and
19 move to Dr. Kim Struble, who will give the charge to
20 the Committee.

21 DR. STRUBLE: Thank you. I'm going to
22 review the questions that we're going to pose before

1 the Committee this afternoon, and also give some
2 examples in hopes to focus some of the discussion.

3 Our current recommendations allow us to
4 support the approval of a new formulation or regimen
5 on the basis of the following types of data:

6 First, bioequivalence data in which there
7 are similar PK profiles. In the absence in which
8 bioequivalence data cannot be met, PK data, in the
9 setting of a well-defined exposure response
10 relationship, can be used.

11 And finally, clinical efficacy and safety
12 data are necessary when different PK profiles and
13 exposure response relationships are unknown or
14 unclear. Two recent approvals, where efficacy and
15 safety data were required to support approval of a new
16 dosing regimen, were DDI once a day and Nelfinavir
17 twice a day regimens. These approvals have been based
18 on 48-week trials, with 24-week interim analysis
19 submitted to the agency. These trials included
20 approximately 500 to 700 patients.

21 At the division, we acknowledge the need
22 to streamline the amount of data required to support

1 the marketing of new regimens and formulations for
2 approved antiretroviral drugs. One approach could be
3 using PK/PD information, along with data from a
4 clinical trial, to support the approval of a new
5 regimen or formulation. With this approach, it may be
6 possible to enroll fewer subjects; however, the
7 duration of the trials is still unknown. Longer
8 trials still may be necessary.

9 This consideration in part stems from two
10 examples in which efficacy results between two
11 regimens diverged at later time points. In the
12 Indinavir BID versus TID regimens, these regimens
13 appeared similar at Week 16. However, at Week 24
14 differences were apparent. With recently available
15 data regarding DDI once a day, DDI once a day regimen
16 and the comparative regimen appeared similar at Week
17 24. However, differences between these regimens were
18 noted by 48 weeks.

19 There are also cases in which all exposure
20 measures may be increased for a new regimen or
21 formulation. These situations require additional
22 safety data prior to approval. One consideration may

1 be to design a trial that would be powered for safety
2 considerations rather than equivalence between two
3 regimens or formulations with respect to HIV RNA.
4 This approach may also require fewer subjects to be
5 enrolled.

6 Discussions this afternoon are important
7 to our division. Our goal is to take the advice heard
8 this afternoon and draft a guidance document for
9 industry on the use of PK/PD data to support approval
10 of new regimens or formulations for approved
11 antiretroviral drugs. Our document will hope to
12 address trial size and duration. In addition, we hope
13 to provide guidelines for placing drug interaction
14 information labels, and note when additional safety or
15 efficacy data is necessary to support this
16 information. It will also address the implications
17 for pediatrics with respect to new regimens or
18 formulations.

19 Our questions to the Committee are divided
20 into five topics for this afternoon: PK and efficacy;
21 PK and safety; drug interaction; pediatric; and future
22 research issues. Okay, first I'd like to start with

1 the PK efficacy issues.

2 On this slide we've provided a summary of
3 the PK parameters that were noted to correlate with
4 virologic response. Information on this slide was
5 derived from Drs. Hoetelmans and Reynolds'
6 presentation this morning. Also, data from the
7 available literature, abstracts, and submissions we
8 received from industry.

9 It appears that AUC and/or C_{min} correlated
10 with virologic response for many of the
11 antiretrovirals. Of note, there are many ways to
12 define virologic response, some of which used mean
13 change, proportion undetectable, and they are used
14 various time points ranging from a week to over 24
15 weeks in duration.

16 So our first question is: What is the
17 role of PK data in the evaluation of new formulations
18 and alternative dosing regimens for approved antiviral
19 drugs? We would like you to discuss the strengths and
20 limitations of specific exposure measures, such as
21 AUC, or C_{min} , or other measures, in predicting
22 virologic response. Also, what data are needed to

1 rule out the relevance of any specific exposure
2 measure to efficacy?

3 What is the role of intracellular
4 concentrations in the evaluation of new formulations
5 and alternative dosing regimens for approved RTIs?

6 And finally: In what circumstances will
7 clinical efficacy data be necessary?

8 PK and virologic response relationships
9 have mainly been evaluated in antiretroviral naive
10 patients. And our prior approvals have focused on
11 naive patients as well. Are these relationships
12 applicable to treatment experienced patients? And are
13 there cases where additional PK and/or efficacy data
14 are necessary for different patient populations?

15 Our next topic will be PK and safety
16 issues. This slide summarizes the different exposure
17 measures that correlated with toxicity. AUC and/or
18 C_{max} appear to correlate with toxicity for many of the
19 PIs and Abacavir.

20 And our second question to the Committee
21 is: Do the scientific data at present correlate any
22 particular exposure measure with toxicity?

1 There are circumstances in which a change
2 in formulation or dosing regimen or a drug interaction
3 may increase all exposure measures. In this case,
4 additional safety information is required to insure
5 that the increased concentrations are not associated
6 with additional risks or objectionable tolerability
7 profile.

8 In the Saquinavir example, the sponsor
9 sought approval of a new formulation, Fortovase, with
10 increased bioavailability compared to the approved
11 formulation, Invirase. In addition, the new
12 formulation was to be dosed at a higher total daily
13 dose. For the approval, the division required
14 additional safety database, along with a clinical
15 study, to support the higher Saquinavir
16 concentrations. Approximately 500 patients were
17 followed for 16 to 24 weeks. This is a safety
18 database similar to that required for new molecular
19 entities. However, there may be cases where the
20 amount and duration of safety information required may
21 vary, depending on the clinical significance of the
22 increased concentrations for the new formulation or

1 regimen.

2 We'd also like to insure that increases in
3 certain parameters are not associated with an
4 objectionable tolerability profile. For example,
5 Indinavir and Ritonavir are dosed at either 800/100,
6 800/200 milligrams twice a day, and these regimens are
7 widely used in clinical practice. Based on reports in
8 the literature and preliminary data presented by Dr.
9 Hoetelmans this morning, increase in AUC or C_{max} may
10 have impact on the overall safety profile.

11 This brings us to our third question.
12 What amount and duration of safety data are needed to
13 support new formulations or new dosing regimens of
14 approved antiretroviral drugs with increased exposure
15 measures?

16 Our third topic is drug interaction
17 issues. Currently there's no information labels with
18 respect to PK enhancers or uses of subtherapeutic
19 doses of Ritonavir plus a protease inhibitor, although
20 data on the use of therapeutic doses of Ritonavir with
21 other antiretrovirals are found in several labels.
22 Preliminary data on certain interactions show an

1 increase in AUC and C_{min} , with a decrease in C_{max} . We'd
2 like you to discuss which exposure measures would be
3 considered when providing labeling information on
4 concomitant administration of antiretrovirals.

5 If one or more exposure measures are
6 decreased, should additional clinical data be
7 required? If so, how much? And other circumstances
8 in which clinical data are necessary.

9 This slide illustrates the numerous dosing
10 possibilities that are currently under study for
11 Indinavir, Saquinavir, and Amprenavir. The number of
12 dosing possibilities is complex, and the exposure
13 response relationships may be difficult to determine
14 in a setting of combination therapy. So how should
15 several dosing possibilities be addressed in labels?
16 And what criteria should be used for placing specific
17 recommendations in labels?

18 Our next topic will be pediatric issues.
19 Dosing recommendations in children are based on
20 achieving similar exposure measures in adults -- in
21 children as seen in adults. As the case with
22 Nelfinavir, the original dosing recommendations in

1 children greater than two years of age were based on
2 similar AUC, C_{max} , and C_{min} as seen in adults. However,
3 for some antiretrovirals, all exposure measures may
4 not be similar for adults and children. And this may
5 in part be due to clearance being greater in younger
6 children. Therefore, it may not be feasible to match
7 all exposure measures.

8 So, once an alternative regimen has been
9 identified in adults, should we require identical PK
10 profiles in children; that is, all exposure measures
11 equivalent, or only equivalent critical parameters
12 such as AUC or C_{min} ? And does this apply to all drugs
13 and all pediatric sub-populations, or are there some
14 situations in which more clinical or virologic data
15 will be necessary?

16 And finally, our last topic is future
17 research. What kinds of studies are needed to better
18 define PK and PD relationships?

19 We recognize that the questions we pose
20 for this afternoon is quite ambitious, but we look
21 forward to the opportunity to begin to address these
22 issues in an open public forum. We look forward to

1 the Committee's input into these many difficult issues
2 regarding alternative dosing regimens. Thank you.

3 CHAIRMAN GULICK: Thanks, Dr. Struble.

4 Maybe we could use the slides to keep the
5 questions up as we consider them. Thanks.

6 DR. FLEXNER: Okay, so let's go through
7 these one by one. We'll just tic them off very
8 rapidly. Take a while afterwards. Right. Yes, yes,
9 no. Thank you.

10 (Laughter)

11 So what is the role of PK data in the
12 evaluation of new formulations and alternative dosing
13 regimens for approved antiretroviral drugs? And
14 specifically discuss strengths and limitations of
15 specific exposure measures. We turn to our
16 pharmacologic experts. And let's get rid of the easy
17 things first.

18 Terry Blaschke talked about the fact that
19 we're used to dealing with concentration response
20 relationships in terms of concentration as a
21 parameter, meaning C_{min} , C_{max} , AUC. Whereas, the
22 important pharmacologic parameters are really

1 clearance and volume and distribution. And that is
2 true, except that I think the public and the non-
3 pharmacologists have a very hard time dealing with
4 clearance and volume and distribution as parameters to
5 relate to clinical outcomes. And so I think we
6 recognize that C_{min} , C_{max} , and AUC are all related in
7 some way to those other more important pharmacologic
8 terms.

9 But I would recommend that we not use
10 those terms in trying to evaluate performance of the
11 drugs. Not use the volume and distribution and the
12 clearance. Instead, stick with what's spelled out in
13 most of Dr. Struble's slides, the AUC, the C_{max} , and
14 the C_{min} . So, stick with that which I think is most
15 intuitive and most easy to grasp by the non-
16 pharmacologically sophisticated community.

17 DR. PISCITELLI: Clearly we just don't
18 have the study designs at this point to answer the
19 question of use AUC or C_{max} or C_{min} . We saw everything
20 seemed to be correlated. So at this point it's very
21 difficult, based on the designs that we have, to
22 answer that question. We have to give smaller doses

1 spread out over longer intervals so you'll have
2 differences in AUCs and C_{min} s. But that's certainly a
3 trial that I don't think anybody wants to do. And
4 certainly patients aren't going to want to take a drug
5 four or five times a day to answer that sort of
6 question. So that'd be difficult.

7 In terms of the intracellular
8 concentrations -- and maybe Courtney could address
9 this, he's done some work -- but it appears that we're
10 not in any position at this point, in terms of
11 analytical advances, to make specific comments whether
12 accumulation inside the cells are useful. I think
13 Courtney could comment there.

14 DR. ACOSTA: Well, just real briefly.
15 Analytically, if that's your point, I think we are
16 slowly getting there, mass spec technology. We're
17 able to pretty much quantitate most of the
18 intracellular metabolites, the mono-, di-, and
19 triphosphates simultaneously. So, analytically, I
20 think we're slowly getting there. But if -- it's back
21 to the same problem with where we were after protease
22 inhibitors relating those to efficacy or changes in

1 viral load. I think we have very little data at this
2 point on those drugs.

3 But just back to the first question, I
4 think I'd have to agree with Charles that I'd still
5 like to see clearance and volume in a package insert.
6 But that's -- along with the variability of all those
7 parameters, looking at standard deviation, looking at
8 coefficient variation. But clearly the C_{min} , C_{max} , and
9 the AUC are also extremely important parameters. But
10 I think for the -- as Steve mentioned, we really don't
11 know if an AUC or C_{min} is more important, and at this
12 point all we can really do is express our opinions.

13 And my opinion is that the C_{min} is, at
14 least in my head, no question the most important
15 parameter that needs to be looked at. There's clearly
16 a very strong correlation between the two parameters.
17 What drugs that are essentially have linear absorption
18 and disposition kinetics. And, but over the long
19 term, in terms of preventing or developing a
20 resistance, and again, it's just my opinion and a
21 guess, but I would have to say the C_{min} 's the most
22 important parameter.

1 CHAIRMAN GULICK: Dr. Gerber's next.

2 DR. GERBER: I just wonder why you would
3 want clearance and volume and distribution. Let me
4 just say volume and distribution at a steady state
5 does not affect the concentration. If it's a steady
6 state concentration, it basically is a term -- if the
7 term is a half-life of a drug. And the clearance is
8 very difficult to determine, systemic clearance,
9 unless you're giving the drug intravenously, and most
10 of these drugs can't be given. So what you get is
11 oral clearance, which is kind of a term that means
12 nothing to me, because that may be an absorption
13 problem, or at first best, metabolism issue and all
14 that.

15 So, and the AUC issue, from my
16 perspective, it very much depends on drugs. Drugs
17 have a very, very short half-life, and you give a lot
18 of drug. For example, like Indinavir, the AUC is very
19 top-heavy because it very much depends on the early
20 dose. And the AUC may not really estimate the amount
21 of drug that needs to be around for efficacy. So, I
22 agree with you that I think C_{min} might be the better

1 thing to look at, although we don't have data to prove
2 that. The drugs with long, long half-lives, the C_{min}
3 and the AUC correlate extremely well.

4 CHAIRMAN GULICK: Dr. Flexner?

5 DR. FLEXNER: I'm sorry. I just want to
6 again throw away another set of terms. I think that
7 Dr. Rakowsky talked about time-dependent factors with
8 regards to antimicrobials. That is, the time above
9 some critical concentration as determining efficacy.
10 I'm not aware of any clinical data suggesting that
11 time above some threshold is a critical factor in
12 determining antiretroviral efficacy, although it may
13 be an important factor for toxicity. And so I think
14 we don't need the time-dependent factors, at least
15 when dealing with antiretroviral efficacy.

16 The toxicity issue we still don't
17 understand very well, but the -- for toxicities that
18 develop in the long run, like lipodystrophy and lipid
19 changes. So that will have to go on the back burner.
20 But for now I think we can stick with the
21 concentration-dependent factors: C_{min} , C_{max} , AUC.

22 CHAIRMAN GULICK: Dr. Schapiro?

1 DR. SCHAPIRO: I think, looking at the
2 biology of it, I don't think we have really any basis
3 to determine which of those factors really correlates
4 best with virologic response. I think, from the data
5 we've seen and from the studies we've seen, we don't
6 know enough of the science to know which of those
7 impacts really the viral replication; we don't know
8 which of those impacts accumulation of the drugs in
9 the cells, if it's different for different ones. So
10 I think that we really have to move away from trying
11 to compare this to bacteriology.

12 And on the C_{min} , it's something we consider
13 maybe from looking at bacteria. I think we have to
14 consider this just based on the statistics and the
15 evidence. I think it looks like, from most of the
16 trials, the C_{max} seems to not pan out. I'm not sure if
17 it has anything to do with the science, I think it
18 tends to be very variable, the C_{max} . And some of these
19 studies that show small numbers, there may be a trend
20 which doesn't reach significance. I think the area
21 under the curve and C_{min} seem the two parameters that
22 most correlated statistically.

1 It would appear to me that it's easier to
2 get a C_{min} than the area under the curve; and although
3 both of them are beneficial, if we were to go with one
4 marker based on what I've seen so far, my vote would
5 probably be for C_{min} . But I would probably put in a
6 real disclaimer caveat that we have a long way to go
7 and will probably have to do a lot of other research
8 to find out what we're missing regarding this.

9 CHAIRMAN GULICK: Dr. Bertino?

10 DR. BERTINO: It seems like we're trying
11 to put the cart before the horse here. We're trying
12 to come up with rules to use, but we don't really have
13 the tools to use. And I didn't make that up on
14 purpose, rules and tools.

15 But if you go back and you look at
16 bacteriology as kind of just a model -- and I wouldn't
17 disagree with what Dr. Schapiro said about not
18 correlating virology and bacteriology -- but the tools
19 are *in vitro* models, so how whatever model to get some
20 information on pharmacodynamic indices, which we don't
21 really have for antiretrovirals, for different drugs.

22 And then, in bacteriology we use animal

1 models, and then we look at some human data. Most of
2 it's in retrospective, a little bit of it is
3 prospective, that kind of thing. You know, there
4 is -- Dr. Drusano, I think, published a paper in AAC
5 in the last year or so, looking at some *in vitro* data.
6 And then there was some data from Dr. Leipman's group
7 a number of years ago at Hopkins, trying to use some
8 *in vitro* models to try to give us some idea about
9 pharmacodynamic relationships.

10 So maybe we need to go back a little bit
11 and see if we can develop these tools. And I think
12 then, once we go into the animal or the human model,
13 or the human model -- maybe there's not an appropriate
14 animal model, but probably -- I don't know -- then I
15 think what we need to do is to look at the
16 contribution of all the antiretroviral agents.
17 Because just to separate out one agent I think leads
18 to a false sense of security because of the large
19 variability in the PKs with these agents.

20 CHAIRMAN GULICK: Yes, Dr. Masur.

21 DR. MASUR: Maybe I can pose a question to
22 the pharmacologists, because I've been looking to them

1 for some leadership here. You know, in the basic-size
2 laboratory, often when you get a test, everybody's
3 eager to view that test because it's something you can
4 measure, and then you apply to other laboratories.
5 And often you get very focused on the test rather than
6 on the question you're asking.

7 I mean, in this situation you have PKs,
8 it's something we can measure, and we have numbers,
9 and we'd like to start using them. Yet nobody has
10 come up with any correlation that we can, I think,
11 find credible in any convincing way that it really
12 correlates with the outcomes we want.

13 Right now we have a long track record with
14 24- and 48-week clinical trials. So we know that that
15 is relatively predictive of virologic response,
16 immunologic response, and safety; although not
17 perfect. So the question is, there are lots of things
18 that we can't measure. We can't measure the effects
19 of -- or at least it's harder to measure all the other
20 variables about whether adherence, gender, body
21 weight, metabolism, hepatitis C affect this.

22 Here we have some numbers. But are we

1 really saying that, while we have these numbers, we
2 really don't have any way of knowing how to apply them
3 to know whether a new regimen is really comparable to
4 the original regimen that was -- or do we say that
5 perhaps in the year 2000 that's the best we can say?
6 We can come up with something logical, but we don't
7 have any data in which to say that really helps us
8 know that this new regimen is comparable to the
9 original regimen that was studied with virology and
10 immunologic endpoints.

11 DR. FLEXNER: I can attack that, but let
12 him go first.

13 DR. MASUR: It was meant to be attacked.

14 DR. FLEXNER: Let's flip a coin here. Dr.
15 Fletcher hasn't run in yet, so we'll let him go first.

16 DR. FLETCHER: The challenge that you've
17 extended here is a good one, in that: Has there been
18 a prospective demonstration that concentrations have
19 improved -- the use of concentrations have improved
20 the -- have improved the outcome of patients. I think
21 that's what you're challenging.

22 But let me take a step back and first say,

1 well, why would we even look at that in the first
2 place? First of all, I think, going back to Dr.
3 Blaschke's presentation where he talked about all of
4 the factors that will ultimately contribute to patient
5 response, think about what you can actually control.
6 You can't change inherently the susceptibility of the
7 virus; you can't inherently change someone who is pre-
8 therapy, immunologic status; you can do something
9 about PKs. You can probably do something about
10 adherence.

11 So of those things we can begin to
12 identify that may contribute to response, it's
13 reasonable to focus on pharmacology because it's a
14 variable we can control. We can alter the dosing
15 regimen, we can give more drug, we can do things about
16 it. So I start from that point in terms of why we
17 ought to focus on this. But I think, going back to
18 where I started, your challenge, in terms of the need
19 to demonstrate that role of concentrations contributes
20 to outcome in a prospective manner, that still
21 needs -- I think it needs to be done. In fact, come
22 back kind of --

1 DR. MASUR: All I'm saying -- I'm not
2 saying I'd like to do away with all the
3 pharmacotherapists. But the question is -- and the
4 model, for instance, that Terry described sounds like
5 it's very promising. But the question is, while we
6 can say something logical, in the year 2000 do we have
7 a parameter that, from a regulatory or a rigorous
8 scientific point of view, we can say this really
9 correlates with a given desirable outcome, virologic,
10 immunologic, clinical? Or are we saying we're still
11 in the process of guessing?

12 DR. FLETCHER: Well, we're still in the
13 process of learning.

14 CHAIRMAN GULICK: Dr. Flexner?

15 DR. FLEXNER: Well, we don't have perfect
16 information or perfect tests yet. But let me state
17 for the record what I think is obvious to all the
18 pharmacologists sitting around the table here. If you
19 have higher drug concentrations you're going to do
20 better at suppressing replication of the virus, given
21 the same drug. I think everybody would accept that as
22 a given. However, if you have higher drug

1 concentrations you're going to increase your risk for
2 toxicity, regardless of the drug. So those are
3 pharmacologic truisms.

4 How does that relate to HIV? Now I think
5 we need a little input from the virologists around the
6 table, from Dr. Pomerantz and his colleagues. But my
7 understanding of how this virus replicates is that it
8 persists in the body, as far as we know, forever, even
9 in the presence of effective drug therapy. And once
10 drug concentrations drop below some critical threshold
11 which has not yet been defined, the virus starts
12 replicating again, probably almost immediately, based
13 on the amount of time it takes to have a complete
14 viral rebound after you stop taking your
15 antiretroviral drugs.

16 So that suggests to me that the critical
17 pharmacologic parameter for suppressing replication of
18 the virus is some perhaps single concentration, and
19 that if you maintain your drug concentrations above
20 that threshold the virus won't replicate, in a
21 simplistic sense. And as soon as you fall below that
22 threshold, the virus starts replicating again, and

1 there's no such thing as a post-antiviral effect.
2 That is, with this -- these drugs are not like
3 penicillin or vancomycin. They don't continue to keep
4 the virus from replicating after they disappear from
5 the cell or from the circulation.

6 So that says to me that the real value of
7 clinical pharmacology of antiretroviral drugs is
8 something that should be obvious to everybody here.
9 That is, the real value comes in comparing one regimen
10 of the same drug to another regimen of the same drug.
11 And we have enough information at hand today, I
12 believe, to make some intelligent comments about what
13 could be expected when you change the concentrations
14 of a known drug, either higher or lower.

15 If you make the concentrations lower,
16 you'll increase the risk that the virus will replicate
17 and become resistant. If you make the concentrations
18 higher or equivalent, you will -- if they're
19 equivalent, you should suppress viral replication to
20 the same extent. And if they're higher, you should
21 suppress virus replication even better. And,
22 alternatively, if you lower viral concentrations you

1 may decrease your risk for toxicity; if you raise
2 antiretroviral concentrations, you may increase your
3 risk of toxicity.

4 So, that -- I think we're ready to offer
5 that now as what we can do with clinical pharmacology
6 today if you want to compare one regimen to another.
7 Where we're going to have a problem is trying to
8 extrapolate from information about one drug to
9 information about a second drug.

10 Because I agree with Courtney and a lot of
11 my colleagues, I don't think we have enough
12 information about first principles of antiretroviral
13 pharmacology to be able to say that a new
14 antiretroviral with these PK properties will be
15 expected to do the following to the virus over the
16 long term. Because I think that's perhaps more
17 complicated than we'll be able to do for a while. But
18 certainly if you want to compare one regimen to
19 another, I think we already have enough information to
20 know what to predict, and perhaps how to make
21 recommendations to the FDA about what studies are
22 necessary to know whether this regimen is effective,

1 safe, approvable, et cetera.

2 DR. MASUR: Could I answer?

3 DR. FLEXNER: Sure.

4 DR. MASUR: I mean, just as a quick
5 response. I mean, I agree conceptually. The question
6 is, operationally, how do you use that? Because you
7 can say if the concentration is ten percent, 20
8 percent, 30 percent, higher, it will be more
9 effective. But A: Will it be equally safe? That is
10 very hard to say. And B: If you don't get a perfect,
11 symmetrical increase in concentration, the C_{max} goes up
12 and the C_{min} goes down, then how, operationally, do you
13 transform that concept into a policy that you can use
14 even with a class of drug? I guess that's what I'm
15 looking for guidance for. I don't deny that you could
16 do it, I'm just looking for guidance.

17 CHAIRMAN GULICK: Dr. Pomerantz?

18 DR. POMERANTZ: Since Charlie threw the
19 gauntlet down, I might as well pick it up. I think
20 that what we're arguing about in the short term, which
21 I would never try to go past what our pharmacology
22 colleagues talked about, is the short term. And a lot

1 of that is what you might call teleological reasoning,
2 which is: Things make sense, but there isn't
3 prospective data that suggests that it really happens
4 that way.

5 So we do a lot of that in medicine, and
6 pharmacologists are no different than the rest of us.
7 So, for the short term, I think that, listening to
8 what the pharmacologists say and a little bit about
9 the minimum inhibitory concentration, fine.

10 The points that I think that Charlie
11 brings up that I was going to bring up anyway -- so,
12 thank you -- is that this is a very complicated
13 disease, both in the short term, but especially as you
14 go farther out. And one thing I did want to sort of
15 get to people about, and that is that there is no real
16 latency when it comes to HIV. The virus is not off.
17 If you look at our work, Steve Wolensky, David Ho's,
18 now some more work, there is latent virus. But it
19 seems to be in most patients always replicating. It's
20 a matter of where you're drawing the line, how far
21 down are you going to measure it, and what parameters
22 are you using to measure it.

1 So the reason that people rebound is not
2 because it's coming out of latency, but because you're
3 taking your hand off of a not-completely-stopped
4 process. And that makes sense with the kinetics of
5 rebound. And so that makes it even more important
6 that when we make changes in therapies that we know a
7 little bit about, at least over a few years, that
8 we're careful about -- not what you're going to find
9 24 weeks or 48, but even past that. Because if you
10 have low-level replication, different in different
11 compartments of the body, changing something that has
12 the same area under the curve but doesn't go to the
13 same maximum height in a particular compartment, may
14 be very different; not in the short term, but in the
15 longer term.

16 There -- we don't know yet -- if you have
17 a drug that in one formulation gets 70 percent of
18 people to below 50 copies, while if you change the
19 formulation they have the same number below 400 but
20 less below 50, is that a success? Well, I think it
21 might depend on the drug and how you're going to use
22 it. But it could also be a failure, since there's now

1 clear data that if you don't get below 50 and you stay
2 between 50 and 400, that is more likely to lead to
3 resistance and to later rebound. But you wouldn't
4 find that if you power it to less than 400 copies
5 only, or if you look at it within a short amount of
6 time.

7 So what I'm trying to say is, for the
8 short term I think I would also look to our
9 pharmacologists for some guidance, because I'm clearly
10 not going to tell Charlie and his group there what --
11 which is the best parameter. But I do think that if
12 you look at the complexity of the pathogenesis, that
13 the FDA will have to be very careful that, even if
14 they accept the drug being equivalent within 24 to 48
15 weeks, consider asking the drug companies to make sure
16 they follow these patients longer and keep your eye on
17 what you're using as a definition. Are you using less
18 than 50, less than 400; and where and what time period
19 of the infection?

20 CHAIRMAN GULICK: Dr. Yogev?

21 DR. YOGEV: Let me try to start from the
22 beginning. We're using terminology which is not clear

1 to me, and maybe our pharmacologists can correct me.
2 When you say C_{min} , when you say area under the curve,
3 what are you talking about? Arithmetic median, what?

4 In pediatric we have two logs, differences
5 in C_{min} sometimes, and even more in C_{max} . And I that is
6 our problem. It is that we're taking all variety,
7 trying to squeeze it into certain number, like we just
8 did in the virology. We felt very comfortable when we
9 couldn't measure more than 1000, and said the 400 are
10 great. Now you're talking about 50, and I'm laughing,
11 because the European standard, now method, 2, 20, and
12 2, make sure the differences.

13 So I think we need to first to verify what
14 we're talking about, because I think that's where the
15 problem starts. If we even look to what we want to
16 compare to, we're talking about virological efficacy.
17 If you do the mathematical model, at least couple of
18 papers suggest it 65 percent predictable of the
19 disease. Is that what we want? Because if we're
20 there, we have a less of a tough task to do. Do we
21 accept 64, which are around 35, 40 percent? And I
22 think pharmacologies are around that area to predict.

1 I wonder why not try to find a method on
2 the individual to see if it's working. For example,
3 do virological cidal level in that specific individual
4 by checking the viral or checking the level of C_{\min} or
5 whatever in that specific person to see what ratio you
6 need to get in a patient to show that it's working.
7 And if you find out that you need one to eight, you
8 know then that you need a certain level most of the
9 time or part of the time.

10 The way we're doing it today, we take
11 population, which I think are knocking us down up
12 front, at least in pediatric. It's all over the
13 board. And for me C_{\min} is meaningless. Yes, there is
14 a number, but at best it's an indication. And the
15 best example is, we have anecdotally four patients
16 that were mistakenly given four times the dose of
17 Ritonavir and did superbly well. When we went down to
18 the normal dose, although they have no toxicity, they
19 came up. And we have a beautiful C_{\min} in which both of
20 them, interesting enough, fit to the range which was
21 reported by the NCI group. One was in the higher
22 range, the other one was below range.

1 So I would like to suggest, if we don't
2 know what the C_{min} needed is there, more consistent we
3 know the range that probably give us the problem.

4 DR. FLEXNER: I'm not actually
5 suggesting -- I think we're talking about different
6 things. I'm talking about using PK parameters to
7 compare different regimens and make recommendations
8 about how those regimens are used. I'm not talking
9 about measuring PK parameters in an individual to
10 predict what's going to happen to that individual. I
11 think we're -- that's beyond the scope of today's
12 discussion.

13 DR. YOGEV: But I think that's where the
14 problem is, because of the overlapping view. Lot of
15 difference in C_{min} . You can go from zero to 100 and
16 still be okay if you compare. And the question is:
17 Is a change in the median really will tell us that we
18 need to see individual first to define what we need?
19 I don't think we define what we need to have, and
20 that's my problem. Because then if you don't define
21 for Drug X and overlap too much, you're going to
22 show -- and we just saw the difference if it's 99

1 versus 98, look at the differences.

2 CHAIRMAN GULICK: Dr. Fletcher?

3 DR. FLETCHER: I was just going to -- I
4 think this -- we'll probably come back to this issue,
5 Doctor, when we get to the pediatric -- till we get to
6 the pediatric issues. Because I think there are some
7 issues, because I phrased my other question of
8 equivalence.

9 Where we're talking bioequivalence you
10 heard about the 90 percent confidence interval. But
11 when we're looking at design of a dosing regimen that
12 would be equivalent between adults and children, I
13 think this begins to get at some of the issues that
14 you raised. Should we settle for the mean or the
15 median or arithmetic mean or whatever that is to be
16 the same between two groups? Because the pitfall is
17 that doesn't take into account who's at the low end.
18 So you could have an adult and a pediatric regimen
19 that provide the same median concentration in some
20 population of patients, but the low ends look very
21 different. And I think that is part of the concern
22 that -- you know, that you're raising.

1 DR. YOGEV: Just to continue, for example,
2 protein binding. We have a percentage. But if you do
3 patient by patient, you find out such a variation
4 which was never come into effect that might be very
5 important to what we're doing.

6 And again, I'm forging my results only for
7 pediatric. But if you say average is 70 percent
8 protein binding, or 90 percent -- in that specific
9 case it was 97 to 82 -- that make a huge amount of
10 drug in the system that reacted. We never look into
11 those, we just accepting numbers. So all I'm
12 challenging is, is median the right thing to do, or
13 should we define the base and say you have to be at
14 least that minimum in whatever it is to compare, to
15 see bioequivalence.

16 CHAIRMAN GULICK: Dr. Schapiro?

17 DR. SCHAPIRO: I think one problem we have
18 with answering this question is, it's very difficult,
19 in clinical use of these drugs, to really separate
20 efficacy and tolerability. Because I think, as Dr.
21 Flexner said earlier, and I think also from Dr.
22 Pomerantz, it's a losing battle basically. We want

1 more drug; more is better. I think, as opposed to
2 some situations in medicine where we're really giving
3 enough drug, I think basically, if there were no
4 toxicity, we want to give more.

5 And to give one threshold is going to be
6 a problem in HIV, since the patient populations are so
7 different. Not only is it different within that
8 population, as Dr. Yogev pointed out, but if you take
9 patients are naive and have relatively low viral load,
10 and you compare them to very experienced patients who
11 may have a very high set point to start with and have
12 developed quasi species mutations, the numbers are
13 totally different. And unless this was really, really
14 the same population studied, the numbers you get will
15 have very little relevance. So really, we want more.
16 We want more. Now, the question is what price are we
17 willing to pay for that? And that goes to the flip
18 side.

19 So all these numbers are always going to
20 be we want more. And you really can't take these
21 issues separately. We have to put them together. We
22 have to put together what price are we willing to pay

1 to have better chance of success. And I would say
2 less toxic drugs, we want to give more and more. And
3 of course you worry about price of adherence; if it's
4 a drug that has to take a lot of pills, we worry about
5 that. But the ideal drug, which is one pill once a
6 day, we would just say, "Give me a big old one if
7 there's no toxicity."

8 So you can't put these aside. You can't
9 say how much. I think you always have to look at the
10 side of toxicity, and therefore we have to make sure
11 we're talking about the same patient population. And
12 I really suggest answering the first two questions
13 together. Because otherwise the answer really just is
14 more. And I think when we -- when we consider that
15 we're going to go into toxicities, and here it gets
16 more complicated. Because I think toxicities is not
17 just one outcome. I think there are a lot of
18 toxicities. There are short-term toxicities, there
19 are long-term toxicities. They probably also have
20 different parameters that are important to them.

21 And I think, you know, how much can we
22 prove? As a clinician, what I sometimes do with

1 experienced patients is I give them the dose and I go
2 up, until they get a manifest toxicity, and then I go
3 a little bit down. And that's my way of using my
4 rule-of-thumb PK without doing measurements, and I
5 think we have to see what we have that's better than
6 that.

7 CHAIRMAN GULICK: Dr. Bertino?

8 DR. BERTINO: I think I just heard the
9 definition of a concentration-dependent killing drug.
10 More means more killing.

11 One of the things, when you talk about
12 variability in C_{min} , and as some of the data that we
13 saw this morning illustrates the large PK variability
14 that you see with these antiretrovirals. And I'm not
15 sure that we can ascribe failure to -- always to court
16 compliance, because I think it may be change in PKs.

17 And one of the things, as we were talking
18 over lunch, is there's very little data -- and, Keith,
19 correct me if I'm wrong, because we had this
20 discussion -- but there's very little longitudinal
21 data on intra-subject variability in PKs. And we're
22 talking about drugs that undergo hepatic metabolism to

1 the extent that the antiretrovirals do, and we're
2 talking about concurrent therapies like interferon and
3 hepatitis C as a good example, where initially a
4 patient's drug metabolism may actually be suppressed.

5 And so, at the regular doses of
6 antiretrovirals they're getting a big exposure. But
7 then as viral load drops and cytokine production
8 drops, drug metabolism increases, or the course of
9 interferon is over with for hepatitis C after the
10 prescribed time period it's stopped or whatever. And
11 there is a little bit of data on this
12 genotype/phenotype divergence that's coming out in the
13 literature.

14 And I know when the antiretroviral people
15 talk about genotype/phenotype, you're talking about
16 for the virus. But I'm talking about for drug
17 metabolism, how your genotype is -- what genetically
18 you should predict for drug metabolism is different
19 from your phenotype in real time; how you do
20 metabolize drugs. And it looks like there's this
21 fairly big divergence when viral load is high. And
22 maybe it'll change. But we don't have a lot of

1 longitudinal data, and I think we need to know that,
2 because that may help to explain some of
3 the observations that you've made about big
4 differences in C_{min} s in your patients because of PKs
5 improving over time as viral load drops.

6 CHAIRMAN GULICK: Dr. Hansen?

7 DR. HANSEN: I was just going to say that
8 earlier I heard a lot of conversation about NRTIs and
9 our ability to very accurately measure them. And just
10 to remind us all that we're talking about PK and
11 efficacy for protease inhibitors and NRTIs, which are
12 rarely used without the context of an NRTI backbone,
13 which changes at the same time that you change.

14 So I wonder how much that confounds your
15 ability, in the treatment experienced patient, or even
16 as you're looking at equivalence in new treatments or
17 new PIs or new formulations as they come on board, to
18 say with any kind of sense of clarity or even
19 confidence that the things that you propose to us
20 really will be useful. We've already heard it's going
21 to be difficult to do anything with intracellular
22 measurements. These things are not delivered without

1 the context of NRTIs. Won't that always confound us?
2 I'm just asking.

3 DR. FLEXNER: I think all the statements
4 we're making with respect to a single drug imply that
5 if you change that single drug, all other things
6 remain equal. And that -- I can think of some
7 situations where that won't be the case.

8 For example, if the single drug you're
9 changing is Ritonavir, it might alter interactions
10 with other metabolized drugs in the regimen. But I
11 guess as a general principle, if a higher trough
12 concentration is more likely to suppress the virus for
13 a longer period of time, that's going to be true, I
14 think, regardless of the other regimen you're taking.

15 CHAIRMAN GULICK: Dr. Blaschke?

16 DR. BLASCHKE: So we can still speak here
17 on the back bench?

18 (Laughter)

19 I was initially going to say I agreed with
20 a lot of what Charles had said about the concentration
21 response, and also what Jonathan had mentioned. I do
22 want to reemphasize something I said, and that is to