

1 think of the relationship between viral replication
2 and drug concentration or inhibition of drug
3 concentration as a continuum. And I guess the word
4 that I wouldn't use that Charles used is "threshold."
5 I don't think there's a threshold, per se. I think
6 there is a continuous relationship between
7 concentration and inhibition of replication.

8 Now, some of those concentration response
9 curves, both *in vitro* and *in vivo*, are likely to be
10 quite steep, so that relatively modest changes in
11 concentration can take you from a high degree of
12 inhibition down to a very low degree of inhibition of
13 viral replication. But I think it's much cleaner and
14 more straight-forward, in terms of thinking about the
15 problem, to think of this as a continuous relationship
16 between concentration and viral replication.

17 And as Jonathan said, I think the key is
18 to maintain those concentrations as high as possible.
19 I mean, one is thinking of should you approve another
20 regimen. I think the question would be, is if that
21 new regimen maintains the lowest concentration, C_{min} at
22 or above what it was with the other regimen, I would

1 be quite happy that that regimen is likely to be quite
2 successful and probably equally successful to the
3 original regimen, even if C_{\max} was a little less, if
4 what we thought we were buying, by doing that, was a
5 better dosing regimen, a more acceptable dosing
6 regimen, and maybe even less toxicity.

7 But I do think that keeping the drug
8 concentration at a very high level, even at the
9 minimum time during a dosing interval, is really quite
10 critical to maintaining suppression of viral
11 replication and, as Dr. Pomerantz was saying, then
12 potentially decreasing the probability of a resistant
13 mutation.

14 CHAIRMAN GULICK: Yes, Dr. Mathews.

15 DR. MATHEWS: I wonder if there isn't
16 something that could be learned from the whole
17 discussion that took place years ago over how to
18 evaluate surrogate markers biostatistically. And what
19 I mean is, if you think about it, clinical trials have
20 been designed and evaluated by giving a dose and
21 observing a response, whether it's a clinical endpoint
22 or a virologic response in the plasma. But the

1 discussion today is interposing a PK variable between
2 the dosing event and the outcome, and you can view
3 that as an intervening variable.

4 And the analytic approaches that were
5 developed to validate the surrogacy of viral load or
6 CD4 response, I think, could easily be adapted to look
7 at what proportion of the treatment effect is
8 explained by changes in particular PK parameters
9 measured at specified times.

10 And I think what's been sort of missing --
11 as Dr. Hoetelmans discussed the few studies that have
12 been done in this area up till now -- is that what's
13 been talked about is correlations. But they're not
14 really -- we don't have any sense of the impact of
15 those correlations on the biologic responses. And I
16 think if there was a standardized -- or work towards
17 developing some standardized methodology where you
18 could look at dose-ranging studies or formulation-
19 varying studies where there is expected to be a
20 difference in the virologic response, you could get
21 more quantitative estimate of what the actual
22 explanatory value of particular PK parameters are.

1 CHAIRMAN GULICK: Dr. Gerber?

2 DR. GERBER: Yes, I worry about dose-
3 ranging studies, especially what we know now, because
4 you don't want to be at a low dose, you don't want to
5 be at a low concentration because of the resistance
6 issues, especially for drugs that require one mutation
7 for resistance or one or two mutations.

8 And, I mean, I agree with actually what
9 Terry said. I think Terry made a very, very good
10 point that my other question is: What can we save by
11 PKs when you have a new formulation? Can that be --
12 can we use PKs to say that the new formulation or the
13 new way to use that drug is equivalent to the way it
14 was used? And I think it's not unreasonable to think
15 that the -- achieving the C_{min} in the two situations
16 would probably give you equivalent response. And I
17 think nobody -- none of the pharmacologists,
18 hopefully, would disagree.

19 And I want to reiterate what Charlie
20 Flexner says, that there is a concentration response
21 relationship here. I mean, that is a basic
22 pharmacological principle. And that's -- there are

1 all kinds of variables, and I think we've heard all
2 the variables, protein binding. Should we look at
3 free concentrations of all the drugs? I don't know
4 the answer to that. That would be extremely expensive
5 and difficult to do. But for some drugs we do that.
6 For Fenetone we frequently look at free
7 concentrations, because that's what you need to see a
8 response. And maybe for some drugs we need to do
9 that, for some antiretroviral drugs we should do that
10 as well. But I think it becomes too complicated.

11 But the basic principle is that there is
12 a concentration response relationship, and when you
13 have a new -- a way to administer the drug, if you
14 have very similar C_{min} , AUC, or whatever you want to
15 look at, and it gives you this, then do you really
16 have to do a gigantic efficacy study? And my guess --
17 and I can't tell you 100 percent I'm sure -- probably
18 not if you believe that principle.

19 DR. MATHEWS: Yes, but my point is that
20 these kinds of dose-ranging studies are done all the
21 time in drug development, and PK measurements are done
22 as part of the drug development process. And a lot of

1 these analyses could probably be done retrospectively
2 or as part of ongoing clinical trials so that one gets
3 a sense of what metric measured at what particular
4 time is most predictive of virologic response.

5 DR. GERBER: But I worry about the percent
6 of people who are going to fail therapy, develop
7 resistance by doing that. And so I do have a problem
8 in this dose escalation studies looking at virological
9 responses. And I think we have to get away from this.
10 In most situations, when you treat hypertension you do
11 dose escalation, and you do dose response and
12 concentration response relation. That's fine, because
13 you don't lose the efficacy because of resistance
14 issues. I think that this is much more complex, and
15 I worry about that.

16 CHAIRMAN GULICK: Dr. Yogev?

17 DR. YOGEV: You see, you're doing dose
18 escalation first for tolerability and safety. And I
19 think you should use this opportunity to define your
20 C_{min} absolute that you need. You can find out that
21 from a certain level, although it's in the range, will
22 kill your C_{min} much higher, but on the lower range, the

1 one which you're talking about, you're absolutely
2 correct, you should not allow that.

3 So then when you go now to bioequivalence,
4 to say I want the range that the lowest level would be
5 "X" instead of take a median or mean. Because you
6 take a median or mean, if you are too low, it's too
7 wide. So while you're doing the escalation for
8 tolerance, safety, to find out what the patient can
9 take, use that opportunity to define the C_{min} minimal
10 that you need in the range, and then say
11 every bioequivalent cannot go there.

12 That's a concentration curve that you're
13 talking about, and I agree with you 100 percent. But
14 because we give it the bell shape and we don't cut the
15 low edge, we need to start cutting the lower edge, the
16 one which cause resistance, to get less of a bell
17 shape, which would put us in a safer level that we now
18 say yes, I want the C_{min} average "X," but the C_{min} min
19 will not go below "Y" because more breakthrough and we
20 have to define what.

21 DR. GERBER: I mean, I think it's true for
22 when we're talking about new drugs. But we're talking

1 about drugs that already we have experience with, and
2 how -- if there's a new way of administering it, how
3 can -- would PK be adequate, or do we need to do a
4 whole bunch of new studies. I think that's her
5 question. That's the way I interpret the question.
6 And I agree with Terry, probably, that if you get
7 above the C_{min} with -- then that might be adequate.

8 DR. YOGEV: Well, you see, the drug we
9 have today -- again, unfortunately, my experience
10 pediatric -- are not effective. So we got the drug
11 that they approve and the company refuse to go with a
12 higher level in a dose, which we found out completely
13 inaccurate, because the C_{min} probably was the problem.
14 When we increase it by more than almost 30 percent, we
15 start getting a little bit better data. And if you
16 look at whatever in the field, it's 50 percent
17 failure. So not sure that what we have now is
18 appropriate, because we use certain parameters which
19 we need to redefine.

20 But you can say accept what is now, check
21 on that, check what the C_{min} or dose in a certain
22 population, and it can be a very small number of

1 patient relatively, and that's what the minimal you
2 ask for the new bioequivalent, if you accept it.

3 DR. GERBER: But the issue is -- I mean,
4 50 -- I don't know much about pediatrics. I'm an
5 adult physician.

6 DR. YOGEV: That's why I take advantage of
7 you.

8 DR. GERBER: I see. But my general
9 feeling is that the majority of patients who fail
10 therapy right now, hard therapy, fail because of non-
11 adherence, I think. I mean, that's my feeling. And
12 I was struck by the data that Margaret Fichel
13 presented at the last retroviral meeting with directly
14 observed therapy. Nobody failed. Everybody was below
15 400 with directly observed therapy, which should
16 immediately tell us that maybe the problem is not
17 necessarily that the drugs are not potent enough, but
18 that it's the drug-taking behavior that could be the
19 problem, just to throw it out.

20 CHAIRMAN GULICK: Dr. Jolson?

21 DR. JOLSON: I wonder if I could just
22 probe a little bit further with Dr. Gerber. I wasn't

1 clear, and maybe you can clarify in terms of your
2 level of comfort for a C_{min} that's at least what the
3 prior regimen demonstrated.

4 And I think we would agree that if the
5 exposures were fairly similar or a little bit better
6 than the current regimen, that that wouldn't be a
7 problem. But what point would you start being
8 uncomfortable that the PK profile is different enough
9 that you would need to have some clinical data
10 where -- and just to remind you of some of the
11 examples this morning that Dr. Reynolds gave, where
12 the C_{max} is substantially reduced or the time profile
13 is significantly altered. Do you have any feel for
14 what would trigger the need for more data?

15 DR. GERBER: Maybe I spoke too much. But,
16 I mean, I think if the -- the way I view it, I mean,
17 the C_{max} determines toxicity. If the C_{max} is obviously
18 much higher, then your concern should be toxicity. If
19 the C_{min} is lower than in the previous regimen, then I
20 think you should be concerned with efficacy. But it
21 also depends on what drug we're talking about and how
22 far above the inhibitory concentration, whatever, you

1 are with that drug.

2 I mean, the problem was with the protease
3 inhibitors that you're so close to the breaking point,
4 in terms of efficacy, that there small changes could
5 make large differences. I think a drug like
6 Nevirapine, where you're very, very much higher, I
7 think there the problem is the resistance issue, the
8 1A mutation which is in the background with everybody.
9 So those are -- so the resistance, as well as the
10 kinetic issue, kind of balance each other.

11 It's much more difficult to develop
12 resistance to PIs than to NNRTIs. So you got to
13 balance those two. But I think what I said before, if
14 the C_{max} is higher, I would be concerned about
15 toxicity; if the C_{min} is lower I would be concerned
16 with efficacy, especially in terms of PIs. But I'll
17 be happy to see what other people would say.

18 DR. FLEXNER: As a follow-up to that, I'd
19 be curious, if we polled all of the pharmacologists
20 here, how many of them think C_{max} is an important
21 determinant of antiretroviral efficacy. Does anybody
22 think it's an important determinant of antiretroviral

1 efficacy? If you have two regimens that have an
2 identical C_{min} , but one has a lower C_{max} , do you think
3 that regimen's more likely to fail?

4 DR. POMERANTZ: I'm not a pharmacologist,
5 but that was my -- part of my question. I mean,
6 clearly I see what you're saying, Charlie, that that
7 came up. If you're thinking about a drug that has the
8 same -- or the same drug given different ways, both
9 have the same, say, C_{min} , but you have a pretty flat
10 curve with a low C_{max} , you could come up with a
11 scenario where --

12 An example, protease inhibitors seem to
13 work, at least *in vitro*, relatively poorly compared to
14 T-cells and macrophages. If you have a lower C_{max} , you
15 may see nothing for the time where most of what you're
16 doing is active viral replication, 99 percent
17 inactively replicating T-cells. But if you let this
18 go on for a while, using now the macrophage as a way
19 to make virus, which, by its definition, will
20 eventually become resistant in sub-inhibitory therapy,
21 you could come up with, hypothetically, a way where a
22 low C_{max} , the same C_{min} , would lead eventually to

1 resistance. Whether that's happened or not, I think
2 you got to keep trying it to see whether you're going
3 to prove the point.

4 DR. ACOSTA: Just real quick, I think
5 another issue that we need to -- to the effect of what
6 Terry and John were discussing were two different
7 regimens, if one C_{min} is similar to the one regimen as
8 the other regimen, is that equivalent? And I would
9 just like to add that it may be, but we need to insure
10 that the C_{min} in the first regimen is the right C_{min} .
11 If we know that, then fine. But if we don't, or if we
12 have questions on that, then no, I would disagree with
13 both John and Terry on that issue.

14 CHAIRMAN GULICK: Dr. Masur?

15 DR. MASUR: I guess I would like to get
16 back to what the FDA is asking us. Because over the
17 last ten years on the panel we have tried to focus on
18 how rigorous the evidence is that a given drug is safe
19 and effective. We're now getting into speculation as
20 to whether we think that various parameters are
21 useful.

22 I guess the question is: Do you want our

1 opinion on what we think is logical, or do you want
2 our opinion as to whether the evidence suggests that
3 we can provide you with operational definitions for
4 what is equivalent and what isn't? So, I mean, I'm
5 interested that we get an opinion, but the question
6 is: Is it based on data or is it based on logic?

7 CHAIRMAN GULICK: And I guess the first
8 comment made today was that although people have
9 feelings about it, the data's incomplete. It's not
10 there to support it. So you've kind of brought us
11 around full-circle, which is a good place to be.

12 Dr. Blaschke, you want to have the last
13 word, and we need to move.

14 DR. BLASCHKE: Well, I don't know if it's
15 the last word, but I do think Henry's raised a very
16 important question, in that we do have to separate the
17 issue of what is the right C_{min} from what is
18 therapeutic equivalence, as far as the FDA is
19 concerned.

20 Having sat on the Generic Drugs Advisory
21 Committee for four years, the question here is
22 therapeutic equivalence. And if we say that we can

1 achieve the same -- even if it's bad -- but the same
2 therapeutic equivalence with a different regimen, a
3 different dosing regimen, then that's really what
4 we're -- what the FDA is asked to approve. Not to
5 ask -- not asked to say: Well, is this a better
6 regimen? Company wants to come in and show it's a
7 better regimen, that's fine. But I think it is
8 therapeutic equivalence. And while an important
9 question is what is the best C_{min} , or what C_{min} should
10 be the target, that's a different question than I
11 think the FDA is addressing in its regulations.

12 CHAIRMAN GULICK: Dr. Jolson?

13 DR. JOLSON: Just to get back to Dr.
14 Masur's point, and also Dr. Blaschke's, I know I heard
15 the word "reasonable" come up. And it probably is
16 worthwhile, since you brought it out, to separate what
17 we think is reasonable, and perhaps reasonable in
18 clinical practice to do, versus what the regulatory
19 standard for drug approval should be.

20 And that's what Dr. Reynolds was bringing
21 out a little bit in her talk today; about, although
22 the logic is similar, the level of evidence has to be

1 different because that's what the law requires. It
2 requires substantial evidence, and we may interpret
3 substantial evidence differently, depending on what
4 end of the spectrum of drug development you are. But
5 we really have to think that there's compelling
6 evidence.

7 And to answer your question, it's based on
8 judgement, but it really needs to be based on data.
9 Otherwise opinions will change with time, and that
10 that really doesn't form the basis for regulatory
11 approval.

12 So in terms of what we're asking the
13 Committee to grapple with, is really what should the
14 standard of evidence be, versus a little different
15 than what's reasonable to do in clinical practice and
16 what seems intuitively reasonable.

17 CHAIRMAN GULICK: So can we pose the
18 question again. Is there enough data available today
19 to say that if we have two regimens, one in which we
20 know the PK -- or the virologic efficacy, and the
21 other which we know the two sets of PK parameters, can
22 we say that the regimens are similar based on the PK

1 parameters alone? Do we know enough to make that
2 jump?

3 DR. GERBER: Well, I think the problem is
4 -- and I hate to bring this up, but I'm going to --
5 adherence. And if one regimen is simpler than the
6 other, then sometimes it may look -- even if the PK is
7 not quite as good, it may look better. Because
8 there's more to prescribing than PK. There is also a
9 step in-between of taking the drugs. And I think
10 that's a component that I think we've underestimated
11 or we have not paid as much attention to as we should.

12 So if you have two regimens that give you
13 the same C_{min} , but one is impossible to take, the other
14 one is very easy to take, you may turn out to have a
15 totally different result when you look at efficacy.
16 So you got to take -- there is something in-between.

17 CHAIRMAN GULICK: Dr. Fletcher?

18 DR. FLETCHER: To answer the question, I
19 think across the board the answer would be no, we
20 don't. I think to try to -- how would you get at it,
21 though? I think it would come back to what is the --
22 the strength of, you know, the pharmacodynamic

1 relationship.

2 And if you have a drug that -- let's say
3 you have a very good, strong quantity of understanding
4 of the relationship between either dose and effect, or
5 concentration and effect, then I think there you can
6 feel better about making the decision on an
7 alternative dosing regimen on the strength of PK only.
8 But as those relationships become poor and as they
9 become more qualitative, there I think you have to
10 feel less confident about making the decision based
11 upon only PKs, and you have to bring in, then, the
12 clinical -- you know, the clinical trials. But right
13 now you can't make a -- make any decision across the
14 board for -- you know, for all these drugs.

15 CHAIRMAN GULICK: Okay. Boy, we solved
16 that one, didn't we.

17 (Laughter)

18 So, just to try to summarize what people
19 said a little bit, there was a groundswell of support
20 for the traditional PK parameters, and I guess people
21 lean very heavily towards C_{min} as being associated with
22 virologic efficacy, although people left room for AUC

1 and even the possibility of C_{max} as having some impact
2 there.

3 Also, just basic principles that this is
4 a concentration response relationship that we're
5 talking here, and that it's also highly complicated.
6 There are a number of other variables that may come
7 into play.

8 DR. FLEXNER: Dr. Gulick?

9 CHAIRMAN GULICK: Yes, Charlie.

10 DR. FLEXNER: I guess the one place where
11 it's obvious you should be able to use PK data alone
12 is in bioequivalence study, and I think that's still
13 legitimate. The guidelines that the FDA has
14 established I agree with, although it's evident from
15 the presentations this morning that the agency's
16 willing to look at studies individually and think
17 creatively, based on the data presented, even if the
18 PK parameters fall outside of the established
19 bioequivalence guidelines; to believe that it's
20 reasonable to approve formulation based on scientific
21 grounds. And I would completely support that and hope
22 everybody around the table would, also. So I think

1 that bioequivalence -- but think creatively -- is a
2 good guideline.

3 CHAIRMAN GULICK: Dr. Yogev?

4 DR. YOGEV: Just one small point. I have
5 a problem that the bioequivalence allow a lower level.
6 And I think at least the lower should be as low as the
7 previous one and not allow more lower, because already
8 know it's not effective. We allow even more, just a
9 population question, I can show you statistically in
10 another 40 patient you'll get the same, only sacrifice
11 a number of patient because it went lower. So I think
12 bioequivalence, we need no lower than what was
13 approved the previous one, and then the upper range,
14 whatever it is, and decide.

15 CHAIRMAN GULICK: Dr. Bertino?

16 DR. BERTINO: Some of this actually has
17 been -- this has come up for other drugs, like
18 Warfarin and Levothyroxine and things like that, to
19 say the 125 percent range being too wide. And
20 actually in -- in the private sector this has been
21 solved by companies marketing, for example, generic
22 Warfarin and getting hammered by the Coumadin people,

1 so therefore they tightened up their own range on
2 their own. That was outside of FDA standards. So
3 that's another potential way. Once these agents start
4 becoming generic, for example, that this may be solved
5 by industry.

6 CHAIRMAN GULICK: Dr. Piscitelli?

7 DR. PISCITELLI: I don't think our problem
8 really is with these scenarios where the C_{min} is
9 similar. I think what we're faced with is you have a
10 drug with a trough of 150, and now it's 2000 because
11 we've added Ritonavir to it, or we have a prodrug that
12 comes along that raises the concentrations fivefold.
13 So I don't think we need -- clearly, if the C_{min} s are
14 very similar or close, then clinical data may be
15 needed.

16 But I think we're more faced with the
17 issues of what happens when the trough level is
18 fivefold or tenfold greater, then I think it's a
19 safety issue. I think many people at this table would
20 think the efficacy hopefully would be there.

21 DR. YOGEV: I was just relating to the
22 more common currently is TID, BID, QD. And I don't

1 know if you noticed one of the slides which was shown
2 twice, on QD at 12 hours the C_{min} was the same.
3 Therefore, the next one follows the QD you have no
4 drug. And I don't think that should be acceptable.
5 And that's what I'm trying to draw attention. But
6 you're also correct, the safety of the other one. But
7 if you change and you don't have -- at least have the
8 minimum that you had before, that's what I'm talking
9 about, possibility of keeping the efficacy.

10 CHAIRMAN GULICK: Can we flip to the next
11 slide and consider the four parts to this question.
12 We've already raised some of them. But just -- yes,
13 that was the introduction.

14 So what data are needed to rule out -- we
15 talked a lot about ruling things in or how they were
16 important. But what data are needed to rule out the
17 relevance of any specific exposure measure to
18 efficacy? That's a tough question.

19 DR. FLEXNER: (Inaudible)

20 CHAIRMAN GULICK: Okay. Thank you.

21 DR. FLEXNER: I mean, that comment was a
22 little bit flippant, for those of you in the audience.

1 I said randomize prospective control of clinical
2 trial.

3 But I guess I don't think we can give you
4 a definitive answer to this question. But I would
5 exert a cautionary note here in using retrospective
6 cross-sectional observational data to address any of
7 these questions, because I think those studies are so
8 subject to confounding that they're not going to
9 answer these questions definitively. They can provide
10 insight and they can provide hypotheses that can then
11 be tested. But if we're talking about weighted
12 evidence, the kind of studies that Dr. Hoetelmans
13 reviewed this morning -- most of which were
14 retrospective observational cross-sectional studies --
15 I don't think answer any of these questions.

16 DR. ACOSTA: I agree with that, for the
17 most part, also. A prospective trial really is what's
18 needed, with the incorporation -- solid incorporation
19 of pharmacologic PK evaluation at some point during
20 the trial, whether it's population PK or intensive
21 PKs. And I'm not a statistician, but I would guess
22 something along the line of a large, multi variate

1 analysis at the end of the study to see which
2 parameters might fall off the -- into efficacy
3 variable.

4 CHAIRMAN GULICK: Dr. Schapiro?

5 DR. SCHAPIRO: The truth of the matter, I
6 think even that -- it's more complex than that,
7 because our output and efficacy is not always
8 reduction in viral load. For just taking the example,
9 we sort of bashed C_{max} a little bit. But I think body
10 compartments are an important issue. And to do a
11 study, we may find in pediatrics, at some CNS,
12 improvement is related to C_{max} . And unless we study
13 where that's an outcome, we'll miss it.

14 We may consider, for some of those,
15 transmission to be important, and therefore we want a
16 clear C_{min} . That may depend on C_{max} . We don't know
17 that. And even if we were to have a study that looked
18 at viral load, that's not our all-outcome. Therefore,
19 I think until we can do 1A, it's really far off. And
20 I think that to say you can rule those out, I don't
21 think we'll be able to do it.

22 I think honestly we really are going on

1 very little evidence. There are a lot of outcomes of
2 HIV which are important, which are not in our studies,
3 and they also depend on which patient population it
4 is. You may find body compartments which really are
5 dependent on C_{max} . I would say that we can't rule them
6 out, but I think we can say that for some parameters
7 we know what's important, and we have to accept that
8 we might be missing some others. And I don't think
9 we'll be able to improve on that within the next
10 couple of years, even if we do a big study.

11 CHAIRMAN GULICK: Dr. Bertino?

12 DR. BERTINO: Dr. Gulick, I just would
13 like to ask you if it would be all right for Dr.
14 Pomerantz just to review, for those of us around the
15 table that aren't really up with this, kind of the
16 relationship with HIV in terms of the different
17 exposures in AUC, C_{max} , C_{min} , and if there's a
18 relationship with those. That might help us -- help
19 me.

20 CHAIRMAN GULICK: Do you want to give that
21 a shot?

22 DR. POMERANTZ: I'll do it.

1 CHAIRMAN GULICK: Okay.

2 DR. POMERANTZ: *In vitro*, as some of
3 you -- I mean, if you read the papers from the
4 different laboratories, it is much harder to get the
5 numbers that you're used to doing *in vivo* to an *in*
6 *vitro* analysis because, as you remember, this is not
7 bacteria. You are dealing with an integrating latent
8 or lowly productive virus. And because of that, it
9 depends on what you measure per each drug to determine
10 whether the virus -- and particular let's take a wild-
11 type virus -- is quote-unquote, "sensitive to it" and
12 what relates to its area under the curve, the amount
13 of time that you treat in cultured C_{max} or C_{min} , if you
14 will, if you can even say that *in vitro*.

15 If you take a virus that is already
16 integrated, you take chronically infected cells --
17 which is what most people have, remember, they're
18 chronically infected cells and you're treating them --
19 you're not treating them at the same time that you
20 give virus. People don't usually get it before they
21 get -- before they seroconvert. And because of that,
22 you will have a very different parameters based on the

1 type of drug you use.

2 If you use something that inhibits a pre-
3 integration step, by definition an anti-RT drug, you
4 will, in those cases, have the same level of
5 production. You won't knock down the amount of virus,
6 because they will still have these chronically
7 infected cells churning out virus. So if you use an
8 RT drug, you'll see it just sort of stay the same.
9 And there the amount will vary, the effect will vary
10 basically on your C_{max} .

11 If you're dealing with a post-integration
12 drug, in this case in the ones that we have available,
13 which are the protease inhibitors, protease inhibitors
14 are even more complicated. Because again, in an *in*
15 *vitro* study where you have chronically producing
16 cells, it depends on how you measure the viral output
17 to determine whether you're having an effect based on
18 C_{min} ; if you will, C_{max} . If you look at P24 antigen,
19 remember that protease inhibitors stop the protease,
20 so you don't get P24. That'll go way down. But if
21 you look at, let's say, viral RNA, there's very little
22 change.

1 So it depends on whether you're dealing
2 with an *in vitro* study that already has infected --
3 chronically infected cells, whether you're looking at
4 a pre-integration or a post-integration inhibitor.
5 And therefore it's very different from what you're
6 trying to figure out *in vivo*. That's why I sort of,
7 at the very beginning of this whole thing, at least
8 from a basic virology perspective, would take IC_{50} s
9 and things that are doing *in vitro* somewhat -- I look
10 at them a little carefully before you make them
11 important *in vivo*. They're good ballparks to tell you
12 that an antiviral is going to have possibly some
13 effect.

14 But there's so much difference, depending
15 on the cell type you use, whether it's chronically
16 infected, whether it's actually being killed by the
17 virus as opposed to just replicating as a chronically
18 infected macrophage will, and whether you're dealing
19 with a pre- or post-integration inhibitor, then using
20 IC_{50} , or conversely, trying to do AUC or C_{max} , C_{min} in an
21 *in vitro* system is somewhat problematic. I hope that
22 was quick enough.

1 CHAIRMAN GULICK: Thanks. Let's move on.

2 What is the role of intracellular
3 concentrations -- this came up a little bit earlier --
4 in the evaluation of new formulations and alternative
5 dosing regimens for approved nucleosides. Dr.
6 Fletcher?

7 DR. FLETCHER: From a regulatory point of
8 view, I don't -- I'm not sure there is one yet. The
9 assays for these drugs are technically very difficult.
10 They are feasible, but they're not yet, I believe,
11 ready to form a basis for a regulatory approval. That
12 may well come in time, but not right now.

13 CHAIRMAN GULICK: Has there been any study
14 that's correlated intracellular concentrations with
15 virologic parameters for nucleosides, intracellular?

16 DR. FLETCHER: Yes.

17 CHAIRMAN GULICK: Okay. Thank you.

18 (Laughter)

19 DR. FLETCHER: I was trying to be quick.
20 Yes, I mean, there are data, both published and in an
21 abstract form and emerging, that are showing
22 relationships between the triphosphate concentrations.

1 It's been shown for AZT and been shown for 3TC, and I
2 think coming for D4T and some measure of response.
3 But the numbers are very small.

4 CHAIRMAN GULICK: Dr. Flexner?

5 DR. FLEXNER: Just to add to that a
6 comment I made earlier, that it may not be necessary
7 for most nucleoside analogs. That is, plasma
8 concentrations may correlate linearly with
9 intracellular concentrations over most of the human
10 concentration range, such that you don't need to
11 measure intracellular triphosphate, you can estimate
12 from plasma concentrations what kind of changes you'd
13 expect to see in intracellular triphosphate for every
14 drug except AZT.

15 CHAIRMAN GULICK: So there's reasonable --

16 DR. FLETCHER: And I agree with Charles.
17 I think to get to that point we have to measure the
18 triphosphates and then count back, so that we can
19 understand that relationship. But I think that may
20 well be the case, that in a sense we could be able to
21 use plasma nucleoside concentrations as a surrogate,
22 if you will, for the intracellular triphosphate.

1 CHAIRMAN GULICK: And there's enough data
2 to say that today, that there's a very good
3 correlation between those two?

4 DR. FLEXNER: I can't comment about
5 investigational nucleosides, but I think for D4T, for
6 3TC, for -- let me think about it -- DDI probably.
7 Well, the problem with DDI is you can only measure
8 intracellular triphosphates *in vitro* with radio-
9 labeled drug because there's no good assay for DDATP.
10 But I think for at least two of the approved
11 nucleosides that's true, there is both *in vitro* and *in*
12 *vivo* data that support the relationship between
13 concentrations of parent drug outside the cell and
14 concentrations of triphosphate inside the cell. And
15 based on *in vitro* data, I think that's true of the
16 other nucleoside analogs that are out there.

17 CHAIRMAN GULICK: Dr. Yogev, you're
18 shaking your head.

19 DR. YOGEV: Yes, well, for a non-
20 pharmacology, I'll pretend to be one. The data are
21 very limited. But what's fascinating, they are much
22 better correlated with what happened to the viral load

1 and AZT. And when you have three drug tested and two
2 of them correlate and one doesn't, I think it would be
3 dangerous to suggest that every new one would be the
4 same. Prove it to me and then I agree with you.

5 So we need some preliminary data, because
6 what is always fascinating, and Dr. Somatozi made a
7 career out of is how beautifully they did correlate.
8 And that's why many of us thought that the
9 intracellular is much more important and accepted the
10 DDI without good data yet. And just recently some
11 data to suggest we are not correct.

12 So I think we need to have them, and
13 because we don't have them, we shouldn't enforce them.
14 But when they are available, that should be the
15 standard.

16 DR. FLEXNER: I tried to apply the
17 standard of reasonableness. I mean, if you wanted to
18 get crazy, you could require measuring free drug
19 concentrations and correlating those with outcome, or
20 measuring intracellular concentrations of protease
21 inhibitors, as Dr. Hoetelmans suggested. But I
22 think what I'm talking about is looking at available

1 *in vitro* data and available *in vivo* data, and saying
2 what's reasonable.

3 CHAIRMAN GULICK: It's interesting, to get
4 back to Dr. Jolson's point about the word
5 "reasonable," what's reasonable to make the jump, and
6 then what's reasonable from a regulatory point of view
7 may be different hurdles to jump. Dr. Fletcher?

8 DR. FLETCHER: Just another quick comment
9 again. I think Charles is right, that if we're
10 looking at alternative dosing regimens, that at least
11 the part of that that I've not seen yet, Charles, is
12 where you could change -- let's say for D4T, that you
13 could change the dose of D4T, therefore change the
14 plasma concentrations, and in some manner also change
15 the intracellular. So, in thinking about it from a
16 basis to change dose measurements, that's the part
17 that I'm not certain of yet, and I'd like someone to
18 show that that system does work.

19 CHAIRMAN GULICK: Dr. Schapiro?

20 DR. SCHAPIRO: Just a quick comment on the
21 protease inhibitors. I agree that reasonable is an
22 issue, but I think there's still a lot to be

1 determined about what happens with the protease
2 inhibitors from outside to inside the cell. As Dr.
3 Hoetelmans mentioned, there's some very conflicting
4 data to what degree protease inhibitors accumulate.
5 Do they accumulate differently; and is or is this not
6 affected by drugs such as Ritonavir.

7 I think the data is weak, but I think we
8 consider a drug level to be sort of, again, the end of
9 the journey. But if a lot happens between that drug
10 level and when the bug sees the drug inside the cell,
11 that could become important. I think right now it'd
12 probably be a lot of work to do that, but I wouldn't
13 be surprised if ultimately, when we start combining
14 drugs which possibly work on these transports of the
15 cell, we see that we lose some of the correlation
16 between the drug level and the intracellular drug
17 level. I think it's something to at least keep in
18 mind. And it might explain why it might be more
19 challenging to get these correlations when we start
20 doing combined PI or combining drugs that work on
21 these.

22 In addition, we might find in the future

1 that there are other agents which increase drug levels
2 which also may work on the cell transport systems, and
3 that might have implications. Again, to what degree
4 does the drug level correlate with efficacy?

5 DR. FLEXNER: I'm beginning to think that
6 for some of these scenarios it might be quicker and
7 cheaper just to do a 500 patient safety and efficacy
8 trial than to do a 30 patient intensive intracellular,
9 nucleoside, triphosphate, PI, et cetera, protein
10 binding, blah, blah, blah study.

11 CHAIRMAN GULICK: So Dr. Flexner has very
12 strategically moved us on to the next question.

13 (Laughter)

14 What circumstances would clinical efficacy
15 data be necessary? So you made the suggestion that we
16 do a large clinical efficacy trial.

17 (Laughter)

18 DR. FLETCHER: I may be an exception here,
19 but I feel confident enough about just simply
20 measuring plasma concentrations of protease inhibitors
21 and correlating that with outcome, that if someone
22 gave me data from two different protease inhibitor

1 formulations, and the trough concentrations were
2 identical or the trough concentrations of one regimen
3 were higher than the other, I would feel confident
4 that they were very likely to be equivalent in terms
5 of efficacy. But if the C_{max} was higher, maybe
6 there'd be a greater chance of toxicity, and if the C_{min}
7 were higher, maybe there'd be a greater chance of
8 toxicity.

9 So then the clinical data I'd want to see
10 was toxicity, the safety data, not so much antiviral
11 data. But having said that, sample size calculation
12 is such that I think, for most of these drugs, if you
13 do a safety and tolerability study, you'll wind up
14 with more viral efficacy data than you will safety and
15 toxicity data, given the incidence of major toxicities
16 for most of these agents, in that you can collect
17 antiretroviral efficacy data in everybody, but you
18 need a fairly sizable population to show that the
19 incidence of nephrolithiasis is different or the
20 incidence of lipodystrophy is different.

21 So it may be a moot point to say we're
22 only going to do -- it may be irrelevant to say we

1 only want you to collect safety and tolerability data,
2 because inevitably, if you do such a study, you're
3 also going to collect anti-HIV data, and so you'll
4 have that as well.

5 CHAIRMAN GULICK: So, in a sense, you're
6 implying that any new formulation of a protease
7 inhibitor would take into account the C_{min} right from
8 the beginning, that it wouldn't be acceptable for
9 someone to come in with a lower C_{min} with a new
10 formulation?

11 DR. FLEXNER: Unless they also had
12 clinical data to back that up with saying that even
13 though we dropped the C_{min} , yes, we did not affect anti
14 virologic outcome at 24 weeks or 48 weeks.

15 CHAIRMAN GULICK: So that would be an
16 example of something you'd like to see the clinical
17 efficacy data to support?

18 DR. FLEXNER: If you lowered the C_{min} .
19 Yes.

20 CHAIRMAN GULICK: But in other cases you
21 feel comfortable? "

22 DR. FLEXNER: I do.

1 CHAIRMAN GULICK: Dr. Mathews?

2 DR. MATHEWS: Part of the problem is that
3 changing a formulation obviously changes more than
4 just the pharmacokinetics. And so if you consider the
5 major factors other people have already mentioned,
6 adherence and dropouts from participation in a trial
7 are going to be affected by formulation which is
8 designed to improve, say, tolerability or improve C_{min} .

9 And so it's hard for me to think of a
10 situation right now, given the data that's been
11 presented, where you wouldn't want to have clinical
12 data on long-term outcomes. Let's just say you've had
13 a scenario where somebody presented data that said,
14 "All right, the C_{min} 's less, but there's 20 percent
15 fewer dropouts, and the average virologic response,
16 because adherence is better, is equivalent or superior
17 in the arm that had the lower C_{min} , you know."

18 And if you think back on the issue that --
19 for example, with the tovaquonin receptor evaluation
20 of pneumocystis treatment where you had a drug that
21 appeared equivalent on "balance, but actually was
22 probably inferior on an efficacy basis. So I'm not

1 sure right now in my own mind that I would be
2 persuaded that pharmacokinetic data, in the absence of
3 clinical efficacy, would be fully acceptable for
4 regulatory purposes.

5 CHAIRMAN GULICK: Dr. Wong?

6 DR. WONG: I agree with that. I haven't
7 heard anything today that would convince me, I think,
8 if it came to a vote to prove something in the absence
9 of any clinical efficacy data.

10 DR. FLEXNER: What if you had two
11 formulations of DDI that had identical pharmacokinetic
12 parameters --

13 DR. WONG: Right. I believe that if it's
14 identical --

15 DR. FLEXNER: -- for different
16 formulations?

17 DR. WONG: Right. If it's identical, it's
18 identical. But as soon as we get off of that --

19 So if it's equivalent, if we're talking
20 about the same thing as a generic substitution, right.
21 So absolute bioequivalence^{**}, I would say fine. But I
22 haven't heard anything today that would convince me

1 that we should proceed with a change of formulation,
2 change of dosage in any situation that I can think of
3 in which I'd just say, "Don't do the clinical study;
4 we don't care." I just can't imagine it.

5 CHAIRMAN GULICK: Dr. Pomerantz?

6 DR. POMERANTZ: I'm not disagreeing. But
7 I would ask you, then: What clinical study would you
8 require of this hypothetical little new drug from this
9 hypothetical company? Would you say, "I need 24 weeks
10 that shows similar efficacy"? Do you want 48 weeks to
11 show similar -- what do you want, then? Because if
12 you -- I'm not disagreeing.

13 DR. WONG: I guess that I can't answer in
14 the hypothetical case. We'd really have to -- it'd
15 depend on the individual drug. But clearly, if it's
16 a drug -- I mean, we ordinarily expect a sponsor to
17 demonstrate that the drug is efficacious. So if the
18 drug is already known to be efficacious, the standard
19 of evidence is less than it would have been the first
20 time through.

21 But I don't think the standard of evidence
22 is zero. That's all I'm saying. And deducing from

1 first principles that it has to be other than zero
2 would not be good.

3 DR. POMERANTZ: Just to finish that, I
4 mean, you've heard me talk. I don't disagree with
5 you. I have probably as much evidence as anyone,
6 because we've all spoken in the hypothetical.

7 But, I mean, with all due respect, if
8 you've shown C_{min} as being the *sine qua non* -- that if
9 everything else is different that we would just say
10 let it pass -- I probably, going with Dr. Wong on this
11 Committee on a vote, would not vote in that way
12 without being shown something else. I am somewhat
13 troubled with what I would ask for otherwise, though.
14 And would I be willing, in certain cases, to say 24 is
15 enough because it's sort of close, or would I make
16 them go 48? I don't know.

17 But I also, a non-pharmacologist, have not
18 been convinced that in the cases, with except an
19 identical curve in the formulation, that I would vote
20 that you don't need any clinical data.

21 DR. ACOSTA: Yes. And I don't completely
22 disagree with both of you, either. However, Indinavir

1 BID and Indinavir Q8 were similar at 16 weeks, but
2 were not similar at 24 weeks.

3 DR. POMERANTZ: Well, that's why I said
4 that.

5 DR. ACOSTA: And so the point here is, to
6 get back to your comment, it's a matter of time.

7 CHAIRMAN GULICK: Dr. Yogev?

8 DR. YOGEV: I think I try to jump into
9 some. What I would like to suggest is you don't need
10 that many number of patient or do you need the lengths
11 of time. Because, interesting enough, we saw in those
12 drug we saw side effect on an equivalent
13 pharmacological dose, area under the curve where the
14 C_{max} was different, C_{min} was different in Ritonavir,
15 that they had more toxicity after 24 weeks, to our
16 surprise.

17 So you need to take the period of time
18 both for toxicity and efficacy, but they probably can
19 cut down the number of patients. I don't think we
20 need 500. We can take just one number, if we get a
21 trend is there, but for longer period of time, and
22 check for the safety and tolerability.

1 CHAIRMAN GULICK: Dr. Fletcher?

2 DR. FLEXNER: Flexner.

3 CHAIRMAN GULICK: Flexner. Sorry.

4 DR. FLEXNER: That's okay.

5 (Laughter)

6 I'm flattered to be confused with
7 Courtney.

8 (Laughter)

9 I guess personally I have not been holding
10 out C_{min} as a standard for which there is the weight of
11 evidence. I'm simply presenting what a big group of
12 pharmacologists, I think, take to be logical. And, as
13 Dr. Jolson pointed out earlier, those two things have
14 different implications for a regulatory agency.

15 I do, however, want to point out that if
16 this Committee does choose to apply a standard that
17 anything other than absolute bioequivalence warrants
18 a new clinical efficacy study, that has major
19 implications for how rapidly new formulations -- not
20 to mention new drugs -- will be developed for this
21 disease. And maybe that's not all that bad, with 14
22 drugs already on the market. But that is a real

1 consequence of making that recommendation.

2 CHAIRMAN GULICK: Dr. Jolson?

3 DR. JOLSON: And just for clarification,
4 so it's sort of clear what scenarios we're talking
5 about, I don't think we're putting that out on the
6 table. Because I think I just wanted you all to
7 remember, in Dr. Reynolds' example, that we do
8 recognize the need for flexibility when new
9 formulations may not be bioequivalent according to the
10 definition, but there's other extraneous data that we
11 can use to more flexibly look at it and say that we
12 can make a reasonable judgement that the drugs are
13 going to be similar or not. And she used the example
14 of the newer formulation of Ritonavir.

15 We're really talking about we need your
16 advice for the scenarios where that's not the case,
17 where we can't use external data to make the link, and
18 we're faced with those pharmacokinetic profiles that,
19 at least on a figure, look like different. And the
20 question is: How do we know that those differences
21 are clinically significant?

22 So we're not putting that out for sort of

1 a change in the way the agency would handle that,
2 because that's really a different issue.

3 CHAIRMAN GULICK: So I guess there's a
4 groundswell of opinion that a lot of times you can't
5 tell that there's no clinical significance when the
6 curve's quite different or the parameters are quite
7 different.

8 Let's move to 1-D, which is an issue that
9 we've only touched on briefly. Are these
10 relationships applicable to achieve in experienced
11 patients, given that most of the studies have been
12 done in naive patients? Dr. Schapiro?

13 DR. SCHAPIRO: I think this is a very
14 important issue, and I think here, although we have
15 many drugs, we don't have many drugs for these
16 patients. So I think in this patient population
17 there's a dire need to find new drugs or to find new
18 applications for the drugs we have.

19 And I think that, especially when
20 combining protease inhibitors, we have found that for
21 these patient population we can do better when we use
22 the same drugs. And there's some preliminary

1 evidence, even in the book we received from Zolopa's
2 study from Stanford and some others, that there
3 actually -- it does matter how you give these drugs.
4 So I think this is a calculation which has not been
5 addressed enough, and definitely there's a need.

6 I think, looking at the issue of
7 resistance -- which is often very important in these
8 patients -- resistance, again, is relative. And as
9 the pharmacologists here have been discussing -- and
10 Terry mentioned you always have, in a continuation of
11 the curve -- we still have resistance. Resistance is
12 not a "yes or no" phenomenon. It never is. As you
13 accumulate mutations, you get more resistance, and
14 this continues basically to infinity. So,
15 theoretically, if you could increase drug exposure
16 enough, there would be no resistance, you would just
17 overcome whatever resistance there is by giving more
18 drug.

19 So I think for these populations the game
20 is totally different. I think the outcomes are
21 different. I think, in a very experienced patient
22 population, we don't always treat the patient

1 clinically, the outcome is not the same as what it'll
2 look in naive patients. I think if we take very
3 experienced patients and our outcome is "look at how
4 many are below fifty 48 weeks later," the study will
5 show nothing because the number will be very small.
6 But if you look possibly at those that a year later
7 have had a stable CD4 count and not had any clinical
8 progression, that might be very relevant in some very
9 experienced patients.

10 So I think everything we've been doing has
11 been very biased towards naive patients; and this
12 patient population, who don't have 14 drugs, needs to
13 be looked at different. I think the outcomes have to
14 be reconsidered. I think Steve Deeks of San
15 Francisco, and others, have been doing a lot of work
16 looking at this patient population as different. I
17 think resistance here has to be considered as
18 relative.

19 And another issue here, I think: Are we
20 willing to pay a different price? I think the issues
21 of adherence and toxicity are different in this group.
22 I would definitely agree with John, in the naive

1 patients it's adherence, adherence, adherence,
2 adherence. I think in some of these patients, who
3 really are having clinical symptoms, I think we can
4 sometimes see patient improvement with their
5 adherence. But the issues here really are: Can we
6 get enough drug into the patient? And we may be
7 willing to accept greater toxicity in this patient
8 population than we would in a naive patient.

9 So I think it's really an informed issue.
10 I think you have to treat this patient population
11 differently, and even design the studies differently
12 when considering them.

13 And the last point would be, there's a
14 luxury in going to 48-week data. Like all tests, it's
15 a sensitivity-specificity tradeoff. The longer you
16 go, the greater your chances that you'll catch late
17 toxicity. But again, here, to demand 48-week follow-
18 up, these are patients who need the drugs more
19 urgently. As a regulatory agency, there may be also
20 some consideration accepting a little bit of a more
21 risk since these patients have a very great risk right
22 now for their life.

1 CHAIRMAN GULICK: Dr. Fletcher?

2 DR. FLETCHER: I agree with Jonathan. I
3 think the underlying response the nature of the
4 response is going to be the same. Concentrations are
5 going to matter. It's just they're going to be
6 different. So some EC_{50} that you find in a naive is
7 not going to be that in an experienced patient.

8 And so I think, from a regulatory point of
9 view, if a study for an alternative regimen was done
10 in naives, and let's say the drug had not exactly the
11 same PK profile -- C_{max} was a little bigger, C_{min} was a
12 little lower -- but after 48 weeks in a naive patient
13 population, no difference in proportion undetectable.
14 But to have confidence to extrapolate that to a
15 treatment experienced patient I think would be very
16 low.

17 CHAIRMAN GULICK: Dr. Struble?

18 DR. STRUBLE: So, Courtney, are you saying
19 that we should require data both in naive and
20 experienced patients when we're going from like a TID
21 to BID regimen, and it's not applicable to everyone?

22 DR. FLETCHER: Actually, yes.

1 DR. STRUBLE: I guess I'd like to hear
2 comments from other members how they feel about that,
3 as well.

4 DR. MASUR: Are most of the drugs approved
5 for both treatment in naive and treatment in
6 experienced patients? If there's no initial approval
7 for treatment experienced patients, it doesn't seem
8 any reason to -- it doesn't require the new
9 formulation.

10 DR. STRUBLE: The approval is for the
11 treatment of HIV infection. The majority of these
12 studies have been done in a naive population or a new
13 experienced population.

14 CHAIRMAN GULICK: Dr. Bertino?

15 DR. BERTINO: Dr. Struble, I take what
16 Courtney said a little further than that. I think the
17 agency needs to look at longitudinal data in both
18 naive and treatment experienced patients in terms of
19 PK. They need to look at patients at different time
20 periods in terms of viral load, or whatever marker you
21 want to use, for severity of disease to see if PK
22 changes, so that we can use these drugs more

1 effectively, more efficiently, and smarter, so maybe
2 we would avoid some of the other problems that we see.
3 So I think we need a lot more data in both those
4 populations in terms of PK data.

5 And also, specifically I would suggest
6 genotyping people, phenotyping people using accepted
7 markers, and then trying to correlate that with some
8 of the kinetics that we see.

9 CHAIRMAN GULICK: Dr. Pomerantz?

10 DR. POMERANTZ: I just want to remind
11 everyone when you use -- and I know you all know this.
12 But when you use the term "naive patient," that is a
13 running target that's changing.

14 When I had to write an editorial on this
15 last year, you saw Doug Richmond and David Ho's group
16 show that in the United States that primary resistance
17 was about two to three percent. It's now close to ten
18 percent in some places; in Europe it's in double-
19 digits routinely. And that's going to -- if we look
20 at what you can prognosticate, is going to change.
21 And unfortunately there may be less difference between
22 the treatment naive and the experienced as this goes

1 along, unfortunately. So I think you got to be
2 careful even when you put the naives.

3 CHAIRMAN GULICK: Dr. Yogev, and then Dr.
4 Blaschke.

5 DR. YOGEV: I think you should not forget
6 the patient. To me it's a completely different
7 population. An experienced patient over the more
8 advanced disease, kidney involved, liver involved,
9 some thing you don't even aware of, only to see if the
10 PK is even the same on the same dose, let alone
11 everything else we mention.

12 And we know from our own experience that
13 we have much more toxicity on the same level on
14 patient with AIDS, which we always confuse: Is it
15 AIDS or is it the drug? And in more than half of
16 them, when we stop the drug, they improve. So to me
17 it's completely different population that you have
18 really. And what was said over here by the person
19 from New York is, this is exactly the point. They
20 have to have a separate consideration.

21 CHAIRMAN GULICK: Dr. Blaschke?

22 DR. BLASCHKE: Well, I think Henry made a

1 key point here, and that is, most of the drugs that
2 are approved, when a company comes in and asking for
3 an alternative regimen, if they're asking just for an
4 alternative regimen, with no change in the label, then
5 I don't know that you could ask them to do a study,
6 for example, in a population that they didn't
7 originally study, and then demand or ask for
8 therapeutic equivalence.

9 I agree with everything that Jonathan has
10 said about the experienced patient. Those issues,
11 though, it seems to me, are issues for the research
12 community and for people involved in investigating the
13 best treatments for HIV, and we certainly should be
14 doing lots of trials looking at combinations and
15 alternative regimens and so forth in experienced
16 patients. But I think unless that is something that
17 the company wants to come in and ask for, a change in
18 the label of their drug, that it doesn't make sense,
19 from, again, a therapeutic equivalence point of view,
20 to ask them to do studies, for example, in experienced
21 patients, if the original approval was not based on
22 experienced patients.

1 CHAIRMAN GULICK: So the consensus seems
2 that the issues are quite different in treatment naive
3 and treatment experienced patients; and, reading
4 between the lines, that there is much less data
5 available for treatment experienced patients; and that
6 your thresholds for safety and efficacy are quite
7 different.

8 The second part of this question is asking
9 about: Are there cases where additional data are
10 necessary for different patient populations? We're
11 going to consider pediatrics separately. Other
12 populations that have been brought up over the day
13 have been pregnant women, breast-feeding women, people
14 with hepatitis C.

15 Comments? Dr. Flexner?

16 DR. FLEXNER: I just think we have enough
17 information about the pharmacokinetics of NNRTIs and
18 protease inhibitors and the relationship between
19 antiretroviral efficacy and drug concentrations, and
20 probably some inkling about relationship between drug
21 concentrations and toxicity, to begin to look more
22 systematically at patient populations where we know

1 there's going to be altered pharmacokinetics. And the
2 one place where that is most obvious is the patient
3 population that Jules brings up, and that is people
4 with hepatic insufficiency in general, not just
5 hepatitis C infection.

6 And so there is substantial data on
7 pharmacokinetics and hepatic insufficiency in some,
8 but not all, of the package inserts for the
9 antiretrovirals. And I would just encourage the
10 agency to encourage the industry to provide us with
11 more of that, because we are going to be taking care
12 of more patients with concurrent hepatic disease and
13 HIV disease, and it sure would be nice to have at
14 least rough guidelines about how to adjust doses in
15 those patients. And Dr. Gallicano's done several nice
16 studies on pharmacokinetics in patients with hepatic
17 insufficiency. There is some work out there, but we
18 need more of it.

19 CHAIRMAN GULICK: Okay. Let's turn to
20 safety issues, which we've already touched on several
21 times. I guess we haven't addressed this specific
22 question: Does the scientific data at present

1 correlate any particular exposure measure with
2 toxicity? We've heard a lot about C_{max} today provided
3 as an example. Dr. Gerber?

4 DR. GERBER: Well, I mean, I think you're
5 asking a difficult question. I think overall
6 exposure, if you increase the AUC overall exposure and
7 you increase the C_{min} 20-fold, you may have more
8 toxicity, irrespective of what the C_{max} is. I mean,
9 versus just seeing more drug over a period of time.
10 So there you would definitely need toxicity data as
11 part of the trial.

12 CHAIRMAN GULICK: Dr. Schapiro?

13 DR. SCHAPIRO: Well, I think, to answer
14 that question specifically, I think we don't have one
15 parameter because toxicity is not one event. I think
16 the mechanisms of different toxicities are different,
17 and therefore we really -- if we had trouble with
18 efficacy, this is much harder, since some of these are
19 hypersensitivity reactions, other accumulations. I
20 think here it's pretty safe to say that whatever we
21 said for efficacy, worse.**

22 CHAIRMAN GULICK: We got that.

1 (Laughter)

2 Next: What amount and duration of safety
3 data are needed to support new formulations or new
4 dosing regimens with increased exposure measures? So
5 I guess we're looking for duration of time.

6 DR. FLEXNER: I mean, it depends on what
7 you mean by "increase." If drug concentrations of an
8 AUC or a C_{\min} is increased by 25 percent, that has very
9 different implications than if the C_{\min} or AUC is
10 increased by five- or tenfold, as Dr. Piscitelli
11 points out. And I think the agency surely can take
12 that into consideration when they decide how to
13 approach a new formulation or a new regimen. And I
14 think higher standards of safety would be necessary,
15 the higher you push the drug concentrations with your
16 new regimens.

17 CHAIRMAN GULICK: So there are regimens
18 that we're using every day in clinic right now which
19 are many-fold greater than what's been tested, with no
20 safety information at all, or very little. What would
21 you require? Dr. Fletcher?

22 DR. FLETCHER: Well, to me it seems, in

1 general, in terms of at least the duration, you'd at
2 least want to mirror the period of time that whatever,
3 that adverse event profile looked like with the drug,
4 without the enhancement.

5 Nephrolithiasis is the only thing that
6 comes to my mind. But if that occurred -- let's say,
7 typically in 16 weeks -- and then we boost the drug,
8 I would at least want to see a safety database that
9 covered 16 weeks, so you've covered that same period
10 of time that the adverse reactions were known to occur
11 before you increased the exposure. Now, there's
12 probably good reasons to go beyond that, but at least
13 I'd cover the same period of time.

14 CHAIRMAN GULICK: Dr. Masur?

15 DR. MASUR: I mean, I would think that
16 we'd want at least the same 24- and 48-week data we
17 wanted before, because I guess there are two concerns.
18 One is to see what the frequency of the data that we
19 know to expect is. But the other issue I'm sure we're
20 all concerned about are the unusual events that either
21 occur at such low frequency we didn't pick them up at
22 all, or we didn't know if they're related to a higher

1 concentration. We may find that at 16 or 20 or 40
2 weeks they may suddenly become a substantial problem.
3 So I think I couldn't see any rationale in decreasing
4 the interval.

5 CHAIRMAN GULICK: I guess one of the other
6 challenges is for more infrequent side effects, such
7 as a hypersensitivity reaction. You'd need to study
8 large numbers of patients to pick that up with a
9 change of regimen, which is also a challenge.

10 Dr. Yogev?

11 DR. YOGEV: Just educate me why you think
12 the hypersensitivity would be higher with a higher
13 dose? The hypersensitivity, the beauty of it, it
14 doesn't matter on the dose. So, or we're missing
15 something, or we mean new, which I saw Dr. Masur was
16 afraid, something new. That, for example, a drug was
17 never toxic in a low level, become toxic in Week 26.
18 But hypersensitivity, for me, doesn't matter what the
19 dose is.

20 CHAIRMAN GULICK: I guess not everything
21 we call "hypersensitivity"* is true hypersensitivity.

22 DR. YOGEV: So we're talking about the

*

1 same, a new thing, new --

2 CHAIRMAN GULICK: I guess we're either
3 talking about new or uncommon toxicities, occur in two
4 percent or two percent of patients.

5 DR. YOGEV: But those should come at the
6 Stage 4. Clofenacol took three years to find out it's
7 one in 48,000 in California. So I don't think it'd be
8 fair to impose it up front. And in this type of
9 disease, the world -- high toxicity, the more
10 acceptable it is, just because of the severity of the
11 disease. So I think it's important to follow, but I
12 would not impose increase of time.

13 CHAIRMAN GULICK: Well, I guess you're
14 making a good case for post-marketing surveillance,
15 which is how some of these unusual toxicities happen.
16 Dr. Gerber?

17 DR. GERBER: I'd just like to say
18 something about hypersensitivity not concentration-
19 dependent. It is concentration-dependent; it just may
20 require a very small amount to cause a response. So
21 everything is really pharmacology concentration-
22 dependent. It just may require one molecule or two

1 molecules.

2 (Laughter)

3 I have to make that response.

4 CHAIRMAN GULICK: Thank you, Dr. Gerber.

5 Dr. Struble?

6 DR. STRUBLE: I guess I'd like to hear
7 some more comments on what amount of safety data is
8 needed. As with the Fortovase example, there were 500
9 patients followed for 16 to 24 weeks. As you know,
10 500 patients is typically what we require for a new
11 molecular entity. So you're saying that we should
12 require that same standard, or is there something in
13 the middle? I'd like to hear some comments on that.

14 CHAIRMAN GULICK: Dr. Schapiro?

15 DR. SCHAPIRO: Well, going back to the
16 introductory talk which focused on the fact that
17 regimens will then be used for a long time that aren't
18 being addressed by the agency, and what Trip said,
19 that in clinic we're using, all the time, combinations
20 which are not approved and there's nothing in the
21 insert. I definitely agree with what was said, that
22 you have to really, by the book, wait at least as long

1 as you waited for the lower dose. But we will then
2 have a situation where for a very long period of time
3 there'll be no guidance from the FDA for the
4 physician, and that's what's happening today.

5 I would bet that most of the drugs are not
6 being given the way they're labeled by the FDA today.
7 The protease inhibitors, at least, are not. And what
8 happens, there's a lot of confusion on these doses.
9 It was shown very nice in an introductory slide. And
10 I think a lot of the time what we're doing is, when
11 we're asked, "What dose should I give my patient?" you
12 know, it's a tough decision.

13 And there may be a need here for something
14 creative, and post-marketing or some way of doing
15 surveillance where it is allowed, especially if we
16 again go back to the issue of the very experienced
17 patients. Again, we may find that there's a dosage
18 which appears to give good efficacy, but we're not
19 sure about the toxicity. To wait 48 weeks until we're
20 really, really sure, I'm not sure that's the best
21 option. It's the safest option.

22 But if there's some sort of creative

1 solution where you can allow it, but demand the study
2 that follows up, it's something to consider in this
3 unique situation.

4 CHAIRMAN GULICK: Dr. Mathews?

5 DR. MATHEWS: Everybody's raising a real
6 serious point that's only getting worse, that we're
7 increasingly forced to practice in an environment
8 where we really don't know what we're doing. And
9 because many of us are believed to be experts, that
10 somehow adds some credibility to it.

11 It's my understanding that a sponsor
12 cannot promote a new regimen or a new combination
13 unless it's in the label; that's correct? And yet
14 it's going on all the time. Sub rosa people are
15 giving you slides that you can't say our company
16 supports this, but this is the data that doctor so-
17 and-so presented based on five or ten patients or
18 whatever.

19 So my bias would be that this somehow
20 needs to be tightened up. Otherwise there's going to
21 be no way to really control the outcome with these
22 kinds of therapeutic adventures.

1 CHAIRMAN GULICK: Dr. Jolson?

2 DR. JOLSON: Well, just to address Dr.
3 Mathews' point, and I think we understand it.
4 Actually this is an issue that the courts are debating
5 in terms of what pharmaceutical companies can disburse
6 by way of medical literature. And currently the court
7 has ruled that if something's published in the
8 literature, FDA can't prevent companies from
9 distributing it, because presumably anybody could go
10 get it out of the library or go search it on the Web.
11 So it's a controversial issue, and right now there's
12 no clear resolution of where that's going to end up.

13 CHAIRMAN GULICK: Dr. Kweder?

14 DR. KWEDER: Yes, and I would simply add
15 to that, that because the discussion often turns in
16 this direction, that there is no -- the definition of
17 "appearing in the literature" has, to date, been
18 considered very, very broad; some would say "loose."
19 So it might be literature that appears in your
20 mailbox, unsolicited in a pharmaceutical company-
21 sponsored journal, but has ^{**}some imprimatur of peer
22 review that would be acceptable, which would have the

1 same standard as an otherwise -- what most would
2 consider a rigorous scientific journal.

3 DR. ACOSTA: So that includes abstracts as
4 well?

5 DR. KWEDER: Yes.

6 DR. ACOSTA: Abstracts and peer review?

7 CHAIRMAN GULICK: Dr. Kumar?

8 DR. KUMAR: I want to add clinician's
9 perspective to what was just raised, and I want to
10 give you a very specific example. Last Thursday we
11 have a clinic in which number of different physicians
12 see patients. And as part of HCFA regulations, not
13 directly seeing patients they write lengthy notes.
14 And there were a combination -- four different
15 physicians wrote combinations for Efavirenz,
16 Amprenavir, and Ritonavir. And they're all esteemed
17 physicians. And the dosing was different, also.

18 And I actually called them up the next
19 day, on Friday, and said, "Can you give me a rationale
20 for this dosing?" And it all depended on, oh, that
21 conference or that speaker^{**} or that one put that in my
22 box.

1 So to me as a clinician, it has huge
2 implication, because there's no room for error. This
3 pharmacokinetic, all my colleagues spoke so eloquently
4 on what is C_{max} , C_{min} . But to a clinician, how many
5 angels do you want dancing on the head of a pin?

6 There's no room for error to a clinician.
7 And this is huge implications to us, that we are asked
8 to practice, and given a lot of -- little bit of data,
9 and we make mistakes that cannot then be modified.
10 And so I think we need to come to some kind of
11 understanding on what will be labeled and what
12 information is going to be given out.

13 CHAIRMAN GULICK: Dr. Jolson?

14 DR. JOLSON: That's an interesting point,
15 and I think we appreciate that sentiment. And I think
16 you're starting to see the dilemma that we're in. On
17 the one hand, we can be perceived as holding up
18 science or holding up drug development, allowing the
19 labels to get increasingly farther away from clinical
20 practice by our regulatory requirements and what are
21 perceived maybe as "unnecessary bureaucratic
22 requirements.

1 On the other hand, if we don't have some
2 sort of standard of evidence, we're basically allowing
3 pharmaceutical companies -- with all due respect -- to
4 be promoting potentially misleading or dangerous
5 information without having done very much work to
6 support the regimens, and not providing the
7 information that you all need as clinicians.

8 And that's why we bring it to you today,
9 to see what is reasonable. And I know it's saying,
10 you know, we don't have, like, a reasonableness
11 standard, but we actually do. But that's based on
12 some sort of requirement for some reasonable amount of
13 evidence. And we're trying to reach that happy medium
14 of what's a reasonable amount of data that's not
15 overly burdensome, but that doesn't let you all down
16 and leave you all without a prescribing information.

17 DR. KUMAR: I think part of the issue is
18 not so much of misleading information; it's really not
19 what really translates into clinical efficacy. Even
20 within this room there is differences of opinion on
21 people who are leaders in their field.

22 But, again, when it comes to translation

1 to clinical medicine, that is huge implication,
2 because there really is no room for error in these
3 very advanced patients.

4 CHAIRMAN GULICK: Just an observation that
5 there is a tension right now between -- most of the
6 information we have on PK is on older regimens which
7 are challenging to adherence. And many of these drugs
8 we simply don't use that way anymore.

9 Yet, as was said, we're using regimens in
10 clinic which there may be little or no safety
11 information on, and we're taking this chance. What
12 are the repercussions? I guess we'd all like to see
13 more data. That's the unifying theme here. Dr.
14 Pomerantz?

15 DR. POMERANTZ: I enjoyed what Dr. Kumar
16 said, because I can relate, I can empathize with that.

17 But just a point, though. The problem
18 with pharmaceutical companies giving out data and
19 slides, or published literature is different than the
20 abstracts, that's where I've seen it become most
21 difficult, in that there are lots of meetings, there
22 are lots of abstracts, and certainly different

1 companies will copy a poster and will put it in
2 somebody's mailbox, or a number of them in a book.

3 And for me, I mean, sounding somewhat
4 elitist, I don't mind if they give it to me, because
5 I think I can sort of sort out most of it. But where
6 it comes into a problem and where I hear it -- and I
7 think Dr. Kumar is nodding her head -- is that I get
8 this question from the local doctors or from someone
9 who doesn't see or study the field, and they get these
10 posters, these abstracts. And if they'll come to
11 someone who does think about the field, and so they
12 can have someone to bounce it off, it can actually be
13 a good educational experience. But if they don't, and
14 they just say, "Oh, well, I can use Ritonavir at 40
15 grams a day," that's where you get into trouble.

16 So there is a plus-minus to that, because
17 drug companies can be informative, because there are
18 so many meetings and there's so many abstracts, and
19 every once in a while something even gets by me or
20 Charlie, even. But you get into trouble; yes.

21 (Laughter)

22 You get into trouble, as Dr. Kumar was

1 alluding to, with people who are told these ways, that
2 are given the abstracts, that cannot critically review
3 them, and just take them as gospel and, "Oh, yes, we
4 can do this."

5 CHAIRMAN GULICK: Dr. Acosta?

6 DR. ACOSTA: And abstracts are preliminary
7 data. And I agree with you completely.

8 DR. POMERANTZ: But they're published, so
9 it's hard to tell a court that it -- but it's in the
10 public domain, which is how I think they get away with
11 it.

12 DR. ACOSTA: Yes. But they're not peer-
13 reviewed.

14 CHAIRMAN GULICK: Okay, Dr. Hansen.

15 DR. HANSEN: Maybe it's who you think your
16 peers are.

17 (Laughter)

18 But getting back to the Question No. 3, we
19 talked about duration of safety data, but we didn't
20 answer actually her question about numbers of
21 patients. And in an experienced population it seems
22 to me that duration might be more important than

1 numbers, and smaller numbers than the 500 that we
2 traditionally use might make more sense. I don't know
3 how anyone else around the table feels, but just to
4 try and answer the entire question.

5 CHAIRMAN GULICK: Thank you.

6 Response? Dr. Yogev?

7 DR. YOGEV: It's sort of responding. I
8 was listening to my colleagues, and obviously they
9 went through the same experience, and find out that
10 there's no education from the FDA to our colleagues
11 about the danger of these type of things. So there's
12 nothing to counterbalance what the pharmaceutical
13 company and the like are doing.

14 I think it's important to put maybe some
15 sort of educational caution that if you see such a
16 thing, just be aware what you're doing with that data.
17 We need to educate our own colleagues and ourself.

18 And I had an experience with a couple of
19 those situation like were mentioned, and we were able
20 to convince the pharmaceutical company to do levels
21 for us, just because we believe in pharmacokinetic on
22 patient which we go with. And sometimes we cannot.

1 But there's nothing to back us up. They don't listen
2 to everything.

3 And today it's even worse, because our
4 journals not anymore Nature and Science. They are The
5 New York Times and The Washington Tribune and Chicago
6 Tribune. That's what the problem is. So we need
7 maybe to consider from the agency some newsletter from
8 time to time that does combination around recent data,
9 blah, blah, blah, and give some data of disasters
10 which happen or that you're aware of, that will help
11 to educate ourself.

12 CHAIRMAN GULICK: Dr. Kweder?

13 DR. KWEDER: Okay. I'd like to address
14 that point, and then another one made by Dr. Schapiro
15 earlier.

16 As an agency as a center for drugs, we
17 recognize all too well and all too painfully that for
18 the most part our voice about drugs is only heard
19 through drug companies. And most of the time the
20 focus tends to be on the label.

21 However, we tend to have a lot more
22 information available or that comes from meetings like

1 this, or from hearing your opinions, and have more to
2 say about things in general. We recognize that; not
3 only in this field, but much more widely.

4 And as a center, we're looking into ways
5 to begin to address that. And I can't promise a quick
6 fix, but I can give you an example that is, I think,
7 quite embarrassing; that a clinician can't go to the
8 FDA Web site and get information about a drug. I
9 think that's shameful. So we're beginning to try and
10 address that, but it's not a quick fix. It's very,
11 very complicated.

12 I also wanted to address Dr. Schapiro's
13 point about thinking creatively. And we have done
14 that, particularly in areas of risk and trying to make
15 risk assessments once a new regimen or even a new drug
16 is approved. I can give you an example of an
17 antibiotic that was approved last year that seems to
18 have a propensity to prolong the QT interval. And one
19 of the things that the company committed to, as a
20 Phase 4 requirement -- that term's been put out on the
21 table today -- was not "to simply rely on post-
22 marketing surveillance, as most of us know it, "if

1 someone remembers to call the hospital pharmacy, it
2 might get reported," sort of thing. But rather, to
3 institute an active surveillance study to assess what
4 that risk might be, broadly and in selected subgroups
5 of patients with concerns. So we've certainly done
6 some of that, and that might be the kind of thing
7 you're referring to.

8 DR. SCHAPIRO: Absolutely. That type of
9 solution would be very appropriate.

10 CHAIRMAN GULICK: Dr. Jolson? Dr. Wong?

11 DR. WONG: I guess just on this question
12 I would say that if the dosage is going to be higher
13 than the dosage that was registered, I would want a
14 full safety profile based on the same number of
15 patients at the same duration that was required the
16 first time through. And I think that prescribing
17 physicians ought to have that kind of information.

18 I would say that it would not necessarily
19 interfere with an approval, but the data set should be
20 available. I mean, you probably remember that for
21 example, I said that had Gilead come in with Adeparvir
22 at 60 milligrams per day, I would have voted for

1 approval. And I think if people know, they can deal
2 with it.

3 And the criteria would be different for
4 people who are failing multiple drugs as compared to
5 people who are getting their initial treatment for the
6 first time. But I can't really imagine very many
7 situations in which a substantially larger dose of the
8 same compound should not have the same rigorous safety
9 set, data set as it did the first time around.

10 CHAIRMAN GULICK: So, just to draw some
11 consensus on this, we heard that safety data is sorely
12 lacking right now on new formulations. That we would
13 all like to see more of it. There was a bit of a
14 disagreement about how much and how long, and how to
15 get the word out to people. But we all felt pretty
16 strongly that we need it.

17 With that happy thought, why don't we take
18 a 12-1/2 minute break. We'll reconvene at 3:30.

19 (Whereupon, the foregoing matter went off
20 the record at 3:21 p.m., and went back on the record
21 at 3:35 p.m.)

22 CHAIRMAN GULICK: Okay, we're on the home

1 stretch. Question No. 4 is drug interaction issues.
2 And we've begin to touch on this already.

3 If one or more exposure measures are
4 decreased, should additional clinical data be
5 required? And if so, how much? I guess the consensus
6 before, when we addressed this, was yes.

7 DR. STRUBLE: There's actually a question
8 before that.

9 CHAIRMAN GULICK: There is? Oh, you're
10 right. There we go. Thank you, Dr. Struble.

11 Which exposure measures should be
12 considered when providing labeling information on
13 concomitant administration of antiretrovirals? And
14 your attention here is these PK enhancers rather
15 than -- both? Okay. Mr. Cheng?

16 MR. CHENG: I have a question on this
17 issue, but not directly on this question. I guess
18 when you look at the package insert, a lot of the drug
19 interaction data is in people who are HIV negative.
20 And I'm wondering how appropriate that is compared to
21 people who are HIV positive and with perhaps some of
22 the kidney or liver dysfunction that we've also talked

1 about, and how that should be addressed.

2 CHAIRMAN GULICK: Dr. Reynolds?

3 DR. REYNOLDS: We recognize there are
4 differences, and most of the time it is just easier to
5 conduct the studies on healthy patients, because we
6 don't have to worry about other drugs. And we are
7 talking about a difference of maybe, in this
8 population, a 20 percent increase. The other
9 population it's a forty percent increase. We feel
10 you can make a determination there.

11 And then sometimes for clinical trials we
12 also have safety data for the combinations. But it's
13 really just cleaner to do in the healthy patient. But
14 if there's a way to do it in regular patients, that's
15 fine, also.

16 CHAIRMAN GULICK: Dr. Bertino?

17 DR. BERTINO: I think this drug
18 interaction issue is another area where
19 pharmacogenetics becomes very big again. If you have
20 patients -- because these antiretrovirals tend to be
21 adequately metabolized -- that by genotype are poor
22 metabolizers, or by phenotype, because of their

1 disease, are poor metabolizers, and you do a drug
2 interaction study in these people with an inhibitor,
3 you may in fact not find a big effect, because they
4 don't have a lot of enzyme to inhibit. That kind of
5 gets to Mr. Cheng's point about normals and HIV-
6 infected patients.

7 So I think we need to broaden kind of how
8 we look at drug interactions in these people. It
9 makes me kind of nervous when you see data with, once
10 again, a wide variability, and let's say the effect of
11 Rifabutin on Indinavir or something like that, that in
12 fact some people are going to have a big effect, some
13 people are going to have a small effect. And the same
14 may be true with Ritonavir in terms of an inhibitor.

15 So, I mean, I think we need to factor in
16 genotype, phenotype, and diseases, and get away from
17 the normal volunteer studies of these drug
18 interactions.

19 CHAIRMAN GULICK: Dr. Piscitelli?

20 DR. PISCITELLI: I'm glad we're into the
21 questions now that have answers to them.

22 (Laughter)

1 I think one major problem that I've found
2 is that we have these two-way drug interactions to
3 these, and that helps no one in the clinical world,
4 when the phone calls I get are the patient taking six
5 and seven antiretrovirals and someone says, "What do
6 I do with the protease?"

7 Now there are data emerging looking at
8 mostly three drug interactions, but in some cases
9 four; and I think it's imperative that that sort of
10 information gets into these labels. Now, it may not
11 be a company-sponsored study. It may be a study from
12 an individual, university, what-have-you. But it'd be
13 nice if some of that information might still be used,
14 if there could be collaboration between those groups.
15 So that would be one issue.

16 Certainly the variability is critically
17 important. Presenting an AUC, for example, there's
18 several interactions where there's a 30 percent drop,
19 so we raise the drug 30 percent. I mean, I think this
20 panel's well aware of the inherent dangers in that.
21 So variability is absolutely crucial to these labels.

22 In some cases I'm amazed. I don't want to

1 pick on any drugs, but there's some of these
2 interactions where there's an NF5 and it's in the
3 label, and there's a large decrease to a large
4 increase. Well, that's absolutely useless to the
5 clinician. And I think we need to be worried about
6 that.

7 And also I found this accidentally, that
8 not all these studies are crossovers. Sometimes the
9 drug interaction information is across patients from
10 across studies. But you wouldn't know that by looking
11 at the label; you just see a 50 percent change and you
12 assume that was a crossover study.

13 So I think there are several issues that
14 could be addressed in the label. Those are a few of
15 them.

16 CHAIRMAN GULICK: Dr. Flexner?

17 DR. FLEXNER: I agree in theory with Dr.
18 Bertino's comments about pharmacogenetics and
19 pharmacogenomics and intercurrent diseases. But I
20 think as a practical matter, the data that HIV
21 infection, per se, affects^{**} pharmacokinetics of any
22 drug is lacking.

1 And the biggest change, comparing healthy
2 volunteer data to HIV-positive patient data, is
3 variability, with the patient data being more
4 variable, which is predictable, given differences in
5 body surface area and intercurrent diseases and
6 intercurrent drugs, each of which, taken individually,
7 can explain why an individual patient's
8 pharmacokinetics look further away from the mean than
9 you would expect them to be.

10 I also think, in terms of genetics, that
11 genetics will turn out to be an important issue for
12 some drug metabolizing enzymes. So far it does not
13 appear to be an important issue; at least a common
14 issue for 3A4, which is the enzyme largely responsible
15 for metabolizing the drugs we're talking about today.
16 And so I think we're a long way from being able to use
17 genomics to predict who's going to be a rapid or a
18 slow metabolizer.

19 And unfortunately the phenotypic tests we
20 have for predicting 3A4 metabolism are not very
21 clinically useful, in that the rate at which you
22 metabolize one prodrug -- for example, erythromycin --

1 does not very precisely predict the rate at which
2 you're going to metabolize another drug, like
3 Saquinavir.

4 CHAIRMAN GULICK: Dr. Gallicano?

5 DR. GALLICANO: Just to follow up on
6 Steve's comments. Regardless what exposure parameter
7 you choose for a drug interaction, whether it's AUC,
8 C_{max} , or C_{min} , the ultimate success still depends on
9 study design. There are a number of choices out
10 there, some which are better than others, and
11 certainly it depends on the specific study that one is
12 trying to design.

13 There's very little long-term drug
14 exposure data for drug interactions, particularly with
15 combination PIs and PIs plus drugs used for
16 opportunistic infections. What I would like to see in
17 a label, too, is for drugs that have time-dependent
18 pharmacokinetics; that is, the total plasma exposure
19 changes with time once the PI is started. There
20 should be information on drug interactions during an
21 acute dosing of the PI, as well as chronic dosing of
22 the PI.

1 I think a very good example that just
2 appeared in the literature has been Ritonavir and
3 Alprazolam. Ritonavir increases Alprazolam during
4 acute dosing of Ritonavir, yet it decreases Alprazolam
5 during -- sorry, Ritonavir increases Alprazolam during
6 acute dosing of Ritonavir, but decreases Alprazolam
7 during chronic dosing of Ritonavir. And there have
8 been requests for those types of labeling information.

9 CHAIRMAN GULICK: Dr. Bertino?

10 DR. BERTINO: I guess I probably take a
11 more optimistic view of probes, Charlie, than you do.
12 And I think there is some data with 3A in terms of
13 prostate cancer and certain types of leukemias that
14 suggest that polymorphism may be important. There's
15 one paper out there that looked at using probes.

16 But one of the questions is: If you
17 induce people, are they going to look different?
18 Probes like erythromycin really are PG3 substrates as
19 well as 3A. They really aren't pure 3A probes, so I
20 would think that there wouldn't be a real good
21 relationship between Ritonavir and erythromycin
22 elimination.

1 CHAIRMAN GULICK: Question for our
2 pharmacologists. Do we have any idea how much drug
3 failure is related to polypharmacy in our patients
4 with competing drug levels?

5 No. Okay, thanks.

6 (Laughter)

7 Dr. Yogev?

8 DR. YOGEV: Maybe I'm misreading the
9 question, but is that for the agency what exposure to
10 look for before labeling, or what exposure properties
11 should be in the labeling?

12 DR. JOLSON: In other words, what would
13 you recommend basing your dosing recommendation on?
14 Are you looking at -- assuming that everything is
15 going to change a little bit, what's most important to
16 you all to look at, on which to then base a dose
17 recommendation? Are they for regular drug
18 interaction, or for the situation of PK enhancers?

19 DR. YOGEV: See, because what was
20 surprising to me in the presentation was that
21 colleague from The Netherlands suggested that dose
22 action which we're doing now to increase level of one

1 drug with the help of another one, they didn't find
2 any correlate with any one of those exposure
3 parameters. And one of the possibilities is that it
4 did so well, that they have so few patients failing,
5 that's why they couldn't find it.

6 For me, in the labeling, what important is
7 what to read on the other side; is not the expert, is
8 the physician that is going to see one or two patient,
9 and looking to an information. For me, important what
10 exposure parameter cause more side effect.

11 And more important maybe even is, to my
12 surprise, some of our colleagues don't realize that
13 Ritonavir, for example, is enhancer, is not active.
14 And that should be part of the warning, that although
15 you're using a PI, you should be aware that it's not
16 a PI, and decide what exposure level you are. So
17 that's why I was asking the question.

18 Because for me we're probably going to see
19 an increase in efficiency. We're not yet sure what
20 the toxicity of the C_{min} , because supposedly it's
21 connected more to C_{max} . But we find out not to be that
22 true in the pediatric, for example, before Indinavir

1 with Ritonavir, at least, preliminary data suggested
2 maybe the C_{min} is also contributing.

3 So I don't think we still know which
4 exposure is what, and that's why we need to look at
5 all of them and interpret, for the reader, what those
6 mean or what he or she should look for, and what is
7 not there, the enhancing portion.

8 CHAIRMAN GULICK: A lot of heads shaking
9 "yes," people would agree with those points. Okay.
10 You can go. Everyone else has to stay.

11 We've sort of gone on and tackled the next
12 one. If one or more exposure measures are decreased,
13 should additional clinical data be required? And I
14 think the consensus was yes, particularly if it's C_{min} .

15 If so, how much? I would venture to guess
16 people would say the same length of time required for
17 the initial formulation, so 48 weeks; 24 to 48 weeks.

18 In what circumstances are clinical data
19 necessary? We've covered that, also.

20 DR. YOGEV: Obviously we need our
21 pharmacologists to help us, because I got the
22 impression the pharmacologists say that C_{max} is not as

1 important in certain situations, so maybe we don't
2 need those type, maybe define better the dose which
3 are going to be identified as connected to efficacy
4 linked to C_{min} . If there is a reduction, we need a
5 full study; if it's another parameter which are not
6 yet connected, maybe we need less.

7 CHAIRMAN GULICK: Dr. Flexner?

8 DR. FLEXNER: I mean, I think the
9 discussion we had earlier, in which the
10 pharmacologists all kind of felt that C_{min} was the
11 important determinant of virologic outcome, and that
12 if you had identical C_{min} but decreased your C_{max} ,
13 probably you would not be at increased risk for
14 treatment failure. I don't think that argument
15 convinced either the regulatory agency or the panel,
16 in that I think our thinking was based on logic and
17 interpretation of data, rather than hard data that
18 specifically addressed that question.

19 However, there have been clinical studies
20 in which regimens have been compared, in which the C_{min}
21 was the same and the C_{max} was decreased, in which
22 clinical outcome was equivalent. For example, the

1 Nelfinavir BID to TID regimen. And then there have
2 been regimens where the C_{min} was decreased, but the C_{max}
3 was increased, where the virologic response was not as
4 good; for example, comparing 1250 BID of Indinavir to
5 800 TID of Indinavir. Those were regimens where the
6 TID regimen had the higher C_{min} but the lower C_{max} , but
7 performed better in terms of virologic outcome.

8 So, I think even though those studies were
9 not specifically designed to address those questions,
10 I think the weight of the evidence that I'm aware of
11 suggests that that's a reasonable -- it's certainly a
12 reasonable thing for a pharmacologist to conclude.

13 CHAIRMAN GULICK: Dr. Blaschke?

14 DR. BLASCHKE: Well, Charles gave me a
15 hard time about using clearance and volume and
16 distribution. But basically, what's being changed in
17 these drug interactions is clearance and/or volume and
18 distribution, or bioavailability. And that's what
19 determines the C_{max} or the C_{min} .

20 And so a drug interaction isn't a drug
21 interaction that affects C_{max} or C_{min} , it affects
22 volume, distribution, and/or clearance. And that's

1 what determines, then, what's going to be the C_{max} and
2 the C_{min} .

3 So I think the issue, as I see it, the
4 question that was being asked on this and the earlier
5 side is, again -- as Charles, I think, has just
6 addressed -- what is the exposure parameter that we
7 want the clinician to focus on. And I think, as best
8 we can guess at the moment, it's going to be C_{min} .

9 And most interactions are going to
10 increase. Well, shouldn't say that. They can work
11 both ways. But if we're looking, for example, at
12 Ritonavir where we're decreasing the clearance, for
13 the most part, and maybe increasing bioavailability,
14 then the change that's going to occur is if we don't
15 change the C_{min} ; if we adjust the dose not to change
16 the C_{min} , we're going to reduce the C_{max} . That's the
17 way it will be based on the pharmacokinetics.

18 CHAIRMAN GULICK: Dr. Piscitelli?

19 DR. PISCITELLI: Just a clarification.
20 For example, did I hear you right that if exposure
21 measure is changed, we need 24 to 48 weeks of data?

22 There's many instances -- Efavirenz and

1 Indinavir, and Nevirapine-Indinavir -- which a drug
2 interaction was noted and a dosing recommendation was
3 made, but a clinical trial wasn't then put into place
4 to verify that. Just wanted to make sure that we're
5 on the right track, that we're not now saying if we
6 notice interaction and suggest a dosage change, we now
7 have to go back and verify that clinically.

8 CHAIRMAN GULICK: Dr. Jolson, can you
9 clarify that point?

10 DR. JOLSON: I'm glad you ask the
11 question, so we can clarify it. Even though I think
12 the term "drug interaction" is very, very broad, and
13 so Dr. Reynolds this morning pointed to examples of
14 more typical or conventional drug interaction, such as
15 Indinavir-Efavirenz, Indinavir-Rifabutin versus -- and
16 those we would not require a clinical trial to verify
17 the recommendation. We would use our best judgement
18 in terms of which parameters were most important to
19 base a dosing recommendation.

20 On the other hand, the other example would
21 be the PK enhancer issue^{**}, where a second agent is
22 added to intentionally alter the PK profile of the

1 primary PI, with the hope that the primary PI will be
2 more bioavailable, and that will translate into
3 increased effectiveness.

4 And then the question there is: In that
5 circumstance, for labeling purposes, how much clinical
6 data is reasonable to ask for? And how much do you
7 all, as clinicians -- having heard the frustration
8 that right now there's not clinical data to base that
9 decision on, there's certainly the strength of logic
10 and evidence from other sources, but not direct
11 clinical data about the safety and efficacy of that
12 regimen -- what do you all think is reasonable that
13 we, as an agency, require? Here more so for the issue
14 of PK enhancement, which is the harder situation.

15 CHAIRMAN GULICK: Dr. Bertino?

16 DR. BERTINO: Could we go back to that
17 Indinavir 1200 BID, 800 TID study. Do we know why, as
18 patients got further out, why more patients failed on
19 the 1200 BID regimen? Was it a change in kinetics,
20 was it a -- what was it? Do we know why? Because
21 that data could be explainable and adjustable.

22 DR. JOLSON: We haven't reviewed the data

1 as an agency.

2 CHAIRMAN GULICK: Dr. Blaschke?

3 DR. BLASCHKE: Just a comment about the PK
4 enhancer. Jonathan made a very important point a
5 little while ago which probably deserves emphasis
6 again, and that is: We don't know, for example, with
7 Ritonavir, what it does in terms of intracellular
8 accumulation.

9 As Jonathan said, there are some data
10 suggesting that -- well, we have data from our own
11 laboratory suggesting that's a pretty potent inhibitor
12 of P glycoprotein, which would suggest, in fact, that
13 it might also increase the intracellular
14 concentrations of a drug like Saquinavir. But I think
15 we don't know for sure. And that's one of the things
16 that we really do need to think about in terms of drug
17 interactions, is that particularly with the 3A4
18 inhibitors, we're talking about other potential
19 interactions outside of the cytochrome P450 system,
20 and that really then, I think, does call, in some
21 cases, for some more clinical information, if at all
22 possible.

1 CHAIRMAN GULICK: Dr. Pomerantz?

2 DR. POMERANTZ: Yes, sir, just to go back
3 to Dr. Jolson. With the inducers, I think there are
4 two things you try to do with them. One is to make it
5 more effective, intensifying the effect, to use the
6 term, or to make it more bioavailable to be used so
7 that you could spread out the dosing interval of them.

8 I think that it depends on what you're
9 asking that inducer to do. Are you asking it to
10 intensify an antiviral effect, or are you just
11 changing the pharmacokinetics so you can dose it
12 differently? Wouldn't that matter, depending on
13 whether --

14 I think that what I'm trying to say is
15 that the question you're going to ask or what you're
16 going to ask of adding a second agent, like Ritonavir,
17 would be whether you're doing it to change the potency
18 of the primary drug, or whether you're asking it just
19 to change the pharmacokinetics of that drug. And I
20 think that you might think about what you would ask to
21 approve it or to put in a package label, depending on
22 what the company is asking of it. Don't you agree?

1 CHAIRMAN GULICK: Dr. Murray?

2 DR. MURRAY: Well, let me just kind of put
3 out a proposal on the table, something that we thought
4 might be middle ground, and using a PK enhancer
5 situation in where your C_{min} -- well, think of the
6 Amprenavir-Ritonavir situation where you have a higher
7 C_{min} , maybe a higher AUC or about the same, but your
8 C_{max} is lower for certain regimens. Do we feel
9 comfortable enough about what we know is C_{min} in
10 response, even though it's more based on logic, to
11 maybe cut down the clinical safety database from a
12 study that's powered with a ten percent delta, so that
13 we know with 90 percent, 95 percent confidence that
14 we're no more than ten percent worse? I mean, do we
15 feel confident that if the C_{min} is in the right
16 direction, that we can primarily look at what the
17 treatment effect is between two different arms in a
18 smaller number of patients? I mean, can we have that
19 middle ground? Do we feel confident enough for that?
20 That might help to reduce the burden a bit.

21 DR. POMERANTZ: So what you're saying,
22 though, is that if you're adding a double protease

1 inhibitor combination and you're doing it to intensify
2 the antiviral effect, you would be proposing that we
3 need less patients to show that this actually did
4 that?

5 DR. MURRAY: Well, I don't know if we know
6 ahead of time we're intensifying the effect. I guess
7 in this case we would be at least trying to maintain
8 the same effect as with a standard dose. If it
9 intensifies, great; that would be a good outcome. But
10 we're trying to reduce pill count, lower dosing
11 frequency, and maintain at least the same effect.

12 DR. POMERANTZ: Right. But that's not how
13 it's being used in the field now. It's being used in
14 two different ways. It's being used to either give it
15 less often, or you're using it to people who can't get
16 below 400, to try to intensify the effect.

17 DR. MURRAY: Oh, I see what you're saying.
18 I guess --

19 DR. POMERANTZ: And that's being quote-
20 unquote, "studied."

21 DR. MURRAY: Yes, I guess, yes, that's a
22 different claim. And maybe you want to discuss that.

1 But I guess the first claim we were thinking about
2 is --

3 DR. POMERANTZ: All right. That's what I
4 wanted to --

5 DR. MURRAY: -- is not the intensification
6 for somebody who's above 400 and you want to try to do
7 better, or you think somebody has a less susceptible
8 strain and so you're trying to get them down further.
9 I think the first one is just the different dosing
10 regimen, the convenience of the dosing regimen.

11 DR. POMERANTZ: I understand that. I
12 wanted to clarify that.

13 DR. MURRAY: Yes.

14 DR. POMERANTZ: And I'm sure you guys know
15 that that's how it's being used, though, at times.

16 DR. MURRAY: Right. No, I know it's being
17 used in both situations. I appreciate that. I mean,
18 what we're interested in now is a proposal: Is there
19 middle ground? Because we kind of came to this
20 meeting thinking that the slides were that well, 48
21 weeks is burdensome. And ^{**}actually I don't think we
22 have any feedback that would suggest that we could

1 decrease that burden.

2 In fact, I've heard more that we should
3 follow patients longer and do it more populations and
4 do it in naive and experienced. Is there any
5 situation where you have some good PK data and you
6 feel -- and let's say it's a drug that's been on the
7 market for a while, like Indinavir, and you're
8 combining it with an enhancer, and you have some
9 pretty good PK data. Or maybe Amprenavir. And that
10 maybe you'll be willing to accept less than a strictly
11 powered, what we call equivalence trial.

12 And when we talk about a clinical
13 equivalence trial, it's really just a trial where
14 we're looking for comparability, but it's powered
15 enough so that your confidence intervals are going to
16 set so that you'll rule out a pretty narrow difference
17 between treatment arms.

18 CHAIRMAN GULICK: Dr. Wong?

19 DR. WONG: I guess, Jeff, the way I'd
20 answer that is, I mean, I'd separate out the
21 questions. And if the question is, "Does the enhanced
22 regimen achieve the desired pharmacokinetic

1 parameters?" that could be in the label; it does that;
2 and you wouldn't need a huge number of patients
3 followed for a long period of time to show that.

4 But if the question is, "Does the enhanced
5 regimen achieve an equivalent virologic effect?" you
6 can't answer that without measuring the virologic
7 effect. So I think that you could pose it as two
8 different questions.

9 DR. MURRAY: Well, you can't answer it --
10 oh, I'm sorry. You can't answer it, but it's your
11 certainty in that. And I'm saying can we sacrifice
12 some of the certainty that the sample size requires
13 for you to -- powering your study for a certain delta,
14 can you sacrifice some of that certainty by using some
15 other data, which is PK data, to say, well I guess
16 we're less certain about the treatment effect, but
17 maybe the point estimate was similar? But the
18 confidence intervals are a little bit wider than
19 usual, but we feel, even though those confidence
20 intervals give us less certainty we have PK data that
21 looks really good, so it's all falling in place. See
22 what I mean?

1 It depends on what your definition of
2 "clinical equivalence" is, and right now for a new
3 molecular entity it's pretty strict. I mean a ten
4 percent delta means a 500 to 700 patient sample size.
5 But here I think we're trying to move to a different
6 area in the standard of evidence.

7 And knowing that the drug's already
8 approved, and you have some PK data that, according to
9 logic, would seem to indicate you'd have a good
10 response, can we loosen up the uncertainty around --
11 as affecting the power of the study, as far as the
12 confidence intervals?

13 DR. WONG: And my answer would be you
14 accurately describe what's known. So if the PK data
15 is good, put it in the label and let people decide
16 based on the PK data. But if the virologic data is
17 less than what would ordinarily be expected, I would
18 not permit a claim based on virologic data.

19 CHAIRMAN GULICK: Dr. Piscitelli?

20 DR. PISCITELLI: I would agree with that.
21 I think in that case where^{**} the C_{min} is very large and
22 there's a very modest change in the C_{max} , I think

1 that's very reasonable, and I'm comfortable with
2 putting that in and not having such a strict trial.

3 Because if you're going to make
4 companies -- or if there's a new formulation with a
5 similar profile, if you're going to make companies do
6 a 48-week study of a new formulation versus the old
7 one, or Amprenavir-Ritonavir versus Amprenavir alone,
8 they're never going to fill those trials. Patients
9 aren't going to want to go on those studies, so it'd
10 be very difficult to get that data.

11 DR. FLEXNER: I can second that, having
12 just explored whether or not we could do an
13 antiretroviral prodrug trial at Johns Hopkins.
14 Patients don't want to participate in those trials,
15 especially if they're already taking the parent drug.
16 Why should they go through all the rigamarole of
17 enrolling in a study to take essentially the same drug
18 they're already taking, with all these requirements
19 and all this blood-drawing and all these clinic
20 visits, when they can just go on low-dose Ritonavir
21 and the same drug, and achieve what they think is the
22 same outcome? I mean, patients do not want to