

1 participate in those trials.

2 DR. POMERANTZ: All right, so now your 48-
3 week study takes two-and-a-half years or so to
4 complete, because they can't get any patients to
5 enroll in it. Now we've really got no data in the
6 labels for this great length of time.

7 CHAIRMAN GULICK: The flip side of this
8 issue is safety. And while your patients are asking
9 for low-dose Ritonavir, and we're all assuming it's
10 reasonable to do, we really don't have the data to
11 back that up. I'm uncomfortable with that.

12 DR. FLEXNER: I guess we do have data with
13 Saquinavir-Ritonavir and Indinavir-Ritonavir now in
14 the literature. There are, I think, at least three
15 papers in the peer-reviewed literature of clinical
16 outcomes in patients on Ritonavir-Saquinavir, and two
17 with Ritonavir-Indinavir. And so if there's something
18 major going on out there, it hasn't reared its ugly
19 head yet. And so I think we can be fairly confident
20 that if there is an increase in toxicity with those
21 regimens, it must be happening fairly rarely, or it
22 must be ignored in the published clinical trials.

1 CHAIRMAN GULICK: Just to pursue that, one
2 question that must come up every other day in clinic
3 is: Well, which regimen, Indinavir by itself, or
4 Ritonavir and Indinavir at one of the several doses
5 that are suggested, is associated with a higher
6 incidence of kidney stones? Which of those? And I
7 don't think there's an answer to that, but boy, we'd
8 sure like to know it.

9 DR. FLEXNER: I don't think the study's
10 been done. There's separate studies that suggest that
11 there's a lower incidence of nephrolithiasis with some
12 regimens than with others, but there's not the head-
13 to-head comparison you'd want to see.

14 CHAIRMAN GULICK: Dr. Yogev, and then Dr.
15 Schapiro.

16 DR. YOGEV: I wish the agency would listen
17 to the pediatrician in the group, because we said
18 before, again, yes, that for safety we need the
19 numbers. But for efficacy, probably can go on a
20 smaller number and expand it so you can get faster
21 into the field. But you have to follow.

22 And I just wonder if this compassionate

1 use that are in the new molecular, as you call it,
2 available earlier than they are really approved. Can
3 some sort of that for the safety can built in, into
4 the process of new formulation and so forth, or
5 combination.

6 So I agree with you 100 percent on the
7 safety. I would not give up, because we might be
8 unpleasantly surprised. But after you are sure at
9 least there is a trend of efficacy, and reduce the ten
10 percent reduction to a bigger one, I have no problem
11 with going with smaller number of patient, doing the
12 viral load, you see that it is right direction, you
13 got what you were expecting to, check on bigger number
14 of safety. And I thought that's what you said before.
15 And especially for the experienced patient.

16 CHAIRMAN GULICK: Dr. Schapiro?

17 DR. SCHAPIRO: Yes, I would continue that
18 thought of Dr. Yogev. And I do agree also with Trip
19 that the issue of, for example, nephrolithiasis is
20 unresolved. I think there's actually some data which
21 is conflicting. There's data from Australia at one
22 dose, and then there's different data we just saw

1 recently at a different dose. It gets very difficult
2 to tease out the different regimens and the different
3 types of studies.

4 But the question, if there is some way of
5 allowing -- as you discussed earlier, demanding
6 extended follow-up and possibly allowing the drug to
7 begin use before that is completely completed, but to
8 mandate that that information continue to be
9 collected. Because even 48 weeks, it's sort of an
10 arbitrary number. I think that protease inhibitors
11 were used for more than a year before we realized some
12 of the complications. I think we missed them flat-out
13 in studies.

14 These aren't magic numbers. Forty-eight
15 (48) weeks is really not a magic number. I think to
16 try to get long term with a smaller group, but
17 requiring that actively with the company, can be sort
18 of a safeguard. And then we find some sort of
19 creative compromise where we don't have to wait 48
20 weeks, but we do require that type of follow-up, and
21 maybe even beyond 48 weeks.

22 CHAIRMAN GULICK: Dr. Jolson?

1 DR. JOLSON: In reality, even though we've
2 been talking about 48-week trials, routinely we have
3 been reviewing data based on 24-week results, interim
4 results, and that isn't even with all patients being
5 at 24 weeks, it's with some agreed-up cohort of
6 patients at 24 weeks. But then a post-approval of
7 Phase 4 commitment that the 48 week or whatever longer
8 term results are submitted.

9 Am I hearing you all suggest that we could
10 even look at an earlier time point than 24 weeks,
11 remembering that this is combination therapy and --
12 because we are already looking at 24 weeks?

13 DR. YOGEV: We burned our finger in the
14 past with AZT. It's an excellent example, that even
15 a year the data for the United States were not in
16 comparison to Europe. And I think 16 showed us that
17 we didn't get the maximum effect. So I think 24 would
18 be my minimum.

19 But if there is a built-in mechanism that
20 you put in there that -- as we understand today the
21 compassionate use, it's not approved but it's allowed.
22 And you wait till you get the 48, then you approve it

1 with the data you're compiling for more patient, where
2 the clinical can be on a smaller one.

3 CHAIRMAN GULICK: Let's consider the next
4 part of the question, which is: How should several
5 dosing possibilities be addressed?

6 We saw a number of slides today with
7 multiple ways to co-dose protease inhibitors with
8 Ritonavir and presumably other PK enhancers. How
9 should those be addressed in the label? That's an
10 easy one.

11 (Laughter)

12 Dr. Acosta?

13 DR. ACOSTA: Well, in the absence of any
14 24-, 48-week clinical data, I mean, all we can really
15 put in are the pharmacokinetic parameters, whether
16 it's AUC, C_{max} , C_{min} . Personally, I'd like to still see
17 clearance in there, but that's okay.

18 UNIDENTIFIED: You can put it in there,
19 it's just that (inaudible).

20 (Laughter)

21 DR. ACOSTA: Yes, exactly. But, I mean,
22 it may at least just be helpful to have a small table

1 showing like this combination regimen, here are the
2 parameters, or the change in the parameters versus
3 this regimen.

4 CHAIRMAN GULICK: Dr. Mathews?

5 DR. MATHEWS: The problem for me is not
6 putting that kind of information in or requiring it,
7 it's the sample sizes and how confident you are in
8 what those estimates are.

9 And to get back to Jeff's point about are
10 we willing to recommend a less strict standard for
11 some of these equivalency comparisons I think a lot of
12 it depends on the population that's being studied and
13 if the confidence interval overlaps some measure of
14 susceptibility.

15 If it's the situation where there are
16 three to tenfold above the IC_{50} or IC anything,
17 doesn't really matter. You could tolerate a smaller
18 sample size. But if the point estimate is -- I mean,
19 if the confidence intervals are going to substantially
20 overlap some threshold for efficacy, then there's an
21 obvious problem. And if you consider the situation,
22 let's just say if you had -- say 200 milligrams of

1 Ritonavir would give you the biggest margin of
2 efficacy, but then that's overlapping with the
3 toxicity spectrum, so you want to drop it back. It
4 seems like a small point upping the dose by 200
5 milligrams a day, but it may have substantial
6 implications for both toxicity and efficacy.

7 So I think the sample size issues are very
8 important in sorting out which kind of a dosing
9 regimen you would recommend.

10 DR. YOGEV: Let me raise it in a different
11 context. I think what we are missing in this
12 discussion is how much toxicity we're willing to take
13 compared to the stage of the disease. To me it's
14 fascinating how much more my colleagues in cancer are
15 willing to take toxicity. And maybe the issue is
16 because they have a cure with a higher toxicity, which
17 we don't have. But I think I'll go much further with
18 toxicity on a patient who, in a "salvage portion" that
19 there's nothing else, than in a naive patient.

20 So I would like to see both, whatever
21 level it is, both how much higher it is, because we
22 already said that if C_{min} at "X" is okay, if I get five

1 times over it I'm doing okay; but it's under, I can go
2 25 and I pay with more toxicity. That should be maybe
3 available for me or my colleagues to negotiate with
4 our patient, because he is in a different setup of
5 disease. And I, for one, will take much more toxicity
6 in a patient when I'm running out of options, more
7 severely sick, and on. So I would like to see all of
8 them, both the PK and hopefully toxicity, all those.

9 CHAIRMAN GULICK: Dr. Fletcher?

10 DR. FLETCHER: I want to just raise the
11 other side of the criteria to place recommendations in
12 the labels regarding drug-drug interactions, which is
13 the adverse drug-drug interaction. It seems that the
14 usual standard used is: The area under the curve or
15 clearance is affected by less than 25 to 30 percent,
16 it's not clinically significant. But the basis for
17 that statement, to my knowledge, almost never exists.
18 There are no clinical data to say those interactions
19 are not clinically significant.

20 Couple of examples. Nevirapine lowers
21 Zidovudine concentrations; Ritonavir lowers Zidovudine
22 concentrations. The labels I'm pretty sure for both

1 say that it's not clinically significant, and we don't
2 know that.

3 Dr. Gerber I think correctly mentioned
4 that the concentration response relationships for many
5 of these drugs, the PIs in particular, appear to be
6 quite steep. So a 25 percent reduction, a drop, let's
7 say, of a Nelfinavir concentration from 1000 to 750
8 nanograms per mL, could be very clinically
9 significant.

10 So, as we think about these
11 recommendations for the PK enhancement side, I also
12 think we really need to come back and reevaluate the
13 recommendations for saying a drug-drug interaction is
14 not clinically significant when there are no data to
15 support that.

16 CHAIRMAN GULICK: Dr. Flexner?

17 DR. FLEXNER: Courtney, again, in theory
18 I completely agree with you. Even a one percent
19 decrease in my antiretroviral concentrations might be
20 something I don't want to have happen to me.

21 However, there's two issues around this.
22 One is if you see in a package insert that combining

1 Drug A and Drug B resulted in a 25 percent or a 20
2 percent decrease in the AUC of Drug A, the confidence
3 interval around that 20 percent often overlaps, with
4 no change in AUC. So those studies are often not
5 powered to be able to say with great precision that
6 the change was, in fact, 20 percent rather than 15
7 percent or 50 percent.

8 The second issue is: Where's the evidence
9 that decreasing the dose of one of these drugs by 25
10 percent is associated with a significant bad outcome?
11 And actually there is some evidence out there. A 25
12 percent decrease in the Indinavir dose, when you give
13 Indinavir as a sole PI with nucleosides, was
14 associated with a significant change in viral load
15 responses. So there is some evidence out there for
16 Indinavir. I don't know that we have that much data
17 for other drugs, at least as currently used. But
18 that's something that would need to be factored in,
19 obviously.

20 DR. FLETCHER: What I thought you were
21 going to say, Charles, was that, "But we can't do
22 these type of clinical studies for all drug-drug

1 interactions." And I was going to agree with you on
2 that. But where two drugs or three drugs are going to
3 be used frequently in combinations, such as, perhaps,
4 Cyovinine-Nevirapine for maternal-fetal transmission,
5 perhaps that interaction really does need to be
6 studied to see whether it's clinically significant or
7 not.

8 So I'm not saying we have to tie ourselves
9 in knots and everything has to be studied. But where
10 the frequency of use is high enough, and the
11 consequences of the adverse drug-drug interaction
12 severe enough, as I would think maternal-fetal
13 transmission would be, I think we ought to know
14 whether some of these interactions that we call
15 clinically insignificant, are truly that.

16 UNIDENTIFIED: How would you do that?

17 DR. MASUR: The question is: How would
18 you do that? I mean, some of these studies I think
19 are great to propose, but the question is, you know:
20 How do you actually perform them in some cost-
21 effective way? "

22 DR. FLETCHER: Well, I don't know, design-

1 wise, that it's a whole lot different than the
2 enhancement side. You know, Jeff and Kim talked
3 about, you know, a study to look at virologic effect
4 when you're boosting a regimen. Well, you could look
5 at virologic effect when you're -- if you will -- kind
6 of un-boosting a regimen, you know, so to assess the
7 effect of that drug-drug interaction. And if it's
8 adverse, I don't know why you couldn't detect that in
9 the same time period that you could detect the benefit
10 of a beneficial drug-drug interaction in that same,
11 you know, 24-week period of time; probably sooner, I
12 would think.

13 CHAIRMAN GULICK: Dr. Pomerantz?

14 DR. POMERANTZ: Yes, I want to go back to
15 what Charlie said, which I think is a good point that
16 brings up what you want in a package label. Now, you
17 can't make the print any smaller.

18 (Laughter)

19 So you have to decide how you're going to
20 do this. As an example, you used a 25 percent change
21 in Indinavir as a sole PI^{''} in a triple drug regimen,
22 which was shown to be significant virologically.

1 DR. FLEXNER: Actually, I think that was
2 only from Indinavir monotherapy studies, and there may
3 be some people here from Merck who can back that up.
4 But I know that I think decreasing the dose from 800
5 to 600 TID was associated with a difference in at
6 least short-term antiviral effect.

7 DR. POMERANTZ: Well, let me give a case
8 where that might be important. And it's hard to put
9 these things in clinical labels. We know now, from
10 many studies both in the United States and Europe,
11 that the height of the initial RNA level when you're
12 therapeutically naive does determine your chance for
13 becoming undetectable, and the strength of maintaining
14 undetectability.

15 If you start out with 750,000 copies, it's
16 a lot different than if you're being treated at 10,000
17 copies if you're naive. That may be a real difference
18 where you can get away with one group of drugs with
19 the 10,000 group of people, but you can't get away
20 with trying to make them undetectable if you're
21 treating the greater-than-750,000.

22 Now you get to what Dr. Masur talked

1 about: How many studies do you want to do for a
2 particular drug? Are you going to take that drug,
3 when you've changed it by 25 percent, and then say,
4 "Well, you're going to have to get a group of 10,000,
5 a group of 50,000, and a group above 500-, 750,000"?

6 Now, there's data suggests that you would
7 get good information from that, and that they will
8 differ. But are you going to require it, rather than
9 just let the physician know -- who sort of knows about
10 these things -- yes, that person at 750,000 you should
11 edge on having a stronger drug combination, rather
12 than how you might treat someone who comes to you with
13 10,000.

14 CHAIRMAN GULICK: We need to draw some
15 closure here and move on to tackle the last couple of
16 questions. I guess you could say drug interaction
17 issues have been very complicated, to say the least.

18 (Laughter)

19 The agreement that we heard was that
20 providing some data is better than providing no data
21 at all, which is what most clinicians are using right
22 now; no data at all. We heard suggestions that having

1 the PK parameters alone at least would provide some
2 indication. There were concerns about safety,
3 variability, patient populations, et cetera.

4 Let's move to pediatrics. Once an
5 alternate regimen has been identified in adults so
6 successfully, should we expect identical PK profiles
7 in children, or only equivalent critical parameters?
8 And does this apply to all drugs and all sub-
9 populations, or are there different situations?

10 Dr. Yogev?

11 DR. YOGEV: And the answer is definitely
12 different. First of all, it's probably the
13 formulation we'll be taking with pediatrics will be
14 completely different than adult. So you have an issue
15 of the formulation. Either interaction in the gut,
16 because of the liquid, effects of PH and so forth,
17 what you're really getting, absorption is different.

18 I think it's wrong to say pediatric
19 without defining that they are so different in
20 different ages. We burn our finger again and again
21 and again. And the interaction might be even more.
22 The foods that pediatric are taking in different ages

1 are different, it might affect differently.

2 So saying the pediatric unfortunately
3 present to you with so many different factors, it has
4 to be done in pediatric separately, and at least
5 because of the numbers issue, whatever, at least a
6 pharmacokinetic for sure.

7 But I have a lot of problem also with side
8 effect. I'm amazed how many of my patients ask for
9 Ritonavir instead of ice cream and when they're adult,
10 they don't want even to look at Ritonavir. So there
11 is a major difference in side effect that, because of
12 the constraint United States we must maybe limit the
13 number. But you cannot interpret the adult side
14 effect and pharmacology to pediatric. It's a
15 different planet.

16 CHAIRMAN GULICK: Dr. Hansen?

17 DR. HANSEN: Just going to remind us of
18 something else, and that is that I think not only
19 obviously is our transmission rate down in terms of
20 perinatal infection, but also I think the likelihood
21 in the future that we'll see, quote-unquote, "naive
22 infected children" will become a much less likely

1 option. Because, unfortunately, with extended and
2 more use of Zidovudine and Nevirapine and other kinds
3 of drugs, I fear that we will see children who will
4 have resistance at the beginning of their infection.

5 So the first thing just to acknowledge, is
6 that the naive population in children will probably be
7 in our teenagers, and not in our babies. And so, just
8 as you start talking about what you develop for naive
9 and experienced children, I think you should just
10 think about will they really be babies that are naive.
11 I don't know. The data on Nevirapine is a little
12 worrisome, and so just that heads-up.

13 Our numbers are small, and that's the
14 bottom line, and they always will be small. So you
15 just can't hold us to a sample size with a delta
16 change that comes up to 500 to 700. It's never going
17 to happen. So I think smaller numbers for whatever
18 you're looking at is going to be important.

19 We've already been burned on two drugs,
20 one a PI and one an NNRTI, and had to do dose-ranging
21 studies effectively, even though we thought we were
22 not doing them, by using adult parameters. That begs

1 the question someone brought to my attention, that
2 maybe the C_{min} we used in the adult parameters was not
3 correct in the first place. I don't know. But either
4 way, maybe we need to be very careful in the pediatric
5 population and assume that we're going to have to do
6 those studies.

7 And then I think Ram also addressed the
8 issue of differences in age groups. Not only in the
9 younger age group, but I would also challenge us to
10 think about the young teenager, even though most of us
11 from a legal perspective, think of somebody's who's 13
12 to 19 as an adult, and that we can do that. It is a
13 time of significant changes, and I don't know how
14 those changes impact, in fact, PK; and I don't know
15 how those hormonal changes or other changes and other
16 things that are going on in that group of youngsters
17 will impact their responses virologically.

18 CHAIRMAN GULICK: Dr. Fletcher?

19 DR. FLETCHER: One of the issues that's
20 important, I think in this question, is the definition
21 of "equivalence." Would we say that if the mean PK
22 parameters in a child and adult are the same, that's

1 equivalent; or would we go to the more strict
2 bioequivalence definition of the confidence intervals?

3 In the first example, I really worry about
4 just comparing, let's say, a mean area under the curve
5 in a group of children with that that's produced by
6 the alternative regimen in adults, because it's that
7 tail of the distribution that you're not seeing. What
8 proportion of children are less than some worrisome
9 value?

10 I would feel better about a more
11 bioequivalence type definition of equivalence, in
12 terms of then saying, okay if that alternative dosing
13 regimen in children could meet that type of
14 definition, then perhaps we can feel better about just
15 PK parameters forming the basis for an alternative
16 dosing regimen in children, and then not having to do
17 the larger, longer-term safety antiviral-effect
18 evaluation.

19 CHAIRMAN GULICK: Dr. Bertino?

20 DR. BERTINO: If I can put my pharmacist
21 hat on here and not talk about the antiretrovirals,
22 talk about the vehicles that are used for the

1 antiretrovirals, and I think that that's an important
2 consideration. And we just reviewed this with FDA
3 about Amprenavir and the large amounts of, I think,
4 propylene glycol it is in that preparation. And so
5 you need to also factor that in, to make sure that
6 children are not getting large doses of these things
7 to soluble-ize or stabilize or emulsify the
8 antiretrovirals, also.

9 CHAIRMAN GULICK: Dr. Yogev?

10 DR. YOGEV: You probably could help me on
11 that. When you say bioequivalence, I hope you're not
12 mean to the adult. I think one of the major problem
13 we have in the adult, showing so beautifully, that the
14 response depends on the viral load. And in pediatric
15 we are one load or more, especially in the young one,
16 above. So if you take a bioequivalence to adult to
17 compare, you already doom the pediatric to be less
18 effective.

19 And if we say to prove bioequivalence, for
20 example, take a drug like Ritonavir that we now find
21 out that we need almost a third more in less-than-two-
22 years, and for sure less-than-a-year or six months, is

1 that the bioequivalent we're talking about if there is
2 a new formulation that you're looking for? Or would
3 we accept adult bioequivalence, whatever C_{min} or area
4 under the curve?

5 DR. FLETCHER: I think I understand what
6 you're asking. But let me maybe try to expand my
7 example a little bit better.

8 Let's say we have two -- a standard
9 regimen and an alternative regimen in adults -- and
10 they were shown to be virologically equivalent. What
11 point I was trying to raise, then, if we're going to
12 look at that alternative regimen now in a child, what
13 definition of equivalence -- and now I want to make
14 this pharmacokinetic equivalence -- do we look for?
15 Do we look just for a comparison of the mean value
16 between the alternative regimen in a child and the
17 alternative regimen in the adult, or do we look for
18 the more rigorous bioequivalence type of definition of
19 equivalence?

20 And I would argue we need to move at least
21 more towards the more " bioequivalence type of
22 definition, because it's going to protect I think what

1 you're worried about, Ram, which is children being
2 under dosed --

3 DR. YOGEV: Exactly.

4 DR. FLETCHER: -- because we simply
5 compared mean values between adults and child.

6 DR. YOGEV: What I'm trying to suggest is,
7 the agency -- and correct me if I'm wrong -- usually
8 is accepting to start a dose which will give the same
9 area under the curve as an adult. And we found out in
10 the pediatric more often than we'd like to, like
11 Ritonavir-Nelfinavir, that you need more of the drug
12 than the adult. So when a new combination come or a
13 new formulation, I'm encouraging to look into what is
14 the new one and not what was there as a definition to
15 start comparing the drug and saying the same in the
16 opposite way.

17 CHAIRMAN GULICK: Dr. Hansen?

18 DR. HANSEN: I just wanted to second what
19 Dr. Bertino said about additives. Because during that
20 first year and second year of life you're having a
21 tremendous amount of antigenic challenge. It's
22 stimulated by the medical community, which in itself

1 has raised concerns from the FDA, and made us all go
2 back and just look at childhood immunization. So it
3 will be important for us to take a look at that.

4 I'm not worried about mercury. Don't
5 misunderstand me. But I do think that we need to be
6 really cognizant of what is in that, how much alcohol
7 is really there, what's going to create problems for
8 us such that, first of all, it tastes so nasty that
9 it's horrible, unless you can do something with
10 barbecue sauce, from a Texan, of course, or --

11 (Laughter)

12 -- or you're not placing them at some risk
13 and meeting some other -- or maxing out on some other
14 FDA requirement.

15 CHAIRMAN GULICK: Dr. Murray?

16 DR. MURRAY: Well, I just wanted to say I
17 think when we pick out the initial dosing regimens for
18 children we are never really equivalent, from a
19 bioequivalence standard, to the adults. I mean, we're
20 lucky if we get six to eight children for each
21 childhood age group like two to six, six months to
22 two. I mean, we're just ecstatic if you have eight to

1 ten patients. And so, I mean, it gets whether it's
2 mean or -- really, we just kind of look at all the
3 data, and a mean on such a little number of patients,
4 I mean, you're basically looking at all the data
5 points, essentially.

6 I think the question was, as the age gets
7 younger a lot of times, the drug appears to clear
8 faster and you can't match all the parameters. So
9 that in order to get the same AUC -- and if you think
10 C_{min} is important, to get a good C_{min} -- you might also
11 have to increase C_{max} .

12 And so I guess it's back to the -- we've
13 been going around and around what's the important
14 parameter. I think the question, what we're trying to
15 ask, if you had to match parameters for children and
16 adult, which ones would you try to match on, knowing
17 that they're not going to be all the same? Which are
18 the critical ones that you would think are important?

19 DR. HANSEN: I think Dr. Fletcher actually
20 gave a good idea about that, and I would agree with
21 his comments. "

22 DR. FLETCHER: Yes, well, if I really had

1 to, I'd really look for AUC for the antiretrovirals.
2 Based on what we know, I'd really try to match on AUC
3 and C_{min} first, and then go from there.

4 And I understand the point about the small
5 numbers of children, just having any data at all. And
6 I guess I'm just suggesting I think we need to look
7 closer at what those actual pharmacokinetic parameters
8 are, what is the range; and distribution-wise, what
9 proportion go below certain values.

10 DR. HANSEN: Just as suggested in the
11 adult population, if you're going to compare, then I
12 would just say make sure that for pediatrics you also
13 feel real comfortable with the C_{min} that you picked in
14 the adult studies, because if later that's flawed,
15 then we're flawed by definition.

16 CHAIRMAN GULICK: Okay, Dr. Bertino.

17 DR. BERTINO: It's another question for
18 people who I'm sure know a lot more about this than I
19 do. What about using the metabolic weight calculation
20 to come up with your initial dosing regimens for kids?
21 Courtney, you may be able to comment on that.

22 DR. FLETCHER: Well deriving that first

1 starting dose the first time you go into children,
2 it's not a straight-forward thing, whether you do it
3 on body weight, milligrams per kilogram, or per meters
4 squared, or you go to the more allometrically scaled
5 type of formulas.

6 Our experience with at least the PI and
7 the NNRTIs says we get a better starting dose when we
8 tend to go with body surface area or we go with -- you
9 call it a metabolic weight, or it's also the same as
10 some of these allometric formulas. It doesn't mean
11 that's where we end up, but we begin to approximate
12 adult exposures better when we start there.

13 The difficulty becomes if you use the
14 allometric formulas. For people that haven't seen
15 them, they're weights and heights, and they're raised
16 to exponents, and they're not something that you can
17 easily calculate or dose from. Then how do you
18 translate that back into dosing guidelines that can be
19 easily understood and interpreted and calculated? So
20 you'll end up still having to make cut points with
21 weights and ages and things like that. So that's the
22 challenge.

1 But from a drug development point of view,
2 to just finish, we will under dose fewer children if
3 we at least start the dosing, I think, with those type
4 of formulas.

5 DR. BERTINO: So if you were to say you
6 wanted to study a new agent and use those formulas for
7 your studies and then convert it back, in the PI, into
8 milligrams per square meter or whatever, would that be
9 reasonable to do?

10 DR. FLETCHER: Yes, I think it would.
11 Like to ask the pediatricians, get their point.

12 Because there's some question, then, that
13 comes is: What error do you introduce? And so, if
14 you're going to dose a drug on a body surface area
15 basis, what error might you introduce in the
16 calculation of that dose based upon the calculation of
17 body surface area? I'd like to hear what Celine or
18 Ram have to say about that, but I think most
19 pediatricians are probably pretty good at being able
20 to calculate BSA and so I tend to think that's not a
21 major problem.

22 But yes, Joe, in principle that's how I

1 would think. You're going to have to translate it
2 back to something that's easier to use.

3 DR. YOGEV: You're correct, maybe, on
4 pediatricians don't have some problems. But family
5 physicians may have some issues about the surface.
6 And that's why it would be nice if we could convert it
7 later. But in the real situation, I think you should
8 go with meters squared. But, for example, many people
9 in herpes simplex left the meter squared and used
10 milligram per kilo just because it's easier to
11 perform, not to mention the measurement of length is
12 quite inaccurate in many situations, the younger you
13 are.

14 So I agree with what you're saying
15 wholeheartedly, but we need to keep in mind that the
16 agency might consider what milligram per kilo, at
17 least for the older kid, would be better.

18 CHAIRMAN GULICK: Dr. Hansen?

19 DR. HANSEN: I agree that translate better
20 outside of academic areas where you're talking to
21 somebody in a very small town who's trying to pull out
22 their old Harriet Lane saying body surface area. Did

1 he get a burn? I don't remember that.

2 The other issue I was going to bring up,
3 related to weight, actually, was the teenager. And we
4 have a lot of our perinatally infected kids are teens
5 now, and they're small, they're really runted, and
6 they're not a 50-kilo adult. And those are the
7 guidelines that we have for them. And I think in the
8 public hearing we heard some requests that we think
9 about gender differences as related to weight.

10 And I would just have you remember age
11 differences as related to weight, not only for my
12 teenagers, but also because I have older people that
13 I care about they seem to get a little bit skinny,
14 too. So we just need to think about weight in the
15 adult population, if you want to call adolescents
16 adults.

17 CHAIRMAN GULICK: So, just to sum up, it
18 sounds like certainly you cannot just extrapolate from
19 adult pharmacokinetic parameters to children. We're
20 reminded, of course, even within children, that there
21 are different subgroups; not to forget about
22 incipients; and that data may be hard to get, simply

1 because of numbers of patients. Let's move to the
2 final --

3 DR. GERBER: Just one quick question. I'm
4 not a pediatrician so I -- is there any difference in
5 protein binding in the pediatric population of these
6 drugs, especially PIs or NNRTIs, than adults?

7 DR. FLETCHER: I'm not aware, John, that
8 anybody's looked.

9 DR. YOGEV: The few which we look in
10 antibiotic, there was not. But in those, I don't
11 know.

12 Before you go to the last one, I just
13 unfortunate thought on the question. I just want to
14 put a clear request, demand, whatever. Pregnant
15 women. I think it's a major problem. We have women
16 taken off medication because supposedly there is no
17 data. Teratogenic is carried over even that you
18 cannot give a Nevirapine delivery because it
19 teratogenic.

20 And I would appreciate if the agency would
21 change criteria, that, for example, my own IRB is
22 giving me a hell of time every time when we exclude

1 every women. We're just saying it's totally unfair
2 not to test this population, which is unique and need
3 to have the data, and would help us to the pediatric,
4 is less resistant of what you mentioned before. But
5 things which are now done are even less known than in
6 pediatric, how to deal with those drug in this
7 population.

8 CHAIRMAN GULICK: Thanks for that. Let's
9 turn to the last question.

10 In the last couple of minutes, what kinds
11 of studies are needed to better define PK/PD
12 relationships for antiretroviral drugs? Something
13 we've come back to pretty much all day.

14 Dr. Mathews?

15 DR. MATHEWS: I just want to talk about
16 the perspective of the treating physician again,
17 because you can't spend a whole day talking about this
18 without the issue of therapeutic drug monitoring
19 coming up.

20 And given the variability in
21 pharmacokinetics, I think that this issue can't be
22 avoided anymore. And the problem is, we can't bring

1 everybody into a CRC to measure AUCs in the acute and
2 chronic dosing setting. And I think that there needs
3 to be studies which correlate with the more
4 established pharmacokinetic measures, with simply-
5 measured time, post-dosing levels, and so on, that
6 correlate reasonably with the more established
7 parameters, so that these kinds of measures can be
8 used in the practice setting.

9 And if you think about it, the denominator
10 of the C_{\min}/IC_{50} ratio is now available in clinical
11 practice, and we don't have the numerator at least
12 routinely available yet. And this kind of data could
13 be generated.

14 One of the studies, I noticed the abstract
15 on Nelfinavir, where there was data on a two-hour
16 post-dose, which is quite reasonable to get in a
17 clinic setting. Whereas, coming in first thing in the
18 morning for a C_{\min} is a bit more difficult.

19 CHAIRMAN GULICK: Dr. Gallicano?

20 DR. GALLICANO: Just to further elaborate,
21 I'm aware right now that there are three major
22 therapeutic drug monitoring trials going on in the

1 world: one in Canada; one in Holland, through Richard
2 Hoetelmans' group; and through David Beck in the UK.
3 And they're specifically designed to look at the
4 clinical utility of therapeutic drug monitoring.

5 Most of these studies will look at two
6 time points: C_{min} and a pseudo- C_{max} , which is either a
7 two- to a four-hour time point. One thought that has
8 been going into these studies is not to use the
9 observed time points. And that's really what we've
10 been talking about throughout this whole discussion,
11 is when we relate PK/PD measurements, we're looking at
12 observed PK exposure measurements versus
13 pharmacodynamic parameters. The problem with these
14 single time points is, because of non-adherence, as
15 John has pointed out, these patients often come to the
16 clinic a little later than what they should be,
17 through whatever reason.

18 And what we're trying to do now is to
19 establish population pharmacokinetic models for all
20 the protease inhibitors, such that these observed
21 parameters, whether they're taken at eight hours or 12
22 hours, can be corrected for body weight and corrected

1 for the time that the sample was taken, and a
2 predicted value is generated, and then those are
3 plugged into your PK/PD models. And also, they are
4 used to correlate to your virologic parameters.

5 So I think that's a move right now to get
6 away from observed values because of the problems with
7 variability, and try to minimize those through PK/PD
8 model similar to what Terry's been discussing.

9 CHAIRMAN GULICK: Dr. Flexner?

10 DR. FLEXNER: Well, I guess, just as a
11 general response to this question, what I come away
12 with from this session today is that my colleagues and
13 I in clinical pharmacology have failed to move the
14 clinical practice community into thinking that we can
15 substitute pharmacokinetic and pharmacodynamic data
16 for clinical endpoint studies.

17 And it reminds me quite a bit of the
18 discussions we had eight to ten years ago about using
19 surrogate markers like CD4 and viral load effects to
20 evaluate the potential clinical utility of new
21 antiretroviral drugs. And it took several years and
22 studies, and some persuasive investigators, to

1 convince the FDA that we could use changes in viral
2 load to substitute for clinical endpoints. And I
3 guess it's going to take several years and larger
4 studies and more persuasive pharmacologists to
5 convince this group that we can use pharmacologic
6 endpoints to substitute for clinical endpoints.

7 It's interesting, in that, out of
8 necessity, we seem to be paying more attention to
9 pharmacokinetic data in pediatric recommendations than
10 in adult recommendations, because we can't do endpoint
11 studies with different -- clinical endpoint studies
12 with all the different dosing regimens in the
13 pediatric population, because there aren't enough
14 patients. And I wonder if we'll soon be approaching
15 that situation, at least, with eligible subjects in
16 the United States. Maybe that will push us to gain
17 more faith in our pharmacokinetic and pharmacodynamic
18 models.

19 Anyway, I hope that the therapeutic drug
20 monitoring trials will not only improve our
21 understanding of the treatment of this disease, but
22 also focus attention on the potential value of

1 pharmacokinetic parameters in clinical practice.

2 I'm less sanguine that those trials will
3 have an impact on today's discussion regarding use of
4 PK/PD endpoints in regulating approval of new drugs
5 and new regimens, and I fear that applying a standard
6 of clinical endpoints to development of new
7 formulations and new regimens is going to reduce the
8 number of prodrugs and new formulations that are
9 brought to the FDA for approval, and also mean that
10 accommodation regimens involving approved drugs will
11 just simply be used in the community, with collection
12 of data as we see fit, and with no or little
13 regulatory oversight.

14 With respect to the studies that I would
15 like to see done, I think it would be nice to continue
16 to do prospective trials to define target
17 concentrations for all of these regimens associated
18 with acceptable toxicity and virologic outcome, and I
19 know that a number of those trials are currently in
20 progress.

21 I'm a little bit worried that the -- if
22 you consider weight of evidence and which study

1 designs are most likely to provide you with the most
2 convincing results, the best way to evaluate a
3 pharmacokinetic endpoint is to randomize patients to
4 a pharmacokinetic endpoint. And that's not being done
5 in most of the prospective studies that are being
6 conducted, at least in the United States.

7 And so, for example, concentration-
8 controlled clinical trials or dose-individualized
9 clinical trials, at least prospective randomized ones,
10 as far as I'm aware, there isn't a single one taking
11 place in the U.S. right now. So we may be stuck with
12 decision-making based on less than optimal trial
13 designs.

14 But, nonetheless, I think we will continue
15 to learn, and we've got a lot of work to do.

16 CHAIRMAN GULICK: Dr. Bertino?

17 DR. BERTINO: I think also in terms of
18 some other studies that need to be done, since we're
19 seeing patients with HIV living longer, and we're
20 seeing other concurrent drug therapies that are not
21 antiretroviral -- lipid-lowering agents, things like
22 that -- the patients with hep C and the dilemma about

1 using Ribavirin and interferon in these people with
2 drugs like Zidovudine and the possible downside to
3 those kind of things, I think we really need to see
4 those studies to try to sort that out.

5 So, not just with antiretroviral studies,
6 but with the other therapies of infectious diseases
7 and other chronic diseases or antiretroviral drug-
8 induced iatrogenic diseases, drugs that we use.

9 CHAIRMAN GULICK: Dr. Yogev?

10 DR. YOGEV: I disagree with Dr. Flexner
11 that you failed. I think you are at the beginning of
12 a very difficult road, but many of us are convinced
13 that the PK had something in it, we just can't put our
14 finger on it. But you just sound to me like ten years
15 ago people stood up in meetings, says, "It's the
16 virus, stupid," and we said, "It's the CD4, idiot,"
17 and we were kicked out. And the CD4 now becomes an
18 important factor.

19 And it's fascinating to me how people
20 today are still fighting over what these codings mean
21 and still trying to change therapy, when maybe we
22 don't need to because we don't know. So --

1 DR. FLEXNER: So should I say, "It's the
2 C_{min} , stupid," before you beat me to it?

3 DR. YOGEV: Yes.

4 (Laughter)

5 DR. YOGEV: And I say, "Just check on it,
6 idiot." No.

7 I would like to help on what you're
8 saying. One of our major problem is really
9 compliance. And I never saw any company or any study
10 which were done PACTG, forcing us to do a PK level at
11 a time we consider failure. And we are just doing it
12 in a population, PK, we are thinking about it.

13 Another thing, we need a prospective study
14 when a patient declared to be failing, before he's
15 taken off medication, a PK should be taken and we
16 identify the potential important one, which is the
17 C_{min} .

18 And there is nothing better than a C_{min} ,
19 because the if patient lying to you and they say they
20 took it 12 hours ago, and you don't find it there,
21 where you are because you know what the range is. So
22 future studies should impose to look for the PK, and

1 it's importance in that environment, how it's
2 doing, and maybe even on those who are supposedly
3 doing well, especially those who came down from the
4 750,000 to undetectable, which are not many. Are they
5 high on the PK than those who came down from the
6 10,000 or the 20,000 only to the 5,000?

7 And we might get, by that, some notion of
8 where is the importance of the PK, the C_{min} , for
9 example, or the C_{max} , or whatever in that different
10 response to the same therapy. Are we really in the
11 travel of the two logs differences, or is it really
12 only one of the factors that we can identify, taking
13 out, let's say, adherence problem, but it might be
14 other metabolic and so forth.

15 CHAIRMAN GULICK: Dr. Gerber?

16 DR. GERBER: Yes, I just wanted to say, I
17 mean, measuring levels for adherence is flawed. For
18 example, if somebody is failing and you did a C_{min} , and
19 that C_{min} could be absolutely perfect or great, and it
20 still doesn't tell you how the person was taking the
21 medication for eight weeks prior to that, and that
22 might be the reason he failed.

1 And I think it's an important concept for
2 people to understand that when you take single levels
3 that gives you only an idea of what's been going on in
4 terms of the last few days, in terms of drug taking.
5 And I worry about that, because I don't want people to
6 walk away thinking that you could use TDM to look at
7 adherence, because you can't. And I think Terry knows
8 it probably better than anybody else. And I just --

9 DR. YOGEV: I was not mentioning one. I
10 thought once a month you take it in a population, sub-
11 population, and follow them to see those who failed,
12 those who didn't, what happened to their PK along the
13 road.

14 So, you're right, point in time, it's no
15 good. But we had a patient who claimed he took the
16 medication, and we look at his PK. And we have one
17 patient who was zero all the time until we found out,
18 after his mom unfortunately died, that everything was
19 under the bed. So when we did multiple, it came out
20 something is wrong over here. But when you do one,
21 you're absolutely -- so what I'm suggesting is
22 progressive population, sub-population, PK, do it once

1 a month as we do viral load.

2 DR. GERBER: I think if you want to pick
3 up patients who may be hyper-metabolizers, you
4 probably want to pick it up very early. And if you
5 want to do maybe TDM after two weeks or something with
6 an observed dose, that you may be able to pick those
7 patients up. I have a feeling -- and this is a
8 personal feeling -- that those will be in the minority
9 in terms of the ones who eventually fail.

10 CHAIRMAN GULICK: Dr. Fletcher?

11 DR. FLETCHER: John's heard me comment on
12 this before, so, I mean, of course I agree with him.
13 In terms of the problem -- and particularly with these
14 short half-life drugs and trying to use them as
15 surrogates for adherence -- but if drug development
16 leads us to compounds that have longer and longer
17 half-lives -- such as, for example, the non-
18 nucleosides -- there they do become, I think, better
19 surrogates for telling you now about dosing for the
20 last week, the last two weeks, and, as half-lives get
21 longer, perhaps even further back than that. So I
22 think there could be some potential utility, depending

1 on what happens drug-development-wise.

2 The only comment I want to add is to
3 really agree with Charles in terms of the potential
4 value or the value of concentration-controlled studies
5 in the drug development sense, in that they
6 specifically allow you to test a hypothesis: Is this
7 concentration important or not? And you can randomize
8 between two different concentration exposures, you can
9 compare with standard dosing. So, as a type of trial
10 design to allow you to test specific hypotheses, that
11 design, that trial has a lot of value.

12 Now, however, there's a down side to them
13 in terms of quantitatively understanding a PK/PD
14 relationship, because now you may narrow in on a
15 smaller range of concentration exposures. You may not
16 have this broad understanding of what the overall
17 concentration effect relationship is, but I think that
18 the up side of specifically testing two different
19 concentrations, or one concentration versus standard,
20 really is the strength of that.

21 And then, just to mention that it's come
22 up several times, but it's not just one drug in a

1 regimen that contributes to the effect, it's all the
2 drugs in the regimen. And so if one goes down this
3 road, I think we need to be careful about presuming
4 that we could control the concentrations of one drug
5 in a regimen, and that that is going to be important
6 enough that it will make the regimen work or not work.

7 As we learn in particular about nucleoside
8 triphosphates, their intracellular concentrations on
9 the data available so far are going to be even more
10 variable than the plasma concentrations of PIs and
11 NNRTIs. So that's going to be an issue we won't be
12 able to ignore.

13 CHAIRMAN GULICK: So I guess what we're
14 hearing today is that, first and foremost, that we
15 need additional studies to relate specific PK
16 parameters with virologic outcome and safety. That
17 therapeutic drug monitoring is going on right now in
18 pretty large trials.

19 That an interesting design would be a
20 prospective but concentration-controlled approach to
21 drug levels, which currently isn't being done. That
22 we can't forget about the other drugs that patients

1 take that also have affects on the antiretrovirals.
2 And then issues of adherence also remain paramount.

3 So with that, we'll sum up and stop. I'd
4 like to thank all the presenters and the discussants
5 for a very lively, far-reaching discussion which I
6 think has been very helpful in crystalizing the
7 issues. Thanks.

8 (Whereupon, the foregoing matter concluded
9 at 4:57 p.m.)

10

11

12

13

14

15

16

17

18

19

20

21

22

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of: Antiviral Drugs Advisory Committee

Before: Food and Drug Administration

Date: July 25, 2000

Place: Gaithersburg, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

Rebecca Paris