

UNITED STATES OF AMERICA
DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH
ANTIVIRAL DRUGS ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

THURSDAY,

JANUARY 11, 2001

JAN 24 2001

ORIGINAL

The meeting was held at 8:30 a.m. in the Versailles Rooms I, II, and III of the Bethesda Holiday Inn, 8120 Wisconsin Avenue, Bethesda, Maryland, DR. ROY M. GULICK, Acting Chair, presiding.

PRESENT:

- ROY M. GULICK, M.D., M.P.H., Acting Chair
- COURTNEY V. FLETCHER, Pharm.D.
- PRINCY KUMAR, M.D.
- WILLIAM CHRISTOPHER MATHEWS, M.D., M.S.P.H.
- ROGER J. POMERANTZ, M.D.
- SHARILYN K. STANLEY, M.D.
- BRIAN WONG, M.D.

TARA P. TURNER, Pharm.D.
Executive Secretary

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

CONSULTANTS PRESENT (voting):

WILLIAM BLACKWELDER, Ph.D.
MICHAEL SAAG, M.D.

PATIENT REPRESENTATIVES (non-voting):

LYNDA DEE, Patient Representative
YVETTE DELPH, M.D., Patient Representative
RALPH DeMASI, Ph.D., Industry Guest
JULES LEVIN, Patient Representative

GUESTS PRESENT:

COLEEN K. CUNNINGHAM, M.D.
STEVEN DEEKS, M.D.
VICTOR DeGRUTTOLA, Sc.D.
JOSEPH ERON, M.D.
JUDITH FALLOON, M.D.
CARLTON HOGAN
JOHN MELLORS, M.D.
CARLA PETTINELLI, M.D., Ph.D.
JONATHAN M. SCHAPIRO, M.D.
MARTIN T. SCHECHTER, M.D., Ph.D., FRCPC
DOUGLAS WARD, M.D.

FDA REPRESENTATIVES PRESENT:

TOM HAMMERSTROM, Ph.D.
HEIDI JOLSON, M.P.H., M.D.
KATHERINE LAESSIG, M.D.
KIMBERLY STRUBLE, Pharm.D.
JEFFREY MURRAY, M.D., M.P.H.
DIANNE MURPHY, M.D.

PUBLIC PRESENT:

OTTO AH CHING, M.D.
MICHAEL MARCO
JIM ROONEY
EMMANUEL TRENADO
DANIEL VITTECOQ

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Call to Order/Welcome	5
Roy M. Gulick, M.D., M.P.H. Acting Chair, AVAC	
Introduction of Committee	5
Conflict of Interest Statement	8
Tara P. Turner, Pharm.D. Executive Secretary, AVAC	
Introduction/Opening Remarks	13
Heidi Jolson, M.D., M.P.H. Director Division of Antiviral Drug Products, FDA	
Trial Design Issues	25
Therapeutic Challenges for Antiretroviral	25
Experienced Patients: A Clinical Perspective Douglas Ward, M.D. DuPont Circle Physicians Group	
Overview of Trial Design Options: Adults	36
Martin T. Schechter, M.D., Ph.D., FRCPC Professor, University of British Columbia National Director Canadian HIV Trials Network	
Overview of Trial Design Options: Pediatrics	59
Coleen Cunningham, M.D. Associate Professor of Pediatrics SUNY Upstate Medical University	
Trial Design Options: Patient Perspective	70
Carlton Hogan Community Programs for Clinical Research on AIDS (CPCRA) University of Minnesota School of Public Health Division of Biostatistics/CCBR	

I-N-D-E-X (Continued)

<u>AGENDA ITEM</u> (Continued)	<u>PAGE</u>
The Study of Antiretroviral Agents in Heavily Pretreated HIV Infected Patients: A Regulatory Perspective Katherine Laessig, M.D. Division of Antiviral Drug Products, FDA	101
Questions to the Committee	115
Open Public Hearing	180
Emmanuel Trenado	180
Otto Ah Ching, M.D. - Oxo Chemie AG	184
Michael Marco - Treatment Action Group	185
Dr. Vittecoq - EMEA, France	189
Dr. Jim Rooney - ICC	200
Continue Questions to the Committee	216
II. Endpoint Issues	268
Response Rates in Heavily Pretreated HIV Infected Patients Roy M. Gulick, M.D., M.P.H. Assistant Professor of Medicine Weill Medical College of Cornell University	269
Statistical Considerations for Endpoints in Heavily Pretreated Patients Victor DeGruttola, Sc.D. Professor of Biostatistics Harvard School of Public Health	294
Questions to the Committee	311

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

(8:34 a.m.)

CALL TO ORDER/WELCOME

ACTING CHAIRMAN GULICK: Good morning. I'm Trip Gulick from Cornell. I'm the Acting Chair today. I would like to welcome everyone to this meeting of the Antiviral Advisory Committee, where we will be speaking about HIV salvage therapy.

I would like to start with introductions of the Committee members. Please state your name and your affiliation. And I would like to start with Yvette Delph, all the way down in that corner.

INTRODUCTION OF COMMITTEE

DR. DELPH: Good morning. I'm Yvette Delph from Silver Spring, Maryland. And I work with the Treatment Action Group, a community-based activist organization.

MS. DEE: Hi. I'm Lynda Dee, and I'm from AIDS Action Baltimore and the Treatment Action Group.

MR. LEVIN: I'm Jules Levin, the Executive Director of NATAP, the National AIDS Treatment Advisory Project, -- we're based in New York City -- and also a community representative on the CCG and the ACTG.

DR. MELLORS: John Mellors, University of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Pittsburgh.

2 DR. SCHECHTER: Martin Schechter, Canadian
3 HIV Trials Network, guest speaker today.

4 DR. DeMASI: Ralph DeMasi, Director of
5 Biometrics at Trimeris.

6 DR. PETTINELLI: Carla Pettinelli from the
7 Division of AIDS, the National Institutes of Health.

8 DR. FALLOON: Judy Falloon, Intramural
9 NIAID.

10 DR. DEEKS: Steven Deeks, San Francisco
11 General Hospital.

12 DR. WARD: Doug Ward. I'm also a guest
13 speaker in private practice in Washington, D.C.

14 DR. CUNNINGHAM: Coleen Cunningham,
15 Upstate Medical University in Syracuse and a member of
16 the Pediatric ACTG.

17 DR. SCHAPIRO: Jonathan Schapiro from
18 Stanford University and Tel Aviv University.

19 DR. TURNER: Tara Turner, Executive
20 Secretary for the Committee.

21 DR. MATHEWS: Chris Mathews, UC-San Diego.

22 DR. FLETCHER: Courtney Fletcher,
23 University of Minnesota.

24 DR. WONG: Brian Wong, VA Hospital in West
25 Haven and Yale University.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SAAG: Mike Saag, UAB in Birmingham.

2 DR. STANLEY: Sharilyn Stanley, Texas
3 Department of Health.

4 DR. POMERANTZ: Roger Pomerantz, Thomas
5 Jefferson University.

6 DR. KUMAR: Princy Kumar, Georgetown
7 University.

8 DR. BLACKWELDER: I'm Bill Blackwelder
9 from the Biologics consulting group.

10 DR. HAMMERSTROM: Tom Hammerstrom,
11 statistician, FDA.

12 DR. LAESSIG: Katie Laessig, Medical
13 Officer, FDA.

14 DR. STRUBLE: Kim Struble, FDA.

15 DR. MURRAY: Jeff Murray, FDA.

16 DR. JOLSON: Heidi Jolson, Director of the
17 Division of Antiviral Drug Products, FDA.

18 DR. MURPHY: Dianne Murphy, Office
19 Director, FDA. And I want to comment that yesterday
20 this Committee provided an excellent discussion on
21 trial design for antifungals. I hope we hear the same
22 sort of discussion again today.

23 ACTING CHAIRMAN GULICK: And I have no
24 doubt about that.

25 (Laughter.)

1 ACTING CHAIRMAN GULICK: This is one of
2 the largest committees I think that has been convened.
3 In fact, I can barely see people at the end of the
4 table.

5 Okay. Tara Turner will now read the
6 conflict of interest statements.

7 DR. TURNER: Thank you.

8 CONFLICT OF INTEREST STATEMENT

9 DR. TURNER: "The following announcement
10 addresses the issue of conflict of interest with
11 regard to this meeting and is made a part of the
12 record to preclude even the appearance of such at this
13 meeting.

14 "Based on the submitted agenda for the
15 meeting and all financial interests reported by the
16 Committee participants, it has been determined that
17 all interests in firms regulated by the Center for
18 Drug Evaluation and Research which have been reported
19 by the participants present no potential for an
20 appearance of a conflict of interest at this meeting
21 with the following exceptions.

22 "Since the issues to be discussed by the
23 Committee at this meeting will not have a unique
24 impact on any particular firm or product but, rather,
25 may have widespread implications with respect to an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 entire class of products, in accordance with 18 U.S.C.
2 208(b), each participant has been granted a waiver
3 which permits them to participate in today's
4 discussions.

5 "A copy of these waiver statements may be
6 obtained by submitting a written request to the
7 agency's Freedom of Information Office, Room 12A-30 of
8 the Parklawn Building.

9 "With respect to the FDA's invited guests,
10 there are reported interests which we believe should
11 be made public to allow the participants to
12 objectively evaluate their comments.

13 "Dr. Coleen Cunningham would like to
14 disclose that she served as co-investigator on a
15 Glaxo-Wellcome study in 1999. She also received fees
16 from Boehringer-Ingelheim for a virology consultation
17 and a lecture.

18 "Mr. Carlton Hogan would like to disclose
19 that he is an unpaid scientific adviser to
20 Glaxo-Wellcome, Boehringer-Ingelheim, Abbott Labs,
21 Trimeris, Gilead Sciences, Agouron, and Roche.

22 "Mr. Hogan's employer, the University of
23 Minnesota, has a cooperative agreement with the NIH.
24 They are the statistical and data management center
25 for a clinical trials network called the CPCRA. Mr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Hogan works at the CPCRA, which is housed at the
2 Coordinating Center for Clinical Research at the
3 university. He does not directly handle data or see
4 patients. In addition, one of the study sites is
5 currently receiving Combivir as the study drug in one
6 of their trials. And industry has supplied study
7 drugs in prior trials.

8 "Dr. John Mellors would like to disclose
9 that he receives consulting fees from the Agouron
10 Pharmaceuticals, Glaxo-Wellcome, Visible Genetics,
11 Abbott Labs, DuPont Merck, Bristol-Myers Squibb,
12 Boehringer-Ingelheim, Gilead Sciences, and Merck. He
13 also receives consultant fees with stock options from
14 Virco, Novirio, and Pharmasset.

15 "Dr. Jonathan Schapiro would like to
16 disclose that he is negotiating a contract with Roche
17 to study Fortovase. He received honoraria from Roche
18 for his past lectures on HIV resistance. He has also
19 served as a scientific adviser to Roche and Agouron.

20 "Dr. Steven Deeks would like to disclose
21 that he has received contracts and grants from Abbott,
22 Gilead Sciences, and Triangle. He is also a research
23 for ViroLogic and Viable Genetics, and he receives
24 honoraria from Agouron, Glaxo-Wellcome, Hoffman
25 LaRoche, Merck, and Bristol-Myers Squibb.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 "Dr. Victor DeGruttola would like to
2 disclose that he owns stock in Pfizer Pharmaceutical.
3 In addition, he has a contract with Visible Genetics
4 for data analysis. Dr. DeGruttola has also received
5 consulting fees from Tibotec, Incorporated.

6 "Dr. Ralph DeMasi would like to disclose
7 that he owns stock in Trimeris.

8 "Dr. Martin Schechter would like to
9 disclose that he is currently on an arm's-length data
10 safety monitoring board for a trial sponsored by
11 Glaxo-Wellcome.

12 "Dr. Jules Levin would like to disclose
13 that his organization, the National AIDS Treatment
14 Advocacy Project, receives unrestricted educational
15 grants from all HIV pharmaceutical companies. NATAP
16 also received the Ryan White Treatment Education Grant
17 from HRSA.

18 "Dr. Joseph Eron would like to disclose
19 that his employer, the University of North Carolina,
20 receives contracts from several major pharmaceutical
21 companies to perform research for which he is listed
22 as principal investigator.

23 "Dr. Eron also receives consulting fees
24 from Merck, Glaxo-Wellcome, Trimeris, and Triangle.
25 Dr. Eron also receives honoraria from several

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pharmaceutical companies involved in development and
2 marketing of antiretrovirals. Additionally, he serves
3 as a scientific adviser to Glaxo-Wellcome, Merck, and
4 Triangle.

5 "Lastly, Dr. Douglas Ward would like to
6 disclose that he owns stock in Vertex Pharmaceutical.
7 Dr. Ward also serves as a researcher for
8 Glaxo-Wellcome, Bristol-Myers Squibb, Schering Plough,
9 Agouron, Triangle, Merck, DuPont, and Gilead Sciences.

10 "In addition, he serves as a consultant
11 for Glaxo-Wellcome, DuPont, Abbott, Roche, Agouron,
12 Boehringer-Ingelheim, Vertex, and Bristol-Myers
13 Squibb. Dr. Ward also serves as a speaker for
14 Glaxo-Wellcome, DuPont, Chiron, and Agouron.

15 "In the event that the discussions involve
16 any other products or firms not already on the agenda
17 for which an FDA participant has a financial interest,
18 the participants are aware of the need to exclude
19 themselves from such involvement. And their exclusion
20 will be noted for the record.

21 "With respect to all other participants,
22 we ask in the interest of fairness that they address
23 any current or previous financial involvement with any
24 firm whose products they may wish to comment upon."

25 Thank you.

1 ACTING CHAIRMAN GULICK: Thanks very much.
2 I would like to turn it over to Dr. Jolson for
3 introduction and opening remarks.

4 INTRODUCTION/OPENING REMARKS

5 DR. JOLSON: Good morning. I'm always
6 asked to go first so that I can test out the
7 equipment. So I'm always sort of a guinea pig.

8 We're really pleased to be able to convene
9 this meeting today. We realize it is long overdue and
10 is probably the most important generally broad
11 scientific meeting that we have convened in recent
12 memory.

13 In the next couple of minutes, I would
14 like to just sort of state what the issues are that we
15 think there will be general agreement on, talk a
16 little bit about our meeting objectives, define the
17 patient population that we're going to be speaking
18 about today, talk a little bit about historically how
19 we think we've reached this point as an agency and
20 where we think that the field needs to go.

21 I'll mention what FDA's role and interest
22 and focus is just as a reminder, talk a little bit
23 about how we went about seeking public comment, go
24 over the agenda and acknowledgements for the meeting.

25 So I tried to list a few issues that I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think most of us will agree upon. And for most of
2 these things, you will hear data later today.

3 First and foremost, I think we can all
4 agree that the utility of initial regimens, both the
5 first regimen, the second HAART regimen, is
6 time-limited. Our first speaker today, Dr. Ward, will
7 be talking to this point.

8 Second, I think we understand loud and
9 clear both from the community and as health care
10 providers ourselves that there are insufficient
11 treatment options for heavily treatment-experienced
12 patients.

13 Thirdly, we are painfully aware that very,
14 very few antiretroviral labels have information on
15 either dosing, safety, or efficacy in
16 treatment-experienced patients. And I'll talk a
17 little bit about why that has come to be in a few
18 moments.

19 Fourth, I think we can all agree that the
20 development of new agents and their identification as
21 well as subsequent labeling is a major public health
22 priority.

23 So today's objectives, these are really
24 the broad objectives beyond some of the technical
25 issues that we will be discussing. Fundamentally, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are interested as an agency in helping to facilitate
2 and promote the development of new therapies for
3 patients who we believe are most in need of treatment
4 options.

5 Second, we strongly wish to foster
6 collaboration between companies in terms of conducting
7 studies together, jointly developing promising
8 products, and sharing placebos for study designs that
9 would be appropriate.

10 Third, we're hoping from this large expert
11 Committee that we have assembled today to obtain
12 recommendations that we will incorporate into our
13 either existing or developing guidance documents.

14 The HIV RNA guidance document is what is
15 currently available on the Web. It has recently been
16 updated, but we would hope to incorporate the advice
17 that we receive today into that general drug
18 development guidance document as well as we would
19 anticipate incorporating this information into our
20 guidance documents that are in development which have
21 to do with resistance testing during HIV drug
22 development and also development of alternative dosing
23 regimens.

24 We realized as we were planning this
25 meeting that for purposes of discussion, we needed to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 put forward a definition of the relevant patient
2 population. because otherwise we appreciated that we
3 would probably spend the better part of the morning
4 trying to decide and come to agreement on a common
5 definition.

6 There's no question that clinically there
7 are many different ways to define patients who are in
8 need of therapeutic options, but we have put forward
9 purely for purposes of discussion the following
10 definition. And that would be patients who have
11 experienced loss or lack of virologic response to at
12 least two combination HAART regimens and patients who
13 within those regimens have had experience with at
14 least one member of each of the three pharmacologic
15 classes.

16 Why this definition when so many others
17 could have been chosen? We feel that, as a minimal
18 definition, this would identify patients in whom
19 designing comparative trials has been particularly
20 problematic.

21 Next slide, please. So how did we reach
22 this point? It's certainly easy to say we reached
23 this point because FDA hasn't done enough. Well, I
24 think that is a little simplistic.

25 Historically, in fact, we have recommended

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that new antiretrovirals be studied in many patient
2 groups and in a very broad population to match the use
3 in actual practice.

4 We have, additionally, provided for
5 flexibility in what the endpoint is. However, in
6 reality, we are painfully aware that most
7 registrational studies have been conducted in either
8 treatment-naive patients or nucleoside-experienced
9 patients only with very few exceptions.

10 Why is that when we realize that there are
11 other patients in need of therapy and in need of
12 having data and labeling? One is sort of the
13 practical element that often the treatment standards
14 have evolved since trials, registrational trials,
15 would have been initiated a couple of years ago. That
16 no longer is a good excuse, but that is probably the
17 case for drugs that may have been approved about two
18 years ago.

19 Second, unfortunately, sometimes the new
20 drug is just not expected to be particularly effective
21 or active in a more experienced population because of
22 cross-resistance.

23 The third possible reason is really the
24 reason we have convened this Committee, because we
25 will recognize the challenges of trial design and also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data interpretation in a treatment-experienced
2 population.

3 Next slide. We have thrown out just a few
4 of the challenges that we are aware of from speaking
5 to patient groups and also to industry. Why are these
6 trials so difficult to do? Why do we need a meeting?
7 And why do we need probably the largest advisory
8 committee that we have ever assembled to discuss this
9 issue really speaks to what a challenge these trials
10 are to design and interpret.

11 One is the heterogeneity of the
12 population. It's certainly easy to put a population
13 definition on a slide, but in reality, as everyone
14 knows, each patient is different and each patient
15 comes with a different history, probably a different
16 resistance profile, and has different treatment needs.

17 Second, it is difficult enough to put
18 together one regimen that would be acceptable for a
19 given patient, but then to figure out what would be an
20 acceptable comparator for the control group becomes
21 even more problematic.

22 Third, although traditionally we have
23 hoped to maximally suppress viral replication, that
24 may not be possible with all the therapeutics that are
25 currently in development. So that means we have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 look to alternative either virologic or immunologic or
2 clinical endpoints, but we need to discuss as a group
3 which endpoints are clinically relevant and for any
4 given agent what endpoints are likely to be
5 achievable.

6 Fourth, we're increasingly aware that
7 additional dose finding is necessary for many products
8 in treatment-experienced populations. I think that
9 we're starting to see more interest amongst industry
10 to do additional dose finding, not just in
11 treatment-naive subjects but also in previously
12 treated patients.

13 Fifthly, every new drug needs a safety
14 database. Certainly with some of these trial designs,
15 safety assessments will be more complicated because of
16 the additional therapies and lack of clear-cut
17 comparators.

18 Next slide. Well, where do we need to go?
19 Hopefully we all will agree on this, that we do need
20 new agents that have both acceptable tolerability but
21 also established efficacy. That means you need real
22 data to support their use and what I have in yellow
23 here, the development of novel clinical trial design
24 approaches that we hope to formulate today.

25 Next slide. So what is our role in all of

1 this? It has been really interesting as we have had
2 ongoing discussions with community and industry. It
3 has become clear that FDA has a major role to play in
4 bringing forward this issue. And it has been very
5 interesting to see both the community and industry
6 looking to us to kind of lead the way and to put
7 people's minds together to try and deal with some of
8 these problems.

9 In 1999, we sent a letter to all ID
10 holders, the pharmaceutical sponsors, to articulate
11 that there is absolutely no prohibition against use of
12 more than one investigational agent in either a
13 clinical trial protocol or through expanded access,
14 there had been a common misconception that the law
15 didn't allow that. It is absolutely not true, and we
16 think that this is an important component of most
17 clinical trials in this population.

18 Additionally, we have increasingly been
19 trying to provide both labeling and other regulatory
20 incentives to promote development. As an example of
21 newer labeling, I would refer you to the newly
22 approved Kaletra label, which certainly has labeling
23 that is somewhat different than has previously
24 appeared with previous drugs when there is data that
25 we think is important for practitioners and patients

1 to be aware of for use in a more treatment-experienced
2 population.

3 Other regulatory incentives would be the
4 accelerated approval provisions. Certainly
5 development of drugs for treatment-experienced
6 patients is probably the best use of accelerated
7 approval provisions as well as priority review,
8 meaning a faster time frame for reviewing a new drug
9 application.

10 I think it was clear in talking to both
11 industry and the community that there was the
12 consensus about a need for an FDA-sponsored working
13 meeting on this topic. After I made this slide, it is
14 not to say that other advisory committees aren't
15 working meetings, that you all don't work at them, but
16 this is one where we are coming to you with very
17 open-ended questions and asking you to do work at the
18 meeting and trying to develop answers.

19 Just as a reminder, what is FDA's focus?
20 There are many different types of trials. This is
21 always a point of confusion. What does FDA need to
22 put something on the label or make a regulatory
23 decision? Well, usually these are registrational
24 studies, which are also described as the adequate and
25 well-controlled pivotal trials. They are somewhat

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 different than the equally clinically important
2 strategy studies, which are studies that are more
3 exploratory in nature, which would assess the overall
4 efficacy of a regimen.

5 The major distinction is that it's the
6 registrational study that would allow us to evaluate
7 the contribution of a given drug. That is the burden
8 that sponsors have in order to put that information in
9 labeling.

10 But that's not all we're interested in.
11 We're also interested in other trial designs and data
12 collection tools that will provide important
13 prescribing information for labeling. Examples would
14 be dose finding, drug interactions, other special
15 focus questions.

16 Next slide. In order to plan this
17 meeting, we very broadly solicited public input. And
18 we sent out on October 3rd hundreds and hundreds and
19 hundreds of letters to both industry and to I think
20 several hundred community groups. I want to thank
21 Richard Klein's help with that from the Office of
22 Special Health Issues. We also published a Federal
23 Register notice.

24 In this letter and letter and official
25 notice, we specifically asked for proposals for trial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 design and the role of specific designs, comments on
2 the relevant patient population in need, and baseline
3 stratification characteristics.

4 We asked for comment on what are
5 appropriate or inappropriate control arms and the
6 result of resistance testing to construct an optimal
7 regimen.

8 We asked for feedback on appropriate
9 outcome measures, different clinical trial endpoints,
10 as well as any additional comments on special
11 considerations for pediatric populations.

12 Next slide. And so with that feedback,
13 which you'll be hearing about a lot today, we put
14 together the following agenda. First I would like to
15 thank all of today's speakers, who have come in from,
16 really, around the world to try and hit some of the
17 major high points for consideration before the
18 Committee approaches these very difficult questions.

19 We'll be starting out this morning with
20 the clinical perspective, kind of setting the stage
21 for what is the clinical reality in the year 2001;
22 then moving on to trial design options for adults,
23 kind of an overview of the trial design science;
24 additional options for consideration for pediatric
25 population; and, importantly, an opportunity to hear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from a patient perspective what are acceptable trial
2 design options.

3 Following those presentations, our
4 division will present a summary of the many responses
5 that we received from the public as well as our
6 regulatory perspective on some of these options.

7 Next slide. Of course, plenty of time for
8 discussion. And in the afternoon, we'll start out
9 with an open public hearing. I know that there are
10 several speakers who have signed up and I think will
11 also add significantly to our understanding of the
12 issue.

13 And then we'll be turning later this
14 afternoon to the issue of endpoints, and we'll be
15 talking about what response rates have previously been
16 in previously conducted trials as well as what some of
17 the important statistical considerations are that have
18 further discussion and questions to the Committee.

19 Next slide, please. In closing, I really
20 would like to acknowledge the unbelievably valuable
21 input we have gotten from numerous community groups as
22 well as from industry groups that are summarized here.

23 I read them when they initially came in.
24 And yesterday afternoon, I wanted to refresh my
25 memory. I was just really impressed with the quality

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of thought and attention that everyone listed here on
2 this slide brought to this issue and really speaks to
3 the widespread recognition of the importance of
4 today's meeting.

5 And, lastly, I want to end by
6 acknowledging the folks in our division as well as
7 Richard Klein, who put together this meeting and have
8 really worked very hard, in addition to doing all of
9 their usual work, to bring forward what I think will
10 be a very productive discussion and informative for us
11 all and which we feel will really help stimulate the
12 field.

13 Thank you.

14 ACTING CHAIRMAN GULICK: Thanks, Dr.
15 Jolson.

16 TRIAL DESIGN ISSUES

17 ACTING CHAIRMAN GULICK: Can we turn now
18 to begin the morning presentations, focusing on trial
19 design issues. The first speaker will be Dr. Douglas
20 Ward from the DuPont Circle Physicians Group, who will
21 be speaking on a clinical perspective for challenges
22 for experienced patients.

23 DR. WARD: Thank you very much.

24 THERAPEUTIC CHALLENGES FOR ANTIRETROVIRAL

25 EXPERIENCED PATIENTS: A CLINICAL PERSPECTIVE

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 DR. WARD: The first thing I did this
2 morning when I woke up was talk to myself a little bit
3 to see if I had my voice back. Unfortunately, it's
4 not. So if you close your eyes, you can just imagine
5 you have Brenda Vaccaro talking about this for you.

6 My job this morning is to just go over the
7 therapeutic challenges for heavily pretreated patients
8 from the viewpoint of someone as a primary care
9 treatment provider and someone who does clinical
10 research.

11 I would point out that I'm going to be
12 using the politically incorrect term of "salvage,"
13 which when I thought about this, I went back to my
14 Merriam Webster's and found that the verb "salvage"
15 means to rescue or save. So I think in this
16 situation, it is an appropriate term to describe our
17 treatment approaches for patients in these really
18 pretreated situations.

19 Next slide. Defining salvage or treatment
20 failure is a difficult subject. Certainly it can be
21 based on failure of treatment regimens as the
22 definition that is being used for today's meeting,
23 failing at least two HAART regimens that have included
24 at least one drug of each group/class.

25 Certainly there's a question of in that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 situation: How do we define failure? Is it
2 increasing viral load, failure to become undetectable?
3 And certainly this is a variable answer for different
4 patients. One of my patients who has been
5 undetectable would certainly consider a viral load of
6 1,000 a failure; whereas, I have other patients who
7 would give their eyeteeth to be at 1,000.

8 Alternatively, we could base salvage on
9 other definitions, including resistance, either
10 genotypic or phenotypic. Certainly these two
11 definitions are very closely related. Treatment
12 failure generally leads to resistance.

13 Next slide. Very briefly, one study
14 published this year just looks at in the first,
15 second, and third regimens there is a decrease in
16 response rate and a decrease in sensitivity to the
17 drugs, which explains the decreased response rate.

18 Next slide. Why do we need salvage
19 therapy in this day and age with many antivirals of
20 different classes available? For a naive patient, we
21 really do get very good success rates. I can be very
22 optimistic with a naive patient new to treatment that
23 we can do a very good job of getting them undetectable
24 and keeping them undetectable for a long time.
25 However, there are treatment failures out there,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 experienced patients, who have this failure for
2 multiple reasons.

3 One of the more common I see is patients
4 who have been on treatment for years, even over a
5 decade now, very commonly having a prolonged history
6 of sequential mono therapy of the RT inhibitors before
7 a HAART became available so that they have very
8 extensive RT resistance and when the proteases and
9 non-nucs became available had a poor response to those
10 because they didn't have accompanied drugs to use with
11 them.

12 Another very common cause of treatment
13 failure is noncompliance. This is a chronic problem
14 with patients. And if a first regimen fails because
15 of noncompliance, there is certainly a high incidence
16 of failure of subsequent regimens for the exact same
17 reason.

18 There are poor treatment choices, either
19 from inexperienced providers or I will certainly admit
20 and in retrospect, I made a lot of bad choices in
21 years past simply for lack of knowledge of how to use
22 some of our drugs. I have done things that I would
23 never do nowadays.

24 And certainly there are treatment failures
25 that we just can't explain. Nothing is black and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 white in the treatment of HIV.

2 Next slide. How common is this problem?
3 If you look at clinical trials, failure rates range
4 anywhere from 5 to 60 percent. I can name trials that
5 on treatment have 100 percent success rate and other
6 trials even lower.

7 Looking at surveys of clinical practices,
8 success rates are even lower than we see in clinical
9 trials, where you generally have motivated,
10 well-monitored patients.

11 When a first regimen fails, the success
12 rate in subsequent regimens is even lower and makes
13 the prognosis for further regimens even worse. And
14 the resistance from the original regimen carries over.
15 So you've got two failed regimens to work with on
16 subsequent ones.

17 The HOBBS database that I participate in,
18 database of about 2,500 people, Frank Pellow looked at
19 the durability of response to subsequent regimens.
20 Certainly the first regimen on a Kaplan-Meier curve
21 here has significantly longer duration of response
22 than the second or third regimens, which tend to fail
23 more rapidly.

24 Next slide. Looking at the prevalence of
25 treatment failures, I did a review of my own patient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 population, which I actually for the first time found
2 out is about 300 patients and found that 54, or 19
3 percent, of my patients are not on treatment. Either
4 they haven't needed it or, for various reasons, have
5 gone off treatment.

6 Twenty-six percent are undetectable, below
7 the limit of quantification, under first HAART
8 regimen. Seventy-seven, 27 percent, have been on
9 treatment for a long time, became undetectable once
10 they began a HAART regimen, and have stayed
11 undetectable since. With 53 percent of patients
12 undetectable on a HAART regimen, I think I'm probably
13 a little bit above the average.

14 Thirty-three patients, or 12 percent, are
15 patients who have failed multiple regimens, can be
16 defined as someone who needed a salvage regimen and
17 have been successful on that salvage regimen, have a
18 prolonged undetectable viral load.

19 Importantly, I have 34 patients, or 12
20 percent of my patient population, who currently need
21 salvage. They have been through multiple therapies.
22 They have extensive resistance. And I don't have
23 anything to offer them currently for an improved
24 regimen. About four percent are in various regimens
25 that I just couldn't assign to one of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 categories.

2 Next slide. Salvage treatment is
3 obviously very difficult, which is the reason we're
4 here today. There is a lot of cross-resistance to
5 previously used drugs and cross-resistance of these
6 drugs to potential new agents. So we're limited in
7 what we can do.

8 Multi-drug regimens or MegaHAART, trying
9 to use as many drugs as possible, can be difficult to
10 take, difficult to tolerate. Traditionally,
11 historically new agents have become available one at
12 a time. So as with the RT inhibitors, we have
13 successive mono therapy and then single new agents to
14 add in to a failing regimen.

15 The one exception to this I can remember
16 was around '98, when the expanded access program for
17 three different drugs, efavirenz, abacavir, and
18 adefovir, were all available at the same time. And
19 certainly as clinicians, we took advantage of this for
20 our failing patients.

21 In my three-physician practice, we had
22 over 100 patients enrolled in these expanded access
23 programs at the time trying to use multiple new agents
24 for these salvage patients. And, indeed, a number of
25 the patients that we had at this time we did finally

1 get into a successful new regimen.

2 Doing clinical trials in the salvage
3 situation is also very difficult. As has been
4 mentioned, it is a very diverse patient population,
5 both in treatment history and resistance. And trying
6 to draw conclusions from very different starting
7 points is difficult.

8 New agent trials have generally been
9 designed for registrational purposes and can be
10 difficult to show efficacy in a salvage situation. So
11 the trials are not used for this purpose.

12 I just did a check of the trials that my
13 practice was offered in the last year, seeing how many
14 trials are available for salvage. We had eight trials
15 offered to us for treatment-naive patients. We get
16 two or three naive patients a month. We were able to
17 participate in some of these trials and enroll well.

18 We had two trials offered to us for
19 experienced patients. However, they were very
20 restrictive in their entry criteria; for instance,
21 first protease failure, non-nuc-naive.

22 I use a lot of non-nucs. I had absolutely
23 no one to enroll in these trials. And we did a very
24 poor job of enrollment or simply turned the trial down
25 because we didn't think we could enroll.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We had two trials offered to us, truly
2 useable in a salvage situation; one of them, expanded
3 access program with tenofovir. But, once again, by
4 and large, simply adding in one new agent, even though
5 it does seem to have efficacy in the setting of
6 resistance, and one trial with interferon for HIV,
7 simply, once again, an add-on therapy without hope of
8 true salvage. So the trials so far don't appear to be
9 out there for what we need looking for this.

10 Next slide. From the viewpoint of someone
11 who is providing care to their patients as well as
12 doing clinical trials, I have a number of things I
13 want in salvage trials; first of all, a reasonable
14 expectation that the new agent or new regimen is going
15 to be effective and offer hope to my patient of a good
16 response. This includes both new agents or new
17 regimens of existing agents.

18 Salvage trials need to be planned and
19 executed, even before licensing of a drug, so that we
20 can use the agent in these trials, even before it's
21 widely available on expanded access programs.

22 Patients want access to these drugs. And
23 by the time the trials many times are done as Phase IV
24 trials, there is already extensive experience with the
25 drugs, making the trials difficult to enroll. Entry

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 criteria for trials need to be applicable to the
2 populations that they're relevant to, not extremely
3 restrictive, so that we have them available for the
4 patients who need them.

5 The definition of success in salvage
6 trials may not be the same as that in registrational
7 trials. We may have different endpoints, less strict
8 endpoints, but also we have to be flexible in how we
9 apply these trials with bailout options for lack of
10 efficacy in the trials.

11 As with all clinical trials, even in
12 salvage, placebo controls are acceptable if the
13 efficacy of an agent is truly unknown. And trials can
14 also be designed to include non-drug interventions,
15 treatment interruptions, immune stimulants, and other
16 interventions that we may have available.

17 Next slide. If I can deign to approach
18 this from the patient's viewpoint, once again, in
19 entering a clinical trial, a patient has a reasonable
20 expectation of efficacy of the new agent. Many of
21 these patients who have been through many treatment
22 regimens over the years continually failing and
23 decreasing immune system are desperate for effective
24 therapy. They want agents, access to new effective
25 agents, as soon as possible because they don't have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 time to wait.

2 Patients also are aware that using new
3 agents as mono therapy is simply going to make the new
4 agents less effective. And those patients who are
5 clinically stable, despite treatment failure, are
6 going to wait for multiple therapies used together for
7 a more effective regimen.

8 In the salvage situation, we may be
9 willing to accept more risk of toxicity if there is a
10 possibility of success where we haven't had it before.
11 Unfortunately, these patients after extensive
12 treatment and frequently with advanced disease may be
13 more prone to toxicity.

14 So, finally, I just want to mention to
15 keep in mind that for the patients entering salvage
16 trials, this isn't just an experiment. It's their
17 treatment and their life. I think we need to keep
18 this in mind also.

19 Thank you.

20 ACTING CHAIRMAN GULICK: Thank you, Dr.
21 Ward.

22 Are there one or two questions from the
23 panel?

24 (No response.)

25 ACTING CHAIRMAN GULICK: Must be crystal

1 clear. Thank you.

2 Our next presentation is Dr. Martin
3 Schechter from the Canadian HIV Trials Network, who is
4 going to talk about trial design options in adults.

5 OVERVIEW OF TRIAL DESIGN OPTIONS: ADULTS

6 DR. SCHECHTER: First of all, thank you to
7 Dr. Jolson and the Committee for the invitation to
8 talk today.

9 I'm going to try to talk about some of the
10 challenges in dealing with trial designs and probably
11 have to warn you that there are probably more
12 questions than answers ahead. And if there is a laser
13 pointer around? Ah, there is. Great.

14 The first question that people have
15 approached me with is the question of whether we
16 actually have to do randomized controls and whether in
17 the context of salvage therapy we can look to other
18 options.

19 I go back. I'll be talking a bit about
20 the history of medicine today, the case of tuberculous
21 meningitis. It was universally fatal prior to 1945.

22 In 1946, streptomycin appeared. It was a
23 new drug in very short supply. And some treated
24 patients were given this drug, were treated with it,
25 and they survived. It was deemed that randomized

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 controls were unnecessary because the experience was
2 so different from what historically had been observed.

3 The point, though, is that in this
4 situation, we're dealing with an extremely homogeneous
5 patient group. They all had end-stage and accurate
6 lethal infectious disease. The outcome was mortality.
7 The prior outcome pattern; that is, 100 percent
8 lethality, had been fully characterized. And this was
9 a very short-term study. Adherence was not an issue.

10 Now, one of the things we're dealing with
11 is that as we move from naive populations to salvage
12 populations in time, there is something that is rising
13 sharply. It could be viral load. It could be other
14 things. But, in fact, one other thing that is rising
15 very, very sharply is patient heterogeneity in time.

16 What is increasing is the drug history.
17 This is how people diverge in time through this
18 period. The drug exposure intensity that they have
19 experienced; genotype; phenotype; virologic status;
20 immunologic status; clinical status; toxicities they
21 have experienced; malabsorption; previous treatment
22 interruptions; adherence patterns; and, finally, their
23 attitude about treatment, which is very important. So
24 that curve, people diverge continuously in time as we
25 move. And, as well, unknown confounders are also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 diverging.

2 Now, heterogeneity per se does not matter.
3 So in and of itself, it doesn't matter if you have a
4 heterogeneous population. It does matter when these
5 variables are strongly prognostic.

6 Now, I borrowed some data from the Julio
7 Montaner at our place from a multi-drug rescue
8 therapy. The point here is not to look at actual
9 data, but this is variables predicting achieving a
10 decline below 400 in people who had multiple drug
11 failures in the past using many, many drugs.

12 I just want you to look at some of the
13 strengths of the odds ratios at predicting the ability
14 to suppress virus in a salvage population. 3TC
15 resistance had an odds ratio of .17, meaning that
16 means people one-sixth as likely to achieve
17 suppression of viral load, one-sixth as likely.
18 That's equivalent to an odds ratio of six.

19 You'll see when you look at these odds
20 ratios that they are stronger than any treatment
21 effect we might anticipate. So, in fact, the
22 predictor variables can be stronger than the treatment
23 effect. And when that's true, it's very dangerous
24 when you have heterogeneous populations.

25 Now, as patient heterogeneity rises in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 time, there's something else falling. This could be
2 the CD4 count, but also it's our ability to control
3 confounders. This is plummeting in time as we move
4 towards salvage populations.

5 Salvage studies, this is the previous
6 graph of increasing heterogeneity, inability to
7 control confounders. And this is where salvage
8 studies live: out in this territory.

9 Now, can we avoid randomized trials? The
10 attraction, obviously, when people have approached us
11 to talk about historical controls, it's obviously a
12 very attractive approach. And you will see, in fact,
13 in the binder we receive numbers and numbers of case
14 series of people, 30, 40, 50 patients trying to
15 extrapolate what their viral load outcomes were
16 against the observation in other groups.

17 There are lessons from the history of
18 medicine; for example, gastric freezing for duodenal
19 ulcer. The President of the American College of
20 Surgeons decided to try cooled gastric balloons. And
21 he did a very impressive case series.

22 By the way, this was in the '60s. This is
23 not in the 1800s. He wrote, "Since 1961, no patients
24 with duodenal ulcer referred for elective operation
25 have been operated on in the senior author's service.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 This circumstance itself bespeaks the confidence in
2 the method by patients as well as surgeons."

3 Well, this led to the sale of 2,500
4 gastric freeze balloons. An estimated 15,000 patients
5 were chilled. Finally, a double-blind randomized
6 control trial was done in the '60s. The outcomes were
7 poor outcomes: Surgery, bleed, or intractable pain.
8 They did a sham procedure. Their rate was 44 percent.
9 In the freeze group, they had a higher rate of poor
10 outcomes when it was done as a randomized trial.

11 There is a VA study of estrogen in the
12 treatment of prostate cancer. This is an RCT of 2,300
13 patients recruited over 7 years, no change in the
14 eligibility criteria throughout the trial. When you
15 look at what happens to placebo patients in the first
16 two and a half years, they had worse survival than the
17 estrogen patients in the last 2.5. So someone could
18 very easily come along, take this as a series, use
19 these patients as historical controls, and conclude
20 that estrogen was effective when, in fact, in the
21 trial overall, there was no treatment effect.

22 In uncontrolled Phase II cancer studies in
23 advanced bowel cancer in 20 different case series of
24 rapid injection 5-FU, when you look at the 6 largest
25 series, 40 to 150, the response rates ranged from 11

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 percent to 55 percent.

2 So this author could use this author as
3 control and note a treatment effect of fivefold.
4 These numbers are kind of the range of things you see
5 in the literature about ability to achieve a plasma
6 viral load suppression.

7 So traditional orthodoxy is that it is
8 well-known that historical control studies are far
9 more likely to yield positive results. There are a
10 number of articles in the literature that show this.
11 And that has led to the dominance of the randomized
12 control trial.

13 There continues to be an ongoing debate.
14 And just to show you a paper published this year in
15 the *New England Journal*, I know you can't see this,
16 but let me just try to tell you there are five
17 treatment conditions here. And on each of these,
18 these are the odds ratios of treatment effect. The
19 closed circles are the results from randomized trials.
20 The open circles are the results from case control or
21 cohort studies.

22 You can see that, in fact, well-done
23 observational studies don't do too badly. I think
24 this is here to show you the range that you can get
25 from different clinical trials, that the observational

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 studies do pretty well at mimicking the treatment
2 effect that you see in some of the RCTs. This was in
3 the *New England Journal* this year, Concato, Shaha and
4 Horwitz, the point being that those were well-designed
5 case control and cohort studies.

6 And those are not the same as historical
7 control studies or chart reviews. These involve the
8 careful selection of controls. They're usually
9 concurrent. But they could play a role in a situation
10 where prognostic variables are completely categorized.

11 Now, what about the idea of using
12 non-randomized concurrent comparisons by
13 post-randomization variables? That's a mouthful, but
14 what's an example? When you do a within-study
15 comparison based on adherence to a regimen, a very
16 attractive alternative, for example, within the
17 context of the trial, if people who adhere to the drug
18 do better, doesn't that prove that the drug is
19 effective than people who don't adhere as well?

20 So we go to the history of medicine again,
21 and we look at a lipid-lowering trial. This is people
22 in one arm of a study who were given a medication
23 called medication A. These are people who adhered,
24 less than 80 percent. By pill count, these are the
25 people who adhere, greater than 80 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There are the numbers. You can see the
2 mortality rate was double in the people who did not
3 adhere than the people who adhered. This, by the way,
4 was adjusted for 40 baseline predictors, so everything
5 you can think of, the things that we would think of in
6 HIV, they have thought of for cardiovascular
7 mortality: blood pressure, prior MI, New York
8 clarification, angina, and so on. So quite a clear
9 effect that if you take this drug, you do twice as
10 well as if you don't take it and, in fact, turns out
11 that this was the placebo arm of the trial. In fact,
12 this table looks identical to the table you got in the
13 active arm.

14 So what does this mean? Does placebo work
15 better or does it tell us that people who adhere are
16 destined to do better because they adhere? It reminds
17 us that there are more confounders on heaven and Earth
18 that are dreamt of in our philosophy.

19 Salvage therapy and non-randomized
20 controls. As I said, we are dealing with very
21 heterogeneous populations, some variables measurable,
22 some not.

23 We have some very strong prognostic
24 factors, many as yet identified: variable, surrogate
25 marker, outcomes. So I think we have to deal very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cautiously, particularly in this population.

2 Now, it could be that if we were to move
3 the definition of salvage therapy further along the
4 natural history to where it became homogeneous again,
5 we might do better. So if we can move later and we
6 define people by being in worse categories right
7 across the board and getting closer to what might we
8 use the word "salvage" really for, we can possibly get
9 to a situation where outcomes are well-characterized
10 and where this is possible.

11 However, in the meantime, how do we
12 control confounders? We have randomization. We have
13 large sample size. And when you have the combination
14 therapy of these two things, randomization, large
15 sample size, you can get a likely result that you can
16 distribute known and unknown confounders equally.

17 Now, if you look, for example, in the
18 package we were sent, you will see a few papers with
19 very large sample sizes, but you will also see a whole
20 number of case series involving 18, 20, 13, 25, 40
21 patients.

22 What are the remedies for confounding and
23 smaller sample size studies? Well, we can stratify
24 the randomization, which means you pick your strongest
25 prognostic factors.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 You stratify first and then you randomize.
2 If there are n such variables, you will have 2^n
3 strata. And this becomes unwieldy. Sometimes people
4 will build a risk index combining different variables
5 and stratify based on that.

6 There are schemes in which you have an
7 adaptive allocation, which minimizes the imbalances
8 between the groups. So as you go along, if you see
9 that you have an imbalance with regard to some
10 prognostic factor, you would adjust the randomization
11 to try to address that. So that is an adaptive
12 allocation.

13 And, also, there is always post hoc
14 adjustment. Now, the worry with post hoc adjustment
15 by multi-variate analysis is the debate about whether
16 you are having an effect on unknown confounders. And
17 there is the issue of what happens when your crude
18 result is different from your multi-variate result.

19 Again, let me just state something that we
20 all know. There is no within-study remedy for lack of
21 power in small sample size studies. You see these
22 small case series of 18 and 20 and 25 patients. So
23 this is worth remembering when we see that.

24 The issue of blinding is an interesting
25 one in the context of salvage. It's orthodoxy, of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 course, that blinding is required in trials. There
2 are numerous studies that have shown that less bias
3 occurs when you have fully blinded studies and you
4 have a far more likelihood of positive results.

5 For example, this is a large series, a
6 meta analysis, published in JAMA in 1995, 33 meta
7 analyses, 250 trials involving 52,000 participants,
8 12,000 outcome events.

9 This is what they call blinding. They
10 called it "allocation concealment." "Adequate" meant
11 the ideal. "Unclear" was sort of a middle category.
12 And "inadequate" would be sort of an unblinded
13 randomization.

14 These are the odds ratio, one being
15 referent for adequate. You can see that as you move
16 down to less and less blinding, you get stronger and
17 stronger treatment effects. There are a number of
18 papers in the literature that show this to be the
19 case.

20 However, one shouldn't get too strident.
21 Is blinding feasible, for example, in the context of
22 salvage therapy? That's obviously an important
23 question for multi-drug studies. And can the artifact
24 of blinding introduce more bias than it prevents?

25 For example, in a study that we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 looking at in which we are going to compare standard
2 therapy, less than four drugs, versus MegaHAART, if
3 you look at the issue of blinding, you first think
4 about that standard therapy may benefit by greater
5 adherence. That's how if it were to become more
6 efficacious in the result it may do so simply because
7 adherence is better.

8 If we were to try to blind this study, we
9 would, first of all, need from 9 to 17 different types
10 of pills. And, more importantly, we would put on the
11 standard therapy arm an artificial pill burden that
12 would not be experienced in real life under real life
13 standard HAART. So, in fact, this bias, this artifact
14 in the clinical trial, the blinding, could wipe out
15 the adherence advantage and introduce a virus.

16 Another problem as we move through naive
17 to salvage is that intent to treat and treatment
18 received diverge during this time period. This raises
19 particular clinical trial challenges, rapid crossover
20 and dropout.

21 For example, if you, say, cease in a
22 study, you are actually moving from multi-drug to an
23 interruption arm. Intent to treat becomes
24 meaningless. Treatment received becomes highly
25 biased.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The availability of new treatments or
2 strategies as you move forward in time, genotypic
3 testing, compassion, and access are dangerous when
4 they are not built or accommodated into the protocol.

5 Now, if we deal with some of these, offer
6 of early versus late therapy may induce better
7 protocol adherence to keep people in the arm to which
8 they are allocated.

9 For example, if we are doing an
10 interruption trial, we may do interruption now versus
11 interruption in X months from now. And participant
12 education is very important to keep treatment
13 received. And the possibility of a switch after a
14 poor response triggering a crossover is also a way to
15 try to maximize that.

16 The availability of new treatments or
17 strategies, we need obviously to think about rolling
18 protocols that can roll with the punches and preplan
19 future randomizations of future options.

20 Let me speak briefly about factorial
21 designs and give you an illustration. One could ask
22 a question. In people who were treated with indinavir
23 who are NNRTI-naive and who we would like to try
24 different strategies, you could try a simple
25 randomized trial of saquinavir, ritonavir,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 delavirdine, versus nelfinavir, and adefovir, which
2 will answer one question but obviously leave a number
3 of questions unanswered after that trial was done.

4 Well, an alternative way to approach this
5 -- and here is the question again: What is the role
6 of adefovir, delavirdine in these kinds of patients?
7 What about approaching it this way, which is what was
8 done in ACTG 359, is to say there are three options in
9 this axis, delavirdine, adefovir, or combination of
10 both, and in this axis ritonavir or nelfinavir all in
11 a base of saquinavir? We randomized approximately 50
12 people to each of these 6 arms.

13 What do you get out of this? Well, you
14 get a lot of things because you have 100 patients on
15 delavirdine, 100 on adefovir, 100 on the combination.
16 That gives you the comparisons in the vertical axis.

17 In the horizontal access, you have about
18 150 patients randomized to ritonavir and nelfinavir.
19 So you're answering a number of questions. And, even
20 better, if there are any interactions, for example,
21 between two drugs, -- and I don't mean drug
22 interactions; I mean treatment effect interactions --
23 you will be able to discern these within this context.

24 We are currently looking at a study where,
25 instead of looking at combinations of drugs, we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 looking at combinations of strategies. Optima is a
2 two-by-two factorial which involves a treatment
3 interruption, no interruption, MiniHAART versus
4 MegaHAART.

5 And it will be a two-by-two factorial
6 design, which will allow the study of the effect of
7 the interruption, the study of the effect of mini
8 versus mega, and possibly the fact that these two
9 strategies might interact and lead to some kind of
10 synergy.

11 Here is another one, which is a trial of
12 HIV-infected women in Tanzania in the *Lancet*, 1998.
13 This was to test general vitamins, Vitamin A, whether
14 their combination worked versus double placebo. So
15 you could have one vitamin, both vitamins, or nothing.
16 In fact, this showed that I believe the multivitamins
17 were effective and the Vitamin A was not.

18 Interestingly, when I did a Medline search
19 and I used the term "factorial design" as a keyword
20 anywhere in the text and I cross-referenced that with
21 HIV, I actually found three references, which struck
22 me as very low, but that's what I got. I think it's
23 safe to say that factorial designs are woefully
24 under-utilized in the medical literature in general.

25 Now, the variables that we use in a

1 factorial design can be the combination. So here, for
2 example, is a two-by-two factorial study, where there
3 are four different combinations. And that looks like
4 the little two-by-two table I just showed you. So
5 that's one two-by-two factorial.

6 Now, rather than randomizing on another
7 variable, we might have a stratification. This might
8 be a genotypic resistance, and this might be its
9 absence. What we do is stratify and send each group
10 to their two-by-two factorial trial. So the same four
11 combinations are used.

12 Now, not only do you get the Combo 1
13 versus Combo 2 comparisons in the presence or absence
14 of these, but you get the vertical comparisons. And
15 you can look at the interaction of these treatment
16 effects with whether the factor is present or absent.

17 Now, suppose we have a complex situation,
18 we have one factor, like a mutation which has three
19 straight up. We have another factor which has three
20 straight up. And we have three combinations of drugs
21 we want to compare, one, two, and three.

22 You can see if we were to do a full
23 factorial design, we would be talking about 27
24 different cells. And it becomes quite unwieldy. So
25 we can turn to something called the Latin Square

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 design. This is something that is used in basic
2 science, in pharmacokinetic studies, and very commonly
3 in veterinary science.

4 A Medline search using the term "Latin
5 Square" as a text word with the cross-reference to HIV
6 revealed no hits. So I haven't seen this done, but
7 there is a very good article in 1998 JID which talks
8 about the efficiencies of this.

9 In this case, rather than doing 27 cells,
10 which would be the full factorial, you build a
11 three-by-three table. A, B, C is this variable, I,
12 II, III here. And, rather than trying to offer I, II,
13 III in each cell, you move across like this.

14 So every vertical row has each of the
15 combinations present. Every horizontal row has each
16 of the combinations present. There are efficiencies
17 here in the comparisons you can make. It's obviously
18 not as robust as the full factorial design, but it's
19 also one-third as large.

20 So factorial designs are ideally suited
21 when you have multiple therapies that exist and we can
22 give them in different combinations. They're ideally
23 suited when you have different strategies that can be
24 combined. So you might be looking at drug
25 combinations against interruptions, drug combinations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 against vaccines or immunomodulators or adjunctive
2 therapies or complementary therapies. There are ideal
3 scenarios for setting up factorial designs that
4 involve each of these or two of them at a time.

5 You can look at independent treatment
6 effects within the design, and you can also look for
7 synergies of different combinations. These are
8 symmetric, and they're highly efficient. Again, if
9 you look at several publications; for example, the JID
10 publication will show you the power curves that tell
11 you the efficiencies and the fact that you do receive
12 much more bang for your buck when you go this route.

13 Again, as I mentioned earlier, they're
14 woefully under-utilized in the clinical trial
15 literature, particularly in HIV, where we don't see
16 them all that often.

17 Now let me conclude by looking at an issue
18 which is not easy to solve, but it is one that we are
19 left with, which is the issue of drug-wise versus
20 strategy-wise evaluation. I will deal with this
21 hypothetically.

22 Suppose we have new drugs A and B in two
23 different classes and we're hopeful because they
24 appear to have new resistance patterns within their
25 class and A is available for trials now and B is going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to be available in six months, say. Well, what might
2 happen?

3 Well, you can see in the drug-wise
4 evaluation, first somebody will do a trial of A and
5 then later, six months later, a trial of B will begin,
6 switch B versus non-switch.

7 Now, each of these trials will probably
8 contaminate the other because people in the first
9 trial will want access to B and vice versa. So what
10 you end up with is two not mono therapy trials but
11 switch of mono therapy with possible co-intervention
12 in each trial. And the picture looks like this, where
13 you get two independent questions possibly answered
14 but with contamination. That would be the drug-wise
15 approach.

16 Now, what if we were able somehow to take
17 a strategy-wise approach to this evaluation? We could
18 start trial one of switch A versus non-switch. We
19 preschedule a second randomization of switch B versus
20 non-switch when B is available. This is a two-by-two
21 factorial design. And the trial would look like this.
22 Okay? So that's a two-by-two factorial.

23 Another way we could approach
24 strategy-wise drug evaluation would be to wait and
25 say, "Let's wait six months. And let's actually do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the double switch" because if that's the clinically
2 relevant question, let's do it that way. And we
3 perform a two-by-two factorial double switch with
4 double the sample size and look at the interactive
5 effect. So that trial would look like that. You get
6 AB and combination AB from the outset if you were to
7 wait.

8 Miscellaneous considerations: randomized
9 consent designs or Zelen designs, which is where if
10 the two arms in the study are much less or wildly
11 different from one another.

12 The classic example where this was used is
13 in the lumpectomy versus mastectomy trial. And,
14 rather than asking women to submit themselves to a
15 randomization, where they were depending on the flip
16 of a coin to determine what kind of surgery they got,
17 they actually randomized first and then sought
18 consent. This sometimes works in trials where there
19 is a marked difference between the treatment arms.

20 There is an -- now, this is a very
21 controversial subject -- adaptive assignment,
22 so-called play the winner. You have to be in a
23 situation where you have rapid endpoints, you are
24 always seeing what is going on in the trial. But
25 there have been classic examples where this has failed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 miserably. And it violates the simple rapid objective
2 foolproof thing we would like in treatment assignment.

3 Finally, I will just end quickly with a
4 little talk about things besides -- this really is a
5 bad title. It should be "Other Endpoints." Quality
6 of life, economic costs and savings, survival,
7 quality-adjusted life years, and cost per quality.
8 These are issues I think that have to be addressed in
9 salvage trials particularly, rather than simply
10 looking at surrogate marker endpoints.

11 Just some comparators. There is a list of
12 U.S. dollars per quality of life year gained, various
13 different types of procedures. Where I come from, we
14 have to show when we introduce a new thing into our
15 formulary, where it fits in onto the table and can be
16 justified.

17 So I will stop there. Thank you very
18 much.

19 ACTING CHAIRMAN GULICK: Thanks, Dr.
20 Schechter.

21 (Applause.)

22 ACTING CHAIRMAN GULICK: Are there one or
23 two quick questions? Dr. Wong?

24 DR. WONG: I didn't understand the Latin
25 Square design. Could you just expand a little, maybe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 show an example of how that might be done?

2 DR. SCHECHTER: Well, actually, it comes
3 from agriculture and from different sciences. I wish
4 I could get that table back. If you had three
5 different combinations and you had -- I think the
6 example in the JID paper looks at -- let's see if --
7 well, anyway, imagine a three-by-three table and you
8 have -- let's say across the top, the categorization
9 is three different mutation patterns and vertically,
10 say, numbers of protease mutations.

11 If you wanted to assign each of the three
12 combinations you were looking at to each of those nine
13 combinations of mutation and resistance patterns, you
14 would require 27 different allocations.

15 But if you do it by going one, two, three,
16 then two, three, one, then three, two, one, rather
17 than needing 27 different cells, you need 9 cells
18 because every vertical column will have all 3
19 combinations in it and every row will have all 3
20 combinations.

21 So you will be able to look at the three
22 combinations in the context of each of the three
23 mutation patterns. and each of the three other factors
24 that you're looking at. But you do not get the full
25 robust number of comparisons that you can make with a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 factorial.

2 ACTING CHAIRMAN GULICK: Dr. Mellors?

3 DR. MELLORS: Martin, factorial design is
4 appealing for many of the reasons you outlined, but
5 one of the issues always becomes that you can be
6 powered to assess differences across the factors but
7 not between the individual cells and that what happens
8 is you get underpowered cells that may be of
9 particular interest. And when you power those cells
10 for inter-cell comparisons, your sample size becomes
11 enormous.

12 DR. SCHECHTER: Yes, that's an issue. I
13 guess the thing to say about factorial designs is that
14 if you were to have one burning clinical question and
15 have 300 patients to answer it with, probably you
16 would not want to dilute that through a factorial
17 design.

18 So the efficiencies of factorial design
19 only can be fully gained if you're willing to go up
20 marginally in sample size because if you have 300
21 patients, you might be able to answer 3 questions with
22 them, rather than one.

23 So I don't want to deceive anyone that
24 with the same number of patients by using a factorial
25 design, you achieve the same amount of power on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 within-self comparisons. That's not true.

2 But if you were willing to increase your
3 sample size overall or go multi-center, you can with
4 an increase in the number of patients answer far more
5 questions than you could if those patients were
6 distributed among three different questions at three
7 different places.

8 ACTING CHAIRMAN GULICK: Okay. Thanks
9 again.

10 Our next speaker is Dr. Coleen Cunningham
11 from SUNY Upstate, who will be talking about trial
12 design options in pediatrics.

13 OVERVIEW OF TRIAL DESIGN OPTIONS: PEDIATRICS

14 DR. CUNNINGHAM: Thank you for the
15 invitation to speak here today. I have a very
16 difficult task following that excellent presentation,
17 and I hope I manage it well.

18 I just want to say that I was told my main
19 job here was when discussions get around to trial
20 designs and proposed studies that just every five or
21 ten minutes, I'm just supposed to say, "Then don't
22 forget the child." So that part of the job I will
23 have no trouble with.

24 And I hope that in these few minutes I can
25 give you -- I won't wander away from it -- some of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 issues related to pediatrics that I was asked to
2 cover.

3 Before I forget, I also want to thank
4 Steve Spector and Tory Rendon from the PACTG, who gave
5 me some updated information about actual numbers that
6 are available at clinical trial sites. So when we
7 talk about pediatric numbers, I have some hard data.

8 The three bullets that I was asked to
9 cover today were to talk about: the epidemiology of
10 treatment experience in pediatrics; how does the
11 smaller number of HIV-infected children, as compared
12 to adults, impact on trial designs that are feasible;
13 and how does management of HIV disease in children
14 impact the type of trial design options, as compared
15 to adults. I will try to cover each of those three
16 areas quickly.

17 So, first of all, to talk a little bit
18 about the epidemiology of treatment experience in
19 children. I can't tell you nationwide exactly how
20 many children have received what combination, but I
21 can tell you that the vast majority of HIV-infected
22 children are multi-drug-experienced.

23 And because of the evolution of drug
24 availability in this country, the majority, or at
25 least a large number of kids who are infected were

1 exposed to sequential mono, dual therapy and then
2 combination therapy, or at least dual combination,
3 then triple combination.

4 There really are three major factors I
5 think that account for or that explain why our current
6 population of children has the treatment experience
7 that they do.

8 First of all is the evolution of
9 treatment. Second of all is, although that evolution
10 of treatment may not be optimal compared to what we do
11 today, it did allow for increase in survival of
12 children who were alive and ill with their HIV disease
13 in the '90s. We now have significantly decreased
14 mortality, and many children are living longer and,
15 therefore, exposed to more drugs over that time
16 period.

17 Finally, we had the fortunate experience
18 of having relatively few newly infected infants. As
19 I will show you, there really is a limited number of
20 newly infected young infants, not to say there is none
21 but the numbers of young children who are
22 treatment-naive is becoming smaller all the time. And
23 so we have a sort of pool of kids or population of
24 kids who are growing older and seeing more and more
25 drugs.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Next slide, please. So first I want to
2 cover the evolution of treatment in pediatrics.

3 You can go to the next slide. As most of
4 you also have experienced, treatment evolved over the
5 '90s. In the early '90s, we were often using AZT mono
6 therapy because that is all we had. We then went to
7 combinations including AZT/ddI, AZT/3TC. The first PI
8 that was extensively used in pediatrics was ritonavir.
9 And the three-drug regimens really came into
10 relatively common use around '97.

11 Next slide. I just want to show you some
12 data from PACTG 219, which is a long-term follow-up
13 study, of children. Previously to be enrolled in this
14 trial you had to be a child in another PACTG study.
15 And then you went on to 219, which included follow-up
16 to age 21.

17 So there is some bias in that if you were
18 enrolled in this trial at this time point, you had to
19 have been on some study and, therefore, probably were
20 more likely to be treated than the kids that didn't go
21 on this study.

22 However, this is follow-up data on
23 children that were enrolled into that study,
24 HIV-infected children that were enrolled onto that
25 study, prior to January 1st, 1996. And as you can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 see, in 1995 there was virtually no PI use. By 1997,
2 30 percent of the children were on some PI. And in
3 1999, over 70 percent of the children were on some PI.

4 Certainly kids have been on triple
5 combinations and MegaHAART therapy. Unfortunately,
6 many of the kids had some experience to nucs prior to
7 going on the triple combinations. And so the success
8 rate of treatments has not always been optimal.

9 Next slide, please. This evolution of
10 treatment has led to a decreased mortality. More of
11 the infected children are surviving for years.

12 Next slide. From the same study and the
13 same cohort of infected children that were enrolled
14 prior to 1995, as you see, over time mortality in 1996
15 -- and this is percent per year. I'm sorry. When my
16 slide converted to this, some of the things got
17 omitted. But you can see the mortality is less than
18 one percent in 1999.

19 Next slide. That mortality decrease
20 occurred across all racial and ethnic groups.

21 Next slide. And it also occurred across
22 age groups. The yellow bars are the youngest age
23 group, the two to six-year-olds. The blue bars, not
24 a good choice of colors, but the 6 to 13-year-olds,
25 also declines. And the over 13-year-olds declined not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 quite as much. Many of those children have been
2 infected for a long time.

3 Next slide. Finally, data that shows the
4 relatively limited pool of newly infected kids. And,
5 again, this is a slide that didn't quite convert
6 right, but prior to 1993, historically the
7 transmission rate in this country is roughly 25
8 percent.

9 And that is what it was on the placebo arm
10 of PACTG 076. The HIV transmission from mother to
11 baby was eight percent in AZT mono therapy, as shown
12 in 076.

13 PACTG 185, the transmission rate in both
14 arms was 4.8 percent. And the results of 316 are not
15 officially available, but we do know that the
16 transmission rate was significantly less than the 5
17 percent hypothesized for the study. So I put three
18 percent in there. But we know that the transmission
19 rate is continuing to decline in this country.

20 Next slide. So, to wrap up the
21 epidemiology part of the discussion, children with HIV
22 in this country are primarily treatment-experienced,
23 often multiple class-experienced, and may need also
24 sequential mono and dual nucleoside therapy.

25 Exploring options for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 treatment-experienced children is critically important
2 for these children in order to provide care for these
3 children. We really have to evaluate treatment
4 options, management strategies, and effectiveness of
5 every new agent in this population.

6 Now to talk a little bit about how many
7 infected children are there and whether or not that
8 would impact on trial design and are these children
9 potentially available to participate in clinical
10 trials and what age groups are available. And I just
11 want to show you some data from PACTG. This was
12 collected within the last month. So it's quite
13 current.

14 As most of you know, the Pediatric AIDS
15 Clinical Trials Group is made up of two separate
16 subgroups that really function as one working entity.
17 The NICHD tends to represent the smaller sites and has
18 28 relatively small sites. Those sites report that
19 they care for a total of 2,671 infected children. Of
20 that total, 413 are new children; that is, new,
21 infected children that presented within the last year,
22 and 1,213 are adolescents.

23 Among the NIAID sites, there are 23 sites
24 that care for 5,800 infected children, 736 new, and
25 1,738 adolescents; so overall, over 8,000 infected

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 children who are currently in care at PACTG sites.

2 Next slide. The racial and ethnic
3 breakdowns of the children are available. I just want
4 to point out in the infant category here, the zero to
5 23-month, the vast majority of these children are
6 babies born to HIV-infected mothers. Very few of them
7 will end up being HIV-infected; so would not obviously
8 be available for treatment trials.

9 Next slide. How do the numbers impact on
10 trial design options? I think that there is a very,
11 very limited ability to do pediatric studies in
12 treatment-naive children.

13 Any studies that could be done in that
14 population would be very small focused and really
15 targeted to ask very specific questions. However, I
16 do think that the number of experienced children is
17 much greater.

18 And, really, a number of efficacy studies
19 could be done, particularly if endpoints were
20 virologic and not clinical. We definitely need
21 pharmacokinetic safety and activity data, and we have
22 the numbers of children to be able to collect that
23 data.

24 Next slide. I just want to spend one
25 minute talking about some of the treatment issues that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are unique to pediatrics. Why do we even need to do
2 these studies in children? Why can't we just take
3 what you learn from adults and go on our merry way,
4 take care of the children? Because there are a number
5 of things about children that I can't just take your
6 data and use it.

7 First of all, I don't know the dose to
8 use. You cannot predict pediatric dosing based on
9 adult pharmacokinetics. Really, I don't just have to
10 answer the pharmacokinetics for sort of the generic
11 adult. I have to know the dosing, the appropriate
12 dose, for a 2 and a half-kilo 4-week-old and the
13 appropriate dose for a 100-kilo 14-year-old. It's a
14 huge range. The dose and a number of pharmacokinetic
15 parameters will vary greatly across that range.

16 Dosing the volume, palatability. I don't
17 mean to pick on one particular PI, but all the PIs
18 taste terrible. And I just want to ask you if you
19 have all tasted some of the liquid PIs.

20 Imagine giving a large volume of liquid
21 ritonavir to a child several times a day. It's a huge
22 issue. I actually want to point out that that's a
23 reason that the placebo comparators really just can't
24 be done in these pediatric trials with a liquid
25 preparation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The frequency issues. We have to fit
2 things into school schedules. Toxicities may be
3 different in children. I think, fortunately, my
4 general experience is things have been better
5 tolerated in children. At least they don't complain
6 quite as much as adults do.

7 Certainly long-term toxicities, the lipid
8 abnormalities and the mitochondrial toxicities, those
9 exposures over years may be very different in a child
10 than they are in an adult.

11 I think I'm almost done. Just some other
12 issues that are somewhat different. Pretty much all
13 the children are dependent on an adult to have the
14 medicine delivered. And that may lead to better
15 adherence. It may lead to worse adherence. That's
16 obviously going to be different than what you see in
17 adults.

18 Children have frequent minor viral
19 illnesses, the common viral crud, as I like to call
20 it. These are illnesses that every child gets but may
21 lead to a couple of days of vomiting or a couple of
22 days of inability to eat. And those kinds of
23 treatment interruptions are incredibly common in
24 pediatrics and may affect drug usage. Children are
25 exposed to a number of other antibiotics and

1 medications on a relatively frequent basis.

2 And some general comments. Just viral
3 load set points and CD4 counts, both are generally
4 much higher in pediatrics and make patient selection
5 and trial design issues a little bit different in
6 children as well.

7 In summary, I really want to remind people
8 that pediatric trials really must run concurrently
9 with adult trials. When you have decided that a drug
10 works in adults, we can't then just start from scratch
11 doing the evaluations of treatment in children. That
12 will put the children's treatment a couple of years
13 behind adult treatment, and that's not appropriate.

14 We must have pediatric formulations
15 available for all drugs. The PK and tolerability,
16 which clearly includes palatability, and safety data
17 must be available. We need to understand long-term
18 safety. And we do need to evaluate different
19 management strategies.

20 The last slide is just a plug that,
21 really, the majority of the trials carried out,
22 actually, in the U.S. have been within the Pediatric
23 AIDS Clinical Trials Group. And there really is a
24 large number of children currently in care at those
25 sites. A number of trials could be done and really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 must be done to address many of these issues for
2 children.

3 So thank you.

4 ACTING CHAIRMAN GULICK: Thanks, Dr.
5 Cunningham.

6 (Applause.)

7 ACTING CHAIRMAN GULICK: Are there one or
8 two burning questions for Dr. Cunningham?

9 (No response.)

10 ACTING CHAIRMAN GULICK: Okay. Thank you.

11 Next is Mr. Carlton Hogan from the CPCRA
12 and the University of Minnesota School for Public
13 Health to present a patient perspective on salvage
14 trial design.

15 TRIAL DESIGN OPTIONS: PATIENT PERSPECTIVE

16 MR. HOGAN: Good morning to the Committee
17 and to everyone else. Thanks for having me here. I
18 am a member of a group called the Coalition for
19 Salvage Therapy. And a great deal of what I say is
20 going to represent positions of that group in those
21 areas where perhaps my personal opinions may differ a
22 little bit or areas where we have not accurately
23 discussed, I'll do my best to clarify so that the two
24 agendas don't get mixed together.

25 There is a definitional problem in what is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a true salvage patient. We have seen a number of ad
2 hoc definitions of salvage. I say "ad hoc" because
3 they sort of have appeared in eligibility criteria,
4 have not been formalized in any sense.

5 Some of these we would not consider
6 salvage patients, NNRTI-naive, experienced with only
7 two protease. These are clearly patients who have
8 exhausted some of the armamentarium, but it would be
9 premature to say they have exhausted all of the
10 armamentarium.

11 What we provisionally use as a definition
12 of a salvage patient within the Coalition of Salvage
13 Therapy is a person who cannot achieve an adequate
14 virological response given the existing agents.

15 And it is our very strong and heartfelt
16 conviction that, as difficult a group as this is, as
17 unlikely as it is to see a response in this group, as
18 challenging as this is for regulatory trials, this is
19 our number one priority in HIV therapeutics right now.

20 A lot of us who were able to control the
21 virus to some degree using existing therapies have
22 some coast time. There are people who do not. And so
23 for the purpose of Coalition of Salvage Therapy, we
24 are focusing on persons who have no conventional
25 treatments left available to them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Next slide, please. Just to talk a little
2 bit about the Coalition of Salvage Therapy, it's an ad
3 hoc coalition. I think it is safe to say we have
4 members of all the major AIDS activist groups
5 involved. As I said, we focus on patients with few or
6 no viable options.

7 One of our biggest interests and where we
8 have expended a lot of effort is to facilitate
9 inter-sponsor collaborative research. I'm happy to
10 say that to a very substantial degree, industry has
11 been very responsive and has really been willing to
12 try some new things to challenge themselves to rewrite
13 the play book.

14 So we really appreciate that and offer our
15 thanks to those companies that have been willing to
16 entertain these ideas. We feel it's incredibly
17 important that for persons who have no options and who
18 may or may not be eligible for current trials, that
19 there be some form of a limited expanded access.

20 We're not talking ddI expanded access with
21 20,000 patients. We're talking about very limited
22 expanded access simply for those people who really
23 need a new option now. We feel that this is an
24 important consideration. We would like to see it
25 occurring earlier than it has been recently.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The last few drugs which have had expanded
2 accesses, we have seen expanded accesses open up very,
3 very shortly before registration. We feel that while
4 that might be within the letter of expanded access,
5 it's not within the spirit. And we would like to see
6 earlier access to these therapeutics for patients who
7 have no other options.

8 Next slide. We're uncomfortable with
9 studies that involve patients, salvage patients, as we
10 have defined them being on mono therapy or what we
11 call virtual mono therapy for any substantial
12 duration. By "virtual mono therapy," we mean merely
13 adding one new agent to an already failing background
14 therapy.

15 We do understand the scientific issues
16 involved. We understand the need to get clean PK and
17 pharmacodynamic data, to get initial indications of
18 activity before we look at efficacy.

19 These are not issues which we blithely
20 dispose of. However, we feel very, very strongly that
21 where these studies need to be done, patients need to
22 be exposed to those agents as mono therapy or virtual
23 mono therapy for as short a period as possible.

24 Some of this has come under the rubric of
25 intensification trials. Again, this is a term that is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 poorly defined, and I think you would get probably
2 half a dozen definitions of what an intensification
3 trial is if you asked half a dozen people in this
4 room.

5 By "intensification trial," we mean
6 exactly what we were talking about about mono therapy,
7 merely throwing a new drug on top of a failing
8 regimen.

9 Beyond the concerns which we have already
10 said, there is certainly inherent futility in this
11 approach. What are you going to keep doing: add a
12 drug, wait until it fails, add another?

13 I mean, we already have people on eight or
14 nine drugs. We are getting to the point where it is
15 possible that we are going to end up killing them off
16 of therapy before they actually get a virological
17 response.

18 So, I mean, it's *reductiu ad absurdum*, but
19 it is important to realize that intensification as a
20 primary principle of therapy is inherently futile.

21 Next slide. These are issues which I have
22 already touched on a little bit. We would like to see
23 some of the pharmacodynamic stuff done in HIV-negative
24 patients if it's possible. We understand the drug
25 interaction stuff probably cannot be, but wherever

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 possible, persons in earlier stages of disease,
2 patients who are HIV-negative, it would be very nice
3 to see as much of that research as is scientifically
4 feasible be done on those populations.

5 This may be overly optimistic, but we have
6 got people who are willing to enroll in research
7 studies and enroll in PK or pharmacodynamic studies
8 which are among the most intensive designs in terms of
9 patient involvement, in terms of what you are asking
10 from the patient.

11 So this is a population that clearly is
12 eager and willing to be research participants. And
13 they offer opportunity to look at the persons who
14 first got on the drug. If these people are maintained
15 on drugs, they will have the longest exposure of
16 anybody out there. So, wherever possible, we would
17 like to see longer-term follow-up with those studies.

18 I need to sort of bracket that point and
19 say this is perhaps -- I can't speak to whether that's
20 the coalition's position or not because we haven't
21 actually discussed that exact, specific issue, to my
22 knowledge.

23 Next slide. Concentration-controlled
24 trials. Well, it's obvious to everybody in the room
25 that there are a staggering number of determinants of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 successful therapy. Just in the past couple of years,
2 we have become aware of the importance of things like
3 cytochrome p450.

4 Protein-binding, of course, has sunk I
5 don't know how many protease inhibitor candidates.
6 Just recently, there is a growing interest in p-2
7 glycoprotein. And we feel that this may be a very
8 significant area in relation to toxicity. So we would
9 like to see more research in there.

10 Impaired gastrointestinal function
11 certainly in later-stage patients may be a very
12 important determinant of therapy. There are
13 differences between intracellular and extracellular
14 concentrations. Very important to us, very, very
15 important to us, is the effect of hepatitis and other
16 comorbidities on drug absorption and retention and
17 other perhaps unknown drug and food interactions than
18 those that are listed above.

19 Next slide. So in regards to these type
20 of studies, some of the questions that we feel that
21 are important, need to be looked at are: What are the
22 implications of transient dips below therapeutic
23 levels?

24 We have seen dosing schemes of some drugs
25 in the past few years where there are brief periods

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 during the day where, in some patients at least, the
2 drug does drop below what would be considered an
3 adequate therapeutic level. How important is that?
4 How long a duration can that be tolerated for? How
5 significant is that? It's just something where there
6 is no clarity on.

7 I think everybody feels a concern about
8 it, but I personally am unaware of very much research
9 that really looks directly at that issue. Of course,
10 the big issue this year is: Can resistance be
11 overcome by increased drug concentration? It seems
12 like fairly soon Baskin Robbins is going to be
13 offering ritonavir ice cream the way it is being added
14 to every possible combination out there.

15 Whether simply increasing drug
16 concentration can overcome resistance is probably a
17 very nuance question and very drug-dependent. And,
18 again, this may perhaps represent my concerns a little
19 bit more than the coalition's.

20 I have real concerns about blockading p450
21 for four, five, six, seven years. We have no idea
22 what the effects are of doing that. Over the history
23 of evolution, plants have sort of developed various
24 things to keep us from being limp. We developed more
25 sophisticated livers to be able to process this stuff.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And there is a reason for those liver enzymes.

2 I personally believe that blockading p450
3 may even open up the possibility to toxicities from
4 some foods. Now, that's sheer speculation, of course,
5 but it illustrates the fact that we really just don't
6 know what this means.

7 Of course, when you add in the concomitant
8 medications, we have already seen the enormous
9 complications of balancing drug levels in people
10 having to get therapeutic drug monitoring to adjust
11 some of their concomitant medications in the presence
12 of ritonavir or other potent inhibitors.

13 Next slide. There is probably more
14 research into this, but we would like to see it even
15 more clarified. What is the variance between
16 individuals, not only in drug concentrations at
17 particular points in time but sort of over the day of
18 the dynamics? Of course, all of the other factors,
19 like concomitant medications, will impact that to a
20 substantial degree.

21 Is it the case that there is a point of
22 balance between simplicity of dosing and adequate or
23 over-adequate drug levels? I mean, is there some sort
24 of trade-off where maybe one-state dosing will improve
25 adherence to the point where it may overcome the fact

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that there are transient dips in level?

2 This is an open question. We don't know,
3 but there is clearly going to be some balance between
4 adherence and drug concentration as we get to these
5 simpler and simpler regimens that are taken less and
6 less frequently.

7 This next point, to my knowledge, we have
8 not discussed in the Coalition for Salvage Therapy,
9 but it's a great issue of mine. Do what degree are we
10 seeing host resistance? For people who have been on
11 nucleosides, for example, for a decade now
12 potentially, what is happening to their ability to
13 phosphorylate nucleoside enzymes? There will probably
14 be other host resistance phenomena that will crop up
15 as we study these drugs over longer and longer periods
16 of time.

17 Clearly there are new equilibriums
18 established in terms of having action. Does that, in
19 fact, raise the risk of some host resistance phenomena
20 as well? Of course, inducer drugs, drugs that induce
21 p450 cytochrome, will complicate this issue even more.

22 We're now looking at the option of some
23 treatments that simply cannot be administered orally.
24 How much is compliance going to differ from oral
25 compounds? What is going to be the virological impact

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of that difference in compliance?

2 It's our sense that for patients who are
3 truly in a salvage position where they really cannot
4 get their viral load down, that they are more likely
5 to be highly compliant with injection regimens. This
6 is speculation, of course, but we feel like people who
7 are aware that this may be their last chance are going
8 to be a little bit more careful. In less severe
9 populations, we are very curious to see how treatments
10 will be accepted that are not administered orally.

11 Next slide. There are some real
12 priorities out there. At the moment, perhaps the
13 salvage population to the research community, to
14 industry, to patient groups is sort of a niche group
15 or a minority group. We fear this may not be the case
16 indefinitely.

17 We have now seen documentation of drugs
18 resistant to each of the three classes separately and
19 transmission of drugs resistant to all three classes.
20 Of course, there is an artifact of survival, not that
21 I'm speaking out against survival, but the fat is the
22 longer you survive, the longer you are on drugs and
23 have an opportunity to acquire resistance.

24 So the group of patients that are highly
25 resistant to therapies we believe is going to grow and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 become a very substantial proportion of patients who
2 are being treated.

3 Next slide, please. This gets back to our
4 definition of salvage. We have seen some studies
5 recently that are important to salvage trials that we
6 feel really are not salvage trials. We are glad these
7 trials occurred. They were important trials. But
8 they don't address the group of our greatest interest.

9 Parenthetically, I mean, we do understand
10 the reservations. We do understand the difficulty of
11 seeing a response, the enormous number of confounding
12 factors in salvage populations. We're not blithely
13 rushing that stuff aside.

14 But, again, our priority is persons who
15 are at imminent risk of serious illness or death. And
16 we're not going to back away from that. So we're
17 going to work with you to figure out solutions to
18 those very real problems, which we're not just
19 brushing them aside. We understand they are there.

20 Next slide. It is our strong contention
21 that any enzymes that offer only one novel agent are
22 very unlikely to succeed. The great risk there is
23 suppose you have salvage agent A available today and
24 salvage agent B available in six months. Patients
25 starting A now may end up ruining their chance of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 getting a very powerful response from the combination
2 of A and B. So, wherever possible, we would like to
3 see more than one novel agent be used at a time in
4 salvage populations.

5 Again, we are going to talk a little bit
6 about the design issues in a moment. We are not being
7 pie in the sky in saying this is easy and you guys
8 should just be giving us drugs and drugs in the
9 bodies.

10 We understand there are safety issues
11 around this. However, it is really not in patients'
12 interest to acquire resistance to new therapies before
13 they can be used in adequate combinations.

14 It has never been easy to coordinate
15 trials with multiple sponsors, but in the last year,
16 there has really been a sea change. We really have to
17 offer a lot of credit to companies like Abbott,
18 Gilead, Trimeris that have been willing to talk to us
19 about these issues, have been willing to entertain the
20 idea of overlapping expanded accesses, possibly
21 salvage trials from more than one experimental agent.

22 But, you know, we understand these
23 companies are businesses. Their primary goal is
24 registration. So what we absolutely need -- and if
25 there is one key point I think the Coalition for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Salvage Therapy would like to bring forward at this
2 meeting, we need strong guidance from the agency to
3 industry about how if it is possible to use multiple
4 experimental agents in a registrational trial and what
5 the parameters of that are.

6 We want everybody to know what the rule
7 book is and so the industry can go into these trials
8 and know to what degree they can put this trial into
9 the registrational packet and have it serve their ends
10 as well because the drug companies are not charities.
11 They're in to get their drugs approved. And they need
12 guidance on how to do this sort of work and still
13 achieve their goals.

14 So our message to you folks at FDA is you
15 really need to come up with some very clear-cut rules
16 and make industry aware of those rules and sort of
17 give a very clear view of the playing field.

18 Next slide. Background therapy in salvage
19 trials is really a challenge. The heterogeneity of
20 previous treatment regimens makes it just about
21 impossible to come up with a background therapy. I
22 doubt you could find any two patients with identical
23 treatment histories in every a very large HIV clinic.

24 We feel that background therapy needs to
25 be flexible. And we would like to see it individually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 optimized using phenotypic and genotypic resistance.

2 There are some confounding issues here.
3 However, in properly randomized trials that are
4 adequately powered, we think that this is achievable.

5 And preservation of future treatment
6 options vis-a-vis later combinations should always be
7 a strong consideration. And it might even be a useful
8 endpoint for some of these trials to look at the end
9 of the trial at what the resistance profiles are and
10 whether one drug may have offered a clinical benefit;
11 yet, impacted the future course of treatment by
12 increasing resistance.

13 We think it is very important, and we urge
14 that it be considered as a secondary endpoint in
15 trials to look at resistance at the end of the trial.

16 Next slide. Assuming we get several
17 sponsors to support a salvage trial, we would like to
18 see that trial produce the most information. We would
19 like to optimize it and milk it for what it's worth.

20 In the case of two experimental drugs,
21 such a study might look like background therapy versus
22 drug A plus background therapy versus drug B plus
23 background therapy plus A plus B. This is a classic
24 factorial design on top of optimized background
25 therapy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I need to insert the note that some of my
2 colleagues are uncomfortable with this and are
3 uncomfortable with the optimized background therapy
4 arm. So there is not a clear consensus on this.

5 But this is a true factorial on top of
6 background therapy. And it will allow us to assess
7 not only the relative contributions of A and B on top
8 of background therapy comparing them to each other but
9 also comparing those to the combination.

10 What we prefer is what we call a modified
11 factorial. Unfortunately, that would be a very
12 difficult concept to implement with only two
13 experimental drugs available. It is really much
14 easier to conceptualize with three drugs. It is
15 possible, but you end up losing what is probably an
16 important control arm in doing so.

17 Next slide. So when we talk about a
18 modified factorial trial, a traditional factorial with
19 three factors would be nothing. The three factors
20 would be the combination of two factors and the three
21 factors together.

22 What we would propose is knocking out all
23 of the mono therapy portions of that. So it would be
24 all of the two-drug combinations versus the three-drug
25 combinations. And the comparisons you would make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would be, for example, all arms containing A to arms
2 not containing A, all arms containing B to arms not
3 containing B. This also will give us some preliminary
4 information on which combinations of these drugs are
5 more likely to be successful.

6 Next slide. As I have undoubtedly
7 hammered home many times, there are advantages and
8 disadvantages. The clear advantage in our mind is
9 that such a study may offer a better chance of
10 virological control in every arm and reduce the risk
11 of persons being harmed by clinical trials.

12 This is a very important consideration to
13 us. We understand the need for good data. We don't
14 want to see people harmed doing it. And we would be
15 the last to say that that is an easy paradox to
16 reconcile.

17 This sort of design may delay further
18 resistance to both the study agents and the elements
19 of background therapy if there isn't complete
20 resistance to those already and may allow median rank
21 to the relevant merits of the various combinations.
22 It's also a design that would be very, very attractive
23 to potential participants.

24 Some of the disadvantages are that there
25 is no true control arm in the study. There is no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 optimized background therapy alone arm, which
2 scientifically -- I mean, I look at this with two
3 hats.

4 Scientifically I think that that is
5 problematic. However, in the case of patients where
6 there truly is very, very little chance that optimized
7 background therapy is going to make any difference at
8 all and there is imminent risk of serious morbidity or
9 mortality, the rules may have to change a little bit.
10 And the studies that actually are truly
11 well-controlled may have to be done in slightly
12 earlier populations.

13 There is an exception to that. And here
14 I need to stress that this is absolutely my own
15 opinion and not that of the Coalition for Salvage
16 Therapy. I do think that there is room in patients.
17 We simply do not see virologic response to do no-drug
18 comparisons or placebo comparisons to see whether in
19 patients who when we're not affecting the virus we're
20 analyzing these drugs on, we're merely harming.

21 I need to stress that is not the
22 coalition's position. It is mine. But I do think
23 when we're not seeing a virologic response in people,
24 it is very legitimate to ask: But why are we giving
25 these drugs in the first place?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Other difficulties with this design are it
2 is going to be more difficult to conclusively ascribe
3 adverse events to any one agent. And if even this
4 combination does not achieve virologic control,
5 patients may have to endure more toxicities without
6 commensurate benefits, but, of course, that's a risk
7 in any trial.

8 Next slide, please. Endpoints. Well, in
9 a true salvage population, percent below level of
10 quantification is just not a realistic endpoint.
11 Powering the trial will be extraordinarily difficult
12 because it's very unlikely that you're going to get a
13 major proportion of patients who do go below level of
14 quantification. And so change in RNA may be a much
15 more powerful and meaningful endpoint for such trials.

16 Where clinical endpoints are considered,
17 the classic composite endpoint of progression or death
18 may not be as relevant in these patients. It may be
19 important to include major toxicities.

20 We're going to talk about lipodystrophy
21 but things like major cardiovascular disease,
22 incompetent clinical endpoints where clinical endpoint
23 studies are done. So something along the lines of
24 progression, major cardiovascular disease, diabetes,
25 or death would be a possible clinical endpoint.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In any study that is done, we feel
2 secondary endpoints should be rates of genotypic and
3 phenotypic resistance to both the study agents and the
4 background therapies. The issue here is that we are
5 very interested into whether the study agents may
6 actually preserve some of the benefit of the
7 background therapy should any benefit be left.

8 Since we are in general defining these
9 folks on the basis of a lack of virologic movement,
10 HIV RNA may not be the primary endpoint. Also, as I
11 speak to Dr. Deeks right here, there are some folks
12 with elevated RNAs who seem to be doing pretty well.

13 So it may be realistic to think about
14 preservation of CD4 count as endpoint in trials
15 intra-salvage patients as opposed to changing to HIV
16 RNA because we may not get those changes in HIV RNA.
17 Yet, the patients may still be seeing some benefit.

18 Next slide. Some what do we need from the
19 agency? Well, as I said in the beginning, sponsors
20 need clear, unambiguous guidelines about what sort of
21 novel studies are to be used in support of the NDA and
22 perhaps even some over-encouragement to seek salvage
23 indications.

24 We need open discussion into what the
25 incentives are for research into further niche

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 populations, pediatric, injection drug users, and so
2 forth. We need a commitment from everyone in the room
3 to encourage and do meaningful expanded access
4 programs, especially in the salvage population, and
5 not just weeks before licensure.

6 To open the expanded access program two
7 weeks before the drug is on the shelves is basically
8 a slap in the face to the community. And it does you
9 more harm than good in our eyes. It's not the intent
10 of expanded access, and it's not okay with us. If
11 you're going to do expanded access, get it out there
12 as early as you can.

13 Co-enrollment of expanded access programs.
14 This is something that has just been piloted this year
15 or at least theoretically became acceptable, although
16 it has not been actualized yet.

17 We heartily applaud this. And we would
18 like to particularly tip our hats to Abbott because we
19 feel that they have been the people who have actually
20 had drug out there and have said: We have drug in our
21 hands, and we are willing to do it now, as opposed to
22 other companies who have said: Yeah. Well, we're
23 into this in principle, but we don't have drug for you
24 right now. Abbott said: We have lopinavir. We will
25 do it today. And so we applaud them for that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Next and last slide. This is perhaps my
2 own diatribe. Long-term follow-up in AIDS trials has
3 been an absolute disgrace. Even before the
4 accelerated approval regulations were loosened, very
5 few sponsors fully fulfilled their post-marketing
6 requirements.

7 This is not an abstract or a purely
8 political issue. None of the major protease inhibitor
9 toxicities were seen prior to registration in terms of
10 things like lipodystrophy, cardiovascular disease,
11 insulin resistance.

12 I mean, we are aware of the elevated
13 triglycerides but certainly the other more serious,
14 life-threatening toxicities did not show up in those
15 pivotal trials.

16 Now, we are glad those pivotal trials
17 occurred quickly. We're glad that there was such
18 unambiguous indication of benefit. The drugs were on
19 the shelf. We would also like to see longer-term
20 follow-up to see what the effects are of prolonged
21 exposure to these drugs.

22 I mean, you look at some cases like AZT
23 myopathy. I don't think that was actually formally
24 recognized until five years after AZT was licensed.
25 That's not acceptable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Of course, during the AZT/DTC/ddI years,
2 those were when the emphasis on Phase IV trials was
3 much stronger. And even then, we didn't see full
4 compliance.

5 We feel that this is not entirely a
6 problem of the agencies in that you guys don't have
7 the administrative tools to force compliance with
8 Phase IV commitments.

9 I mean, basically you can pull a drug off
10 the shelves or you can leave it on the shelves. And
11 so I would hate to think that we would have to go to
12 legislation on this, but we do feel it's important
13 that the agency have some means of coercing that
14 compliance with Phase IV commitments.

15 We're not exactly sure what that would
16 look like, whether it would take the form of fines or
17 incentives or how that would work, but we would really
18 like to see some formal mechanisms for the agency to
19 enforce Phase IV commitments.

20 This is particularly important in salvage
21 patients, where it is quite possible there may be no
22 virological indication response and, yet, there may
23 indeed be a clinical benefit or there may indeed be a
24 clinical harm. And it will only be longer-term
25 studies that will determine that. So, especially in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 populations where you really don't get a good
2 virological comparison, we feel that long-term BOP is
3 very important.

4 I think that basically hits all of our
5 major points. Any questions?

6 ACTING CHAIRMAN GULICK: Thanks very much.

7 Are there questions? Mr. Levin?

8 MR. LEVIN: Jules Levin. I think it is
9 important to fully appreciate that the community is
10 diverse and the opinions are diverse. Okay? So I
11 just want to comment on one thing that Carlton talked
12 about, and that is factorial designs.

13 I don't think -- and other community
14 people agree with me -- don't think that they -- not
15 only that they are not useful, but I think they are
16 counterproductive, a waste of resources.

17 And I don't think that an optimal regimen
18 -- first of all, there are no three drugs right now
19 for salvage therapy available right today. But if
20 there were, I certainly don't think it's an optimal
21 regimen to take standard of care plus A versus
22 standard of care plus B plus standard of care plus
23 three. That is not optimal therapy.

24 I would not enroll in a study like that,
25 and I wouldn't like anyone with HIV, my best friend or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 my worst enemy, to enroll in a study like that.

2 ACTING CHAIRMAN GULICK: Ms. Dee? Thanks,
3 Jules.

4 MS. DEE: Lynda Dee from AIDS
5 Action-Baltimore, the Treatment Action Group.

6 First of all, I would like to reiterate
7 what Carlton says, that the consensus except for,
8 Jules, as I remember, on the Coalition for Salvage
9 Therapy was that factorial designs were very good for
10 this population.

11 I think the problem is that we know that
12 people, the true end-stage, late-stage salvage
13 patients are going to need more than one drug with an
14 optimal background regimen.

15 The problem with the drug companies has
16 been that many of the drug companies are willing to
17 work with another drug company to use two
18 investigational drugs in one trial, but they haven't
19 known what these trials are going to or should look
20 like for the agency, what the agency is going to
21 accept in order to get their compounds registered. I
22 mean, it's just as simple as that.

23 I would assume that since we have had Dr.
24 Schechter's wonderful presentation today, that that
25 means the agency has put their imprimatur on these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 kinds of factorial designs and that maybe the agency
2 will indicate with the sponsors in their discussions
3 that these are acceptable ways to answer a couple of
4 questions at once, to get more bang for your buck, and
5 to offer three drugs -- excuse me -- more than one
6 drug, two drugs, two or three, to this population.

7 I would also say that the Coalition for
8 Salvage Therapy disagreed with Carlton with respect to
9 the placebo cell. And we thought that maybe a
10 structured treatment interruption might be more
11 appropriate there.

12 And as far as the post-marketing Phase IV
13 stuff, I mean, I think that the agency just has to ask
14 the sponsors. I think that you have that authority
15 and you have that power. And I just think that
16 sometimes you don't realize what power you have to
17 say, "Look, this is what we want."

18 I mean, look at the EMEA. They said, "We
19 want to know about lipodystrophy. We want to know
20 what it means, definitions of it," and they're doing
21 it. They're doing it. The sponsors are doing it
22 among themselves, paying for it themselves. I think
23 you just have to be a little bit more firm or
24 proactive.

25 In the United States, these issues are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 very politically, but hopefully, especially now with
2 this new administration coming in, you will require
3 the sponsors to do what the accelerated approval
4 mechanism requires, and that is post-marketing
5 studies.

6 ACTING CHAIRMAN GULICK: Let me stop us
7 there at that point. We're going to have plenty of
8 more opportunities today to get into some of the
9 issues in terms of discussion.

10 I would like to thank the four presenters
11 for their talks this morning. Let's take a ten-minute
12 break and reconvene at 10 of 10:00.

13 (Whereupon, the foregoing matter went off
14 the record at 10:38 a.m. and went back on
15 the record at 10:55 a.m.)

16 ACTING CHAIRMAN GULICK: Welcome back from
17 the break. We're ready to reconvene. I wanted to
18 give Dr. Jolson an opportunity to respond to some of
19 the comments that were made right before we went to
20 break.

21 DR. JOLSON: I thought it would be
22 worthwhile before we went on to respond to a couple of
23 the comments that were raised just before the break.

24 I think there are two important issues.
25 We're going to be talking a lot more about these as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the day goes on. So it's probably worth just
2 presenting the agency's perspective in a nutshell.
3 And then as Dr. Laessig speaks, she is going to be
4 talking more about the regulatory perspective.

5 The first issue that was raised was: In
6 concept is a factorial design acceptable to the
7 agency? I know that we have gone on record in a
8 written letter in 1999 to industry that we were
9 extremely supportive of this approach because we think
10 that it provides interpretable trial data and also,
11 importantly, provides access to more than one novel
12 agent for a patient because we are extremely concerned
13 whenever we see trials such as either intensification
14 studies or single-drug, add-on therapies that they are
15 not providing optimal therapy to patients.

16 We have consistently articulated that
17 issue whenever we're approached with a trial design.
18 So I think we would be very, very supportive of trials
19 that can accomplish both providing interpretable data
20 and satisfactory treatment alternatives. And we have
21 gone on record with pharmaceutical companies in that
22 respect. Dr. Laessig when hopefully the proxima is
23 fixed is going to be discussing more about that.

24 The second is the issue of Phase IV
25 commitments. This comes up all the time in terms of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what is our regulatory authority vis-a-vis Phase IV
2 commitments? When I say "our," I mean FDA as a whole.

3 There were two types of Phase IV
4 commitments, and they have different legal teeth. One
5 is the accelerated approval commitments, which are 100
6 percent binding. And if a drug company does not
7 follow through with those Phase IV commitments for
8 accelerated approval, the drug can be withdrawn from
9 the market. And that is a legally binding Phase IV
10 commitment.

11 So drugs that you have seen that have gone
12 through the accelerated approval process, -- for
13 example, nelfinavir comes to mind as one that has
14 recently gone from accelerated to traditional approval
15 -- it is by living up to that mandatory Phase IV
16 commitment.

17 The other Phase IV commitments, the more
18 typical types of things to do additional studies, it
19 needs to be recognized that we ask for those.
20 However, they are not legally binding. That's
21 something that is in the law, and that really needs to
22 be understood.

23 We have routinely been asking as Phase IV
24 commitments for data on treatment-experienced
25 patients. And, by and large, sponsors have worked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with us in good faith. But it needs to be understood
2 that there is a limit to what we as an agency can
3 require.

4 A lot of our time and energy is spent with
5 working with companies and providing other incentives
6 to get them to live up to their Phase IV commitments
7 in a timely way.

8 MR. HOGAN: Dr. Jolson, just a quick
9 comment. I would hate to think that I came across
10 overly critical of the agency because we do recognize
11 the enormous progress you guys have made and how
12 aggressively you're confronting this issue.

13 Our concern is that withdrawal of a drug
14 from the shelves is a very drastic step. It would be
15 very nice if there were some intermediate
16 administrative remedies because nobody wants to see a
17 drug go off the shelf. Certainly it's of no service
18 to the patient population to do so.

19 DR. JOLSON: Right.

20 MR. HOGAN: And, I mean, there are cases.
21 There was an example of one of the nucleosides where
22 they went four or six years before they fulfilled
23 their clinical endpoint trials. So there has been
24 some abuse of the system.

25 We would like to see you guys have some

1 tools to deal with that because nobody wants to see
2 drugs pulled off a shelf.

3 DR. JOLSON: In general, we agree with
4 you. And, as you know, to date no drugs from
5 accelerated approval have been withdrawn. There are
6 actually very few that still remain under accelerated
7 approval.

8 The way that we encourage that to be done
9 is through incentives. Labeling is a very, very
10 powerful incentive. So to have, for example, 16 or
11 24-week clinical trial data in the label is not a very
12 meaty label when it comes to trying to advertise the
13 drug.

14 There is a strong incentive to put
15 longer-term, more clinically relevant data showing
16 prolonged viral suppression or whatever the endpoint
17 is. That's really almost the best incentive to get
18 companies to develop longer-term data.

19 MR. LEVIN: Just one brief thing.
20 Certainly I don't want drugs taken off the market
21 either. You sort of made a line between what is
22 enforceable with Phase IV and what is not.

23 DR. JOLSON: Right.

24 MR. LEVIN: And I think that line is where
25 you're talking about, things like, for example, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701