

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

ANTIVIRAL DRUGS ADVISORY COMMITTEE (AVAC) MEETING

Wednesday May 14, 2003

8:00 AM

Holiday Inn  
Two Montgomery Village Avenue  
Gaithersburg, Maryland

PARTICIPANTS

Roy M. Gulick, M.D., M.P.H., Chair  
Tara P. Turner, Pharm.D., Executive Secretary

MEMBERS:

Courtney V. Fletcher, Pharm.D., Consumer  
Representative

Princy N. Kumar, M.D.  
Wm. Christopher Mathews, M.D., M.S.P.H.  
Sharilyn K. Stanley, M.D. (by phone)  
Victor G. DeGruttola, Sc.D.  
Janet A. Englund, M.D.  
Kenneth E. Sherman, M.D., Ph.D.

CONSULTANTS (VOTING):

Douglas G. Fish, M.D.  
Mary E. Guinan, M.D., Ph.D.  
George J. Pazin, M.D., M.S.  
Linda S. Potter, Dr.P.H.  
Ronald G. Washburn, M.D.

GUEST SPEAKER (NON-VOTING):

H. Hunter Handsfield, M.D.

HHS FEDERAL GUEST (NON-VOTING):

Katherine M. Stone, M.D.

PATIENT REPRESENTATIVE (NON-VOTING):

Charles Ebel

FDA STAFF:

Debra Birnkrant, M.D.  
Mark Goldberger, M.D.  
Harry Haverkos, M.D.  
Fraser Smith, Ph.D.

## C O N T E N T S

Call to Order, Roy M. Gulick, M.D., M.P.H.	4
Conflict of Interest Statement, Tara P. Turner, Pharm.D.	6
Opening Remarks, Debra B. Birnkrant, M.D.	11
Public Health Aspects of Genital Herpes, H. Hunter Handsfield, M.D.	14
Sponsor Presentation, GlaxoSmithKline:	
Introduction, David M. Cocchetto, Ph.D.	58
Study Design, Methods and Results, Stuart M. Harding, M.D.	63
Concluding Remarks, Clarence L. Young, M.D.	88
FDA Presentation:	
Study Design, Dr. Harry W. Haverkos, M.D.	92
Efficacy Results, Fraser Smith, Ph.D.	99
Viral Shedding Substudy, Safety and Behavioral Results and Conclusions, Harry W. Haverkos, M.D.	114
Questions from the Committee	126
Open Public Hearing:	
James Allen, M.D., MPH, American Social Health Association	168
Gray Davis, M.D., HIV Prevention Trials Network, Family Health International	173
H. Hunter Handsfield, M.D., University of Washington	181
Mark Wasserman, Ph.D., HELP of Washington (read by Roy M. Gulick, M.D., M.P.H.)	181
Curtis Phinney, HELP of Washington	183
Charge to the Committee/Questions for Discussion, Debra B. Birnkrant, M.D.	189

1 P R O C E E D I N G S

2 Call to Order and Introductions

3 DR. GULICK: Good morning. I am Roy  
4 Gulick, from Cornell University in New York, and I  
5 am pleased to call to order this meeting of the  
6 Antiviral Drugs Advisory Committee today. We will  
7 start with introductions of the committee, and we  
8 will start on this side with Mr. Ebel. So, please  
9 state your name and your affiliation.

10 MR. EBEL: My name is Charles Ebel. I am  
11 employed by the American Social Health Association.  
12 I have worked in patient advocacy for genital  
13 herpes for about fifteen years.

14 DR. STONE: I am Katherine Stone. I am a  
15 medical epidemiologist in the Division of STD  
16 Prevention at the CDC, the Centers for Disease  
17 Control and Prevention.

18 DR. POTTER: Hi. Linda Potter, private  
19 consultant--

20 DR. GULICK: Sorry, turn the mike on.

21 DR. POTTER: There, now it is okay. My  
22 area of expertise is primarily compliance and  
23 adherence with regimens.

24 DR. GUINAN: I am Mary Guinan, from the  
25 Nevada Public Health Foundation in Carson City,

1 Nevada.

2 DR. PAZIN: George Pazin, formerly from  
3 University of Pittsburgh; now at the VA Hospital in  
4 Pittsburgh.

5 DR. FISH: I am Douglas Fish. I am the  
6 Division Head of HIV Medicine at Albany Medical  
7 College, in Albany, New York.

8 DR. WASHBURN: Ron Washburn, LSU and  
9 Shreveport VA.

10 DR. MATHEWS: Chris Mathews, University of  
11 California, San Diego.

12 DR. FLETCHER: Courtney Fletcher,  
13 University of Colorado Health Sciences Center.

14 DR. TURNER: Tara Turner, Executive  
15 Secretary for the committee.

16 DR. KUMAR: Princy Kumar, Georgetown  
17 University, Washington, D.C.

18 DR. SHERMAN: Ken Sherman, University of  
19 Cincinnati and Director of Hepatology and Professor  
20 of Medicine.

21 DR. DEGRUTTOLA: Victor DeGruttola,  
22 Harvard School of Public Health.

23 DR. ENGLUND: I am Janet Englund,  
24 pediatric infectious diseases, University of  
25 Washington.

1 DR. SMITH: Fraser Smith, Statistical  
2 Reviewer, FDA, CDER.

3 DR. HAVERKOS: Harry Haverkos, Medical  
4 Officer, FDA.

5 DR. BIRNKRANT: Debbie Birnkrant, Director  
6 of the Division of Antiviral Drug Products, FDA.

7 DR. GULICK: One committee member is  
8 joining us by teleconference. Dr. Stanley, can you  
9 hear us?

10 DR. STANLEY: I can hear you loud and  
11 clear. Dr. Stanley, from Texas Department of  
12 Health, not in Oklahoma.

13 [Laughter]

14 DR. GULICK: We did wonder about that,  
15 Sharilyn.

16 DR. STANLEY: Unfortunately, there are  
17 ploys why I am here and not there, and I wish I  
18 were there.

19 DR. GULICK: Well, thanks for joining us  
20 by teleconference. Tara Turner will now read the  
21 conflict of interest statement.

22 Conflict of Interest Statement

23 DR. TURNER: The following announcement  
24 addresses the issue of conflict of interest with  
25 respect to this meeting and is made a part of the

1 record to preclude even the appearance of such at  
2 this meeting.

3           Based on the submitted agenda and  
4 information provided by the participants, the  
5 agency has determined that all reported interests  
6 in firms regulated by the Center for Drug  
7 Evaluation and Research present no potential for a  
8 conflict of interest at this meeting with the  
9 following exceptions:

10           Mr. Charles Ebel will be permitted to  
11 participate in the committee's discussion. He is,  
12 however, excluded from voting.

13           Dr. Princy Kumar has been granted a waiver  
14 under 21 U.S.C. 344(n)(4) for owning stock in the  
15 sponsor and competitor. The stock is valued from  
16 \$5,001 to \$25,000.

17           Dr. Roy Gulick has been granted a waiver  
18 under 18 U.S.C. 208(b)(3) for consulting to the  
19 sponsor on unrelated issues. He receives less than  
20 \$10,000 a year.

21           A copy of these waiver statements may be  
22 obtained by submitting a written request to the  
23 agency's Freedom of Information Office, Room 12A-30  
24 of the Parklawn Building. The signed disclosure  
25 statements are available for public review at this

1 meeting.

2           With respect to FDA's invited guest  
3 speakers, there are reported interests that we  
4 believe should be made public to allow the  
5 participants to objectively evaluate their  
6 comments:

7           Dr. Hunter Handsfield would like to  
8 disclose the following interests in  
9 GlaxoSmithKline. His employer, the University of  
10 Washington, has received contract and/or grants  
11 from GlaxoSmithKline and he is an investigator on  
12 GlaxoSmithKline sponsored studies. He receives  
13 compensation for serving as a scientific advisor to  
14 Glaxo and speaking fees from agencies that received  
15 unrestricted educational grants from Glaxo.

16           Dr. Katherine Stone is an employee of the  
17 Centers for Disease Control. Dr. Stone is the  
18 first author on a scientific manuscript, with  
19 GlaxoSmithKline co-authors, on the results of a  
20 Glaxo acyclovir and valacyclovir pregnancy registry  
21 and she served on their advisory committee for the  
22 registry during 1984-1999.

23           Lastly, we would also like to note for the  
24 record that Dr. Eugene Sun is participating in this  
25 meeting as the acting industry representative,



1 acting on behalf of regulated industry. Dr. Sun is  
2 an employee of Abbott Laboratories.

3 In the event that the discussions involve  
4 any other products or firms not already on the  
5 agenda for which FDA participants have a financial  
6 interest, the participants are aware of the need to  
7 exclude themselves from such involvement and their  
8 exclusion will be noted for the record.

9 With respect to all other participants, we  
10 ask in the interest of fairness that they address  
11 any current or previous financial involvement with  
12 any firm whose product they may wish to comment  
13 upon. Thank you.

14 DR. GULICK: One clarification on that,  
15 Dr. Sun was unable to be here, on the committee,  
16 today. Dr. Katherine McComus, from the University  
17 of Maryland is going to take a minute to tell us  
18 about a side project that is going on today.

19 DR. MCCOMUS: Thank you and good morning.  
20 My name is Dr. Katherine McComus and I am at the  
21 University of Maryland. I am here today to ask  
22 your assistance in a survey that I am conducting  
23 with collaborators at the Food and Drug  
24 Administration to examine public attitudes and  
25 understanding of the conflict of interest

1 procedures that the FDA uses to manage and monitor  
2 real or potential conflicts of interest of its  
3 advisory committee members. People in the audience  
4 are being asked to complete this questionnaire and  
5 members of the advisory committee are also  
6 distributed a questionnaire under a separate cover.  
7 If you have a chance to complete it today--I  
8 realize it is a very busy day, but there is a box  
9 outside at the registration desk and you can drop  
10 it in there. Otherwise, there is a business reply  
11 envelope and you can mail it back to me later.

12           Your responses are anonymous. Your  
13 participation is voluntary but your participation  
14 is very important to the reliability and validity  
15 of this study. This study is being conducted  
16 across several centers at the FDA and at multiple  
17 advisory committee meetings. We would like as a  
18 high a response rate as possible so that we can  
19 accurately represent your opinions, provide  
20 feedback to the Food and Drug Administration and  
21 perhaps improve overall satisfaction with the  
22 advisory committee process.

23           I will be here today if you have any  
24 questions. Thank you again for your time. Thank  
25 you for the opportunity.

1 DR. GULICK: We were advised yesterday  
2 that informed consent is not required for this but  
3 that it has been approved by local IRBs.

4 We will now turn to Dr. Debra Birnkrant to  
5 make some opening remarks on behalf of the agency.

6 Opening Remarks

7 DR. BIRNKRANT: Good morning. I would  
8 like to welcome back our advisory committee members  
9 and extend a welcome to our guests and consultants  
10 this morning.

11 [Slide]

12 Today we will be discussing the  
13 supplemental new drug application 20550 for  
14 valacyclovir for the prevention of the transmission  
15 of genital herpes amongst monogamous heterosexual  
16 couples. We are bringing you this application  
17 today because we seek your input in this  
18 discussion, and this is the first time we are being  
19 requested to include wording in labeling "for  
20 prevention of sexually transmitted viral  
21 infection." We will also be asking you to comment  
22 on public health implications as well.

23 [Slide]

24 Although Dr. Hunter Handsfield will be  
25 presenting an overview of genital herpes this

1 morning, I wanted to start this morning off with a  
2 few comments related to management of herpes  
3 infections, and this is taken from the CDC STD  
4 treatment guidelines, published in the MMWR, May,  
5 2002.

6           There are three key principles of  
7 management of genital herpes, consistent and  
8 correct use of condoms; counseling and chemotherapy  
9 with three approved antiviral drugs, one of which,  
10 valacyclovir, we will be focusing on today.

11           [Slide]

12           Valacyclovir is approved for first,  
13 recurrent and suppressive episodes of genital  
14 herpes. These regimens are actually taken from the  
15 CDC guidelines and are slightly different from the  
16 labeling of the product. In addition, we recently  
17 approved valacyclovir for suppressive therapy in  
18 HIV-infected subjects with CD4 counts greater than  
19 100.

20           [Slide]

21           In addition to these indications,  
22 GlaxoSmithKline studied valacyclovir for the  
23 prevention of transmission of genital herpes  
24 amongst monogamous heterosexual couples in study  
25 HS2AB3009. This was a study in more than 1,400

1 discordant couples for genital herpes. This sample  
2 size was achieved after screening more than 4,000  
3 discordant couples. It studied the dose of  
4 valacyclovir 500 mg daily for eight months. In  
5 this study, which you will hear much more about  
6 later this morning, condom use was encouraged and  
7 the primary endpoint was clinical signs or symptoms  
8 of genital herpes plus laboratory confirmation.

9 [Slide]

10 The issues we will be asking you to  
11 address this afternoon include the following: The  
12 appropriateness of the endpoint in the clinical  
13 trial and for future clinical trials, as well as  
14 the trial design; the applicability of the results  
15 to other populations, given the restricted patient  
16 population that was studied in this clinical trial;  
17 screening issues related to the fact that more than  
18 4,000 couples had to be screened in order to  
19 achieve more than 1,400 couples to enter the  
20 clinical trial; an issue related to the high  
21 dropout rate in relation to the event rate in the  
22 clinical study--again, we will be asking you about  
23 the impact on public health guidelines and, as with  
24 all antiviral drugs, we will be asking a question  
25 about resistance issues with wider use of

1 valacyclovir.

2 [Slide]

3 An overview of the day is on this slide.

4 As I mentioned, Dr. Hunter Handsfield will be

5 giving opening remarks with regard to genital

6 herpes. This will be followed by the

7 GlaxoSmithKline presentation. Actually, we may

8 delay clarifying questions until after the FDA

9 presentation, which will be done by Drs. Harry

10 Haverkos and Fraser Smith. Then there will be a

11 time for questions and discussion. The open public

12 hearing will be held this morning, before lunch.

13 Following lunch we will have a charge to the

14 committee and questions. Thank you very much.

15 DR. GULICK: Thanks, Dr. Birnkrant. We

16 will turn now to our guest speaker, Dr. Hunter

17 Handsfield, from the University of Washington.

18 Public Health Aspects of Genital Herpes

19 DR. HANDSFIELD: Good morning. Dr. Gulick

20 and colleagues and interested persons, thank you

21 very much for the invitation to participate in

22 this. I have been looking forward to it with some

23 enthusiasm. Thanks in particular, Harry Haverkos,

24 an old friend from his days at the Division of STD

25 Prevention at CDC, for those of you who are not

1 aware of his background in that arena.

2           In the further discussion of conflict of  
3 interest, it actually directly relates to why I am  
4 here today in a way. I spent my career in sexually  
5 transmitted diseases, more than a 20-year career in  
6 prevention, epidemiology and so on, predominantly  
7 in the bacterial section of transmitted diseases.  
8 I had the opportunity to take sabbatical leave at  
9 CDC in 1997 and 1998, and my sabbatical was largely  
10 supported financially by Glaxo Wellcome and  
11 SmithKline Beacham. My focus in that sabbatical  
12 was to really take the first steps with the  
13 Division of STD Prevention to look systematically  
14 at prevention aspects and public health issues  
15 concerning the viral STDs other than HIV and  
16 hepatitis, which is not directly the responsibility  
17 of the STD Division. That led me into essentially  
18 a year of delving into the public health aspects of  
19 genital herpes in particular.

20           My sabbatical was followed, as it happens  
21 perhaps as an indirect indicator of CDC's growing  
22 interest in viral STDs, by Dr. John Douglas who  
23 spent a similar year working mostly on human  
24 papilloma virus infections and, perhaps not  
25 insignificantly, Dr. Douglas has recently agreed to

1 assume the position of Director of the Division of  
2 STD Prevention, perhaps indirectly reflecting the  
3 level of interest of CDC in looking carefully at  
4 the public health aspects of viral STDs.

5 My task this morning I see as sort of to  
6 set the context of some thoughts about the  
7 epidemiology of this disease from a public health  
8 perspective. It is really a review and I hope  
9 there is not very much new to most of you. In  
10 fact, those of you on the committee who had the  
11 time and energy on the airplane flying in to read  
12 GlaxoSmithKline's overview in their application,  
13 the epidemiology data and so on is actually quite  
14 excellent and I thought quite objective, and an  
15 excellent summary and so much of what I have to say  
16 will highlight some points that are made there. It  
17 also allows me to gloss over some things because  
18 they are clear in that fashion.

19 [Slide]

20 A simplistic review--I hope everybody  
21 knows about the basic biology of these two viruses.  
22 With mucocutaneous infection, retrograde infection  
23 along sensory nerves, latent infection potential  
24 for recurrences--some of the terminology is  
25 evolving whether there are true biological



1 recurrences in terms of viral replication or some  
2 level of ongoing viral replication, things other  
3 than replication per se that influence transmission  
4 of virus down nerves, and recurrent mucocutaneous  
5 lesions is an issue that I think is still not  
6 totally settled and discussed by people who study  
7 this disease at a laboratory level.

8           We have two viruses, cleverly called types  
9 1 and 2. Type 1, as you well know, mostly causes  
10 oral labial disease but does cause high  
11 proportions, depending on where you are in the  
12 world and what population, of initial genital  
13 herpes. HSV-2, by contrast, is really rare in the  
14 oral area. It is a very rare person walking around  
15 with oral so-called fever blisters that has HSV-2  
16 infection. When oral HSV-2 occurs it is almost  
17 exclusively in either overtly immunodeficient  
18 persons, such as persons with overt AIDS as has  
19 recently been published in studies, or in the  
20 context of initial infection when infection was  
21 simultaneously acquired in the oral cavity and the  
22 genital area.

23           The corollary to that is that of recurrent  
24 genital herpes the vast majority is type 2 virus.  
25 If you are unlucky enough to get herpes but lucky

1 enough for it to be HSV-1, and I will show you data  
2 on this, you are far less likely to have recurrent  
3 outbreaks of disease and also less likely to have  
4 subclinical shedding of the virus with the  
5 potential for sexual transmission, at least by  
6 vaginal intercourse.

7 [Slide]

8 It is not a formally reportable disease.  
9 Indirect methods and sentinel surveillance is  
10 required to understand the frequency of this  
11 disease. This data set is from the National  
12 Disease and Therapeutic Index, over three decades,  
13 and it is initial visits to clinicians' offices  
14 because of genital herpes. The up and down is a  
15 sample size study design artifact. The upward  
16 trend is clear although, of course, these data  
17 don't let you distinguish between increasing  
18 incidence, increasing patient concern and  
19 increasing clinician acumen and diagnosis.

20 [Slide]

21 These data are in the information provided  
22 by the sponsor. They simply make the point that  
23 genital herpes is in the middle ground of the  
24 annual guesstimated--and I have to say guesstimated  
25 incidence of sexually transmitted diseases. They

1 suggest at the low end half a million; at the high  
2 end, in a recent analysis, 1.6 million new cases  
3 occurring per year in the U.S.

4 [Slide]

5 The prevalence of genital herpes, however,  
6 is highest of all STDs. That is because, as the  
7 cute saying in the STD world goes, "what is the  
8 difference between herpes and true love? Herpes is  
9 forever." Once you get herpes, it stays. So, the  
10 seropositivity is an accurate indication of people  
11 who are infected, are believed to remain infected  
12 and so as long as infection is occurring at a rate  
13 higher than the rate with which people with herpes  
14 are dying in the population, the disease  
15 accumulates. So, we are dealing with something  
16 like a quarter of the U.S. population infected with  
17 genital HSV-1 or 2.

18 [Slide]

19 I think everybody in the room is aware of  
20 the National Health and Nutrition Examination  
21 Surveys, previously done in discrete cycles called  
22 one, two and three. What is now sometimes being  
23 called cycle four is really what is hoped to be, by  
24 the Center, an ongoing population-based sample.  
25 NHANES is a population-based access to Americans

1 that includes extensive health questionnaires and  
2 also serum banking. The banked sera from these  
3 studies have been looked at for HSV-2 serology and  
4 they provide the core information now available on  
5 prevalence of this disease in the U.S.

6           What it shows is that from the cycle that  
7 had a mid point in 1978 to the cycle that had a mid  
8 point in 1991 there was a 25-30 percent increase in  
9 overall prevalence that occurred in both men and  
10 women. The other point to draw from this slide is  
11 that in every survey ever done, for practical  
12 purposes, the prevalence of infection is higher in  
13 women than in men and the incidence of infection  
14 when people are followed at risk is higher in women  
15 than in men, probably reflecting differences in  
16 anatomy in terms of the nature of the exposure or  
17 the nature of the surfaces exposed to the virus  
18 during intercourse.

19           [Slide]

20           Here is where we come with that number  
21 that I showed you on the pyramid. The NHANES II  
22 data were around 16 percent after adjustment for  
23 various issues in study design, believed to have  
24 increased to about 22 percent prevalence in 1991,  
25 which translated to 45 million people. The number

1 of persons infected since 1991 is a little bit  
2 conjectural but the estimate supports something in  
3 the range of 5-15 million, depending on which rates  
4 of new infection you focus on.

5           Some unknown number of the roughly 50  
6 percent of the U.S. population as adults that are  
7 HSV-1 seropositive have genital as opposed to oral  
8 labial infection. It is impossible on a population  
9 basis to know that number very well so I put a  
10 double question mark here. I don't know whether it  
11 is two million, 10 million or 15 million but it is  
12 a lot. That is where you can come to the  
13 conclusion that something like a quarter to a third  
14 of the U.S. population likely is infected.

15           [Slide]

16           There tends to be, I find, in people who  
17 are not familiar with this disease and with  
18 sexually transmitted diseases in general a  
19 skepticism from time to time about whether that  
20 proportion of the population really acquires an  
21 STD. These tend to be looked at with some  
22 emotionality from a lot settings and the concept  
23 that many of us get it doesn't set well with some  
24 people psychologically, socially, politically and  
25 sometimes at the gut level. I will just make the

1 point that human papilloma virus infections is  
2 probably acquired by 70 or 80 percent of us but  
3 within our first two to four lifetime sexual  
4 partners so that is even a more normative event to  
5 acquire HPV.

6 But that having been said, what these data  
7 show is the seroprevalence in the NHANES I study of  
8 SHV in whites by age. I show the '78 data because  
9 the 1991 data were not analyzed below age 12 or 15  
10 so we didn't have the data down here. What it  
11 shows is that whereas HSV-1 seroprevalence rises on  
12 a more or less linear basis as age increases, HSV-2  
13 infections are essentially absent before the  
14 sexually active years and the acquisition rate  
15 obviously declines to very low levels after the  
16 sexually active years. So, after someone reaches  
17 their late 30s they become, as has been used in the  
18 sexually transmitted disease epidemiology modeling  
19 world, sexually dead. So, all of the acquisitions  
20 are basically occurring in the sexually active  
21 years. So, that is just one piece among many of  
22 the evidence for those of you who might be  
23 skeptical that, yes, HSV-2 infections are sexually  
24 acquired.

25 [Slide]

1           Many of you have seen these data before  
2 and in a way, there is this almost too cute sort of  
3 slant to them, but I think they are important to  
4 take into account. This is one question on  
5 health-related random digit dialing survey,  
6 conducted by the American Social Health Association  
7 a few years ago, in which a number of  
8 health-related questions were asked. People were  
9 sought who were age 18-40. So, it is the younger  
10 half of the population.

11           This is simply one of many questions that  
12 was asked. It is listed verbatim here. I can read  
13 you a list of items--it is exactly the same  
14 approach used for political polling, the same sort  
15 of confidence intervals and so on. The sample size  
16 is around a thousand. I can read you a list of  
17 items that people may or may not consider  
18 traumatic. For each one, please tell me how  
19 traumatic it would be for you personally, "very,"  
20 "somewhat," "not very traumatic," or "not traumatic  
21 at all." No surprise, people said getting HIV or  
22 AIDS would be very traumatic. The proportion  
23 saying it was very traumatic was virtually 100  
24 percent. But two-thirds reported that they would  
25 consider it very traumatic if they acquired genital

1 herpes, and that was more than the proportion than  
2 rated it very traumatic to break up with a  
3 significant other, to get fired from a job or to  
4 fail a course in school.

5           Now, in a way that is crazy because this  
6 is a disease that, although it has its serious  
7 outcomes, the vast majority of infections are, in  
8 fact, mild. In fact, they are so mild that the  
9 majority of infections are entirely subclinical.  
10 Many of those can be converted into clinically  
11 recognized cases by proper counseling for people to  
12 recognize subtle symptoms. But it is probably not  
13 an accurate reflection of how bad the disease is,  
14 but it is a reflection of how people who recently  
15 acquired the disease or are afraid of getting it  
16 look at it.

17           [Slide]

18           This slide shows that although you may  
19 say, well, that is one data set but that makes the  
20 point of what about others? This is an entirely  
21 separate kind of data set that essentially comes to  
22 the same conclusion.

23           After 1997 the national STD hotline,  
24 conducted by American Social Health Association on  
25 behalf of CDC combined with the national AIDS



1 hotline, so it was a single hotline so the  
2 statistics are a little bit less easy to break out  
3 in this fashion since that time but the last year  
4 these data were available, 1997, if you limit the  
5 logged calls in terms of what they are about to  
6 disease specific calls, eliminating non-specific  
7 ones--what is an STD; do condoms work, etc.--and  
8 looking at disease-specific calls, herpes generated  
9 almost as many calls as all the rest of these  
10 combined.

11 HPV and warts was, in the middle 1990s,  
12 the most rapidly rising category so it wouldn't  
13 surprise me if more recent data would show these  
14 two running more closely to one another, and who  
15 knows which would be first, but the point is the  
16 viral STDs in general and genital herpes in  
17 particular generate far more concern than the  
18 traditional bacterial STDs on which I have spent  
19 the bulk of my professional career.

20 [Slide]

21 Clinical spectrum of disease--I hope this  
22 is also a review. First episode infection is  
23 divided into those who have true primary infection.  
24 These are people who have never been infected with  
25 either HSV-1 or HSV-2 and they tend to have the

1 clinically most severe disease. I say "tend"  
2 because most of these are subclinical. Even people  
3 who are HSV-2 seropositive and HSV-1 negative,  
4 which means when they acquired HSV-2, it had to be  
5 a primary infection--most of those or many of  
6 those, in fact, have no previous diagnosis or  
7 symptoms.

8           That said, among the people who  
9 development symptoms, these tend to be clinically  
10 the most severe. These are the folks who present  
11 with multiple bilateral lesions, systemic symptoms  
12 and so on, and a more prolonged course.  
13 Non-primary first episode infections are people who  
14 are infected typically with HSV-2 in the face of  
15 chronic, often undiagnosed and unaware HSV-1  
16 infection, often acquired years before. These tend  
17 on average to be shifted toward a less severe  
18 clinical course and probably more subclinical  
19 infection.

20           Very important, many people present and  
21 already at the time of presentation have type  
22 specific antibody to the virus type that is causing  
23 the acute clinical syndrome, meaning that they have  
24 been infected for at least some weeks and,  
25 statistically, the vast majority have been infected

1 for months or years. That is, they are  
2 experiencing the first clinical outbreak in spite  
3 of an infection that was acquired some time  
4 previously. This phenomenon actually explains a  
5 large proportion of the magical transmission  
6 theories that we have all heard about--toilette  
7 seats, hot tubs and shared towels--because what it  
8 means is that people who show up with infection who  
9 are not currently sexually active or at risk for an  
10 STD because they are in a reliable monogamous  
11 setting and, of course, in that monogamous setting  
12 the other possibility is that transmission only  
13 just occurred in a relationship that had been going  
14 on for a substantial period of time. But in both  
15 cases clinicians tend to help patients reach for  
16 face-saving explanations that we now know simply  
17 don't occur. So, that is an important issue to  
18 understand from an epidemiology as well as a  
19 clinical management perspective.

20           Recurrent infection, of course, by  
21 definition is a second or subsequent recurrent  
22 outbreak that is clinically recognized, and most of  
23 the important information in understanding the  
24 epidemiology and clinical aspects of this disease  
25 in the past 15 years has been to understand that

1 subclinical infection overlaps all these categories  
2 and is subdivided into those who are truly  
3 asymptomatic and those who have unrecognized  
4 disease. Most are in this category. Data show  
5 that persons who are seropositive and unaware, and  
6 even who say they have had no symptoms, if  
7 counseled about even subtle genital symptoms to  
8 look for and then are given a green light to be  
9 seen clinically within a day or two as opposed to  
10 an appointment ten days later when symptoms appear,  
11 in fact, 60 percent or so appear with symptoms that  
12 are culture positive for herpes within about three  
13 months.

14 [Slide]

15 Much of these data, though not  
16 exclusively, I owe to my colleagues Anna Wald and  
17 Larry Corey who have made entire careers of  
18 studying this disease, and much of these data come  
19 from their facility. So, this is sort of the  
20 plenary study on the recurrence rate of 450-some  
21 persons followed for something over a year on  
22 average. Men had an average of five, women an  
23 average of four episodes in the next year of  
24 recurrences. I believe the true recurrence rate  
25 probably is the same in men and women. Carefully

1 counseled people in a herpes research clinic--men  
2 may be able to recognize subtle disease than women  
3 because of anatomical location and visibility. For  
4 a woman it is hard to know perhaps whether a labial  
5 itch is a lesion or not. Almost 40 percent had at  
6 least six recurrences in the next year and a  
7 sizeable minority had at least ten recurrences in  
8 the coming year.

9 I actually intended to bring a slide, and  
10 I guess I just forgot to include it in my slide set  
11 and it wasn't in my laptop, about the natural  
12 course over the years. The same data set has been  
13 looked at to look at recurrences and, actually,  
14 over the course of about eight years and beyond  
15 eight years the number of patients followed over  
16 time became too small to draw very many  
17 conclusions. But the average rate of decline was  
18 0.7 to 0.8 recurrences per year.

19 The problem with those data is it is not  
20 linear, so people probably tend to have fairly  
21 frequent recurrences in the first year. There is  
22 probably usually then some decrement that goes on  
23 for many years. The same pattern appears to apply  
24 for subclinical shedding. So, we have fairly poor  
25 data both for subclinical shedding and for clinical

1 disease beyond eight to ten years. I think that is  
2 an important thing to keep in the back of our mind.  
3 It is true that we don't see very many people in  
4 STD clinics who are, for example, age 50 who say,  
5 "I got herpes at age 25 and here I am, 25 years  
6 later, still having two or three episodes per  
7 year." But the frequent recurrent rate and  
8 certainly the potential for transmission goes on,  
9 as ball park thinking, for at least a decade and  
10 what happens after that is harder to know. The  
11 recurrence rate is much lower for genital SHV-1.

12 [Slide]

13 This slide shows data on that. These were  
14 presented by Dr. Wald at IDSA a couple of years ago  
15 and have just been published, within the last  
16 month. The bottom line is when you follow HSV-1  
17 infected persons for an average of 2.5 years, 40  
18 percent almost had no recurrences that are  
19 clinically recognized; a third had only one; and  
20 only a quarter had four or more. So, it is very  
21 different than HSV-2 and the days to first  
22 recurrence are quite prolonged. As you can see,  
23 there is a decrement down to an average of less  
24 than one recurrence per year for many people after  
25 the first couple of years. So, the bottom line is

1 that people with this disease can be told with some  
2 assurance that they might have no recurrences  
3 though probably most people have one or two over  
4 the next year or two and maybe very little disease  
5 thereafter.

6 [Slide]

7 There is a lot of folklore about  
8 triggering recurrent outbreaks. It is interesting  
9 that for oral labial infection with HSV-1 the very  
10 fact of the name, cold sore or fever blister,  
11 reflects the role of intercurrent infections in  
12 stimulating outbreaks. We certainly know that  
13 sunburn or other actinic injuries can do it. Local  
14 trauma can do it. Ophthalmologists and ENT docs  
15 have learned that people with recurrent oral herpes  
16 often are being treated prophylactically with  
17 antiviral drugs at the time of surgery to prevent  
18 postoperative complications. Admittedly, I don't  
19 know the extent to which those procedures have been  
20 systematically documented as effective, but they  
21 are believed to be effective by many of those  
22 providers.

23 In contrast to folk lore, there aren't  
24 very many well documented triggers for HSV-2. The  
25 studies that are weak in this area but in general

1 those that have attempted to look at diaries of  
2 stressful events plus recurrent outbreaks and  
3 overlying objective psychological scoring  
4 methodologies have not been able to show much of a  
5 link between the things that patients often cite,  
6 such as stress, diet, menses and that sort of  
7 thing. I think it is important to remember the  
8 power of the human mind's capability of linking  
9 sequential events in a causal fashion, and I would  
10 ask anybody to contemplate whether it is herpes or  
11 myocardial infarction or a sprained ankle, whether  
12 they can look back and say, "oh, I haven't been  
13 stressed at all in the last week."

14 [Slide]

15 The biomedical complications--I stress  
16 biomedical because of the psychological  
17 complications I am going to talk about in a little  
18 bit--are a separate category, and I think everybody  
19 recognizes the predominant, frequent impact of this  
20 disease. It is psychosocial rather than purely  
21 biomedical although, obviously, they are  
22 interacting. But just to remind you of that  
23 spectrum, this is a protean disease that causes  
24 more than just the occasional genital sore. So,  
25 localized neuropathic manifestations, particularly



1 the first set of infection, bladder paralysis,  
2 sphincter incompetence and things like that;  
3 meningitis, either acute or recurrent. For those  
4 who don't know, the historic syndrome of what used  
5 to be called Molleret's meningitis or benign  
6 lymphocytic meningitis is, in fact, in at least  
7 80-90 percent of cases recurrent HSV-2 infection of  
8 the central nervous system. HSV-2 as opposed to  
9 HSV-1 tends to cause meningitis. HSV-1 tends to  
10 cause encephalitis. There is a little bit of  
11 overlap but in an immunocompetent patients those  
12 distinctions hold fairly sharply.

13           Erythema multiforme, Stevens-Johnson  
14 syndrome--it is now known that recurrent erythema  
15 multiforme is in the vast majority of cases a  
16 complication of genital HSV-2 infection and  
17 preventing erythema multiforme in those cases is  
18 highly successful with suppressant antiviral  
19 therapy.

20           There is a range of perinatal and maternal  
21 morbidity, and Dr. Zane Brown is here and will  
22 undoubtedly address this if he has a chance to make  
23 some comments. The non-genital auto-inoculation  
24 syndromes, such as ocular infections and whitlow  
25 are an occasional issue, particularly the first

1 episode disease; chronic, localized disease, in  
2 AIDS patients; and I will talk a little bit more  
3 about the HIV transmission issue in just a minute.

4 [Slide]

5 In fact, here is the start of that theme.

6 Dr. Wald and her colleague, Katie Link, did a  
7 masterful review and meta analysis of the  
8 literature, published a year ago in JID, and this  
9 is one figure from that paper. They found, I think  
10 it was, 20 or 30 studies that had looked at the  
11 association of HSV-2 infection with HIV prevalence  
12 or incidence, and within that there were nine  
13 studies that were either prospective cohort or  
14 nested control studies that had the opportunity to  
15 look at incident HIV infection as a function of  
16 preexisting HSV-2 antibody while also controlling  
17 for a variety of all of the things you would expect  
18 would influence it--sexual behavior, intercurrent  
19 STDs, and so on, and so on.

20 The results of those nine studies are  
21 illustrated here, and the overall meta-analytic  
22 conclusion was that there is, on average, about a  
23 two-fold increase of HIV infection, of incident HIV  
24 in the presence of HSV-2 antibody compared to the  
25 absence of HSV-2 antibody after controlling for a

1 number of sexual partners, frequency of sexual  
2 intercourse, use of condoms and other similar  
3 predictors.

4 [Slide]

5 These data are, to me, among the most  
6 dramatic. They are preliminary and remain that  
7 way. They come from the Rikai, Uganda study and I  
8 owe Larry Corey thanks for letting me use this  
9 slide which he, in turn, got from Mary Wawar and  
10 Tom Quinn and others.

11 I am privileged to be reviewing abstracts  
12 for the upcoming international society for SV  
13 research meeting that is occurring in Ottawa in  
14 July, and I have seen that these investigators have  
15 analyzed these data now with about double the  
16 number of couples reflected and, without betraying  
17 the confidence that is implicit in seeing  
18 pre-published work in that context, suffice it to  
19 say that it looks like these earlier results are  
20 not going to be undermined in any important way.

21 One hundred seventy-four HIV discordant  
22 monogamous couples, followed over time, looking at  
23 the HIV acquisition rate in those couples while  
24 those couples kept diaries of episodes of sexual  
25 intercourse, all these people lacked other risks

1 for HIV, other than their sexual cohabitation.  
2 What this looks at is the risk of HIV  
3 seroconversion according to the viral load, using a  
4 particular earlier assay that gave these particular  
5 numbers and looking at that by viral load in the  
6 HIV-infected persons per number of episodes of  
7 intercourse over time.

8 I think you can see obviously that if the  
9 HIV-exposed person, HIV negative exposed person was  
10 HSV-2 seropositive the risk of HIV transmission was  
11 dramatically higher than if that person was HSV  
12 negative. In fact, the transmission rate was  
13 statistically similar in a person who was sexually  
14 exposed to someone with a maximal viral load if the  
15 exposed person was HIV negative and in someone who  
16 was HSV-2 positive but exposed to someone with an  
17 undetectable HIV viral load by that assay. So, in  
18 this subset the importance of HSV-2 was a stronger  
19 predictor of HIV transmission than was HIV viral  
20 load.

21 [Slide]

22 So, I have concluded when I have spoken to  
23 practicing clinicians in the past couple of years  
24 that, other things being equal, HSV-2 infected  
25 persons have twice the chance of acquiring HIV on a

1 population basis. HSV-2 may be the most important  
2 STD. Not that the transmission efficiency for HIV  
3 is enhanced as much as it might be with, say,  
4 syphilis but HSV-2 is so much more prevalent in the  
5 population. The population attributable fraction  
6 likely is maximal for this particular disease.

7 Now, there is some controversy about when  
8 and how type-specific serological testing should be  
9 used as a screening tool in asymptomatic persons.  
10 My own feeling is that that debate unequivocally is  
11 over in people at high risk for HIV. We need to  
12 know if they are HSV-2 positive because it may help  
13 them understand that they are at double the risk of  
14 acquiring HIV if exposed. I think understanding  
15 this and its implications for the public health  
16 aspect may be at the pinnacle of what we need to be  
17 thinking about for this disease and prevention and,  
18 of course, control strategies for it which is, of  
19 course, at the core of why you are here today.

20 [Slide]

21 Subclinical shedding of this virus is  
22 extremely common. It is really unfair to try to  
23 summarize essentially your entire career in a  
24 single slide but here it goes. You can sort of  
25 summarize that it is present in people who test

1 themselves every day for weeks on end. It is  
2 present 1-10 percent of the days if you use PCR, up  
3 to 30 percent of asymptomatic days in people with  
4 symptomatic recurrent genital herpes. The maximum  
5 frequency, the group in the 5-10 percent of the  
6 days or 20-30 percent by PCR is in the first year  
7 after acquisition of this disease. It then  
8 declines. But it probably settles by culture in  
9 most people at the rate of roughly 2-3 percent of  
10 asymptomatic days by culture and roughly double  
11 that number of days by PCR for at least several  
12 years. The time course is probably similar to the  
13 clinical recurrence rate that we have already  
14 discussed. At least 95 percent of the people who  
15 are HSV-2 seropositive have some days when the  
16 virus is present in the absence of both detectable  
17 symptoms and things that even a trained observer  
18 can recognize as clinical disease.

19           Interestingly, the frequency is just as  
20 prevalent in people who are seropositive without  
21 history of clinical disease as it is in people with  
22 clinical disease who test themselves in between  
23 symptomatic outbreaks. Most episodes are  
24 symptomatic but unrecognized, although that is a  
25 little bit challenged by evolving data regarding

1 PCR because there are more people who are PCR  
2 positive and culture negative who truly don't have  
3 symptoms. This accounts for most transmissions.  
4 All STDs are transmitted selectively, like people  
5 whose clinical syndrome has shifted toward the  
6 subclinical end. It is sort of a no-brainer.  
7 People with painful genital sores, genital  
8 discharge, lower abdominal pain don't have  
9 intercourse as often as people without those  
10 symptoms. That is not exactly a surprising  
11 finding. It does underlie the importance of why  
12 active steps of partner notification are important  
13 for all STDs. Subclinical shedding is  
14 substantially reduced by suppressive antiviral  
15 therapy, both in terms of frequency of shedding and  
16 the amount of virus that can be detected and, as  
17 with clinical disease, it is uncommon with genital  
18 HSV-1 disease.

19 [Slide]

20 Psychosocial impact--I could spend the  
21 whole lecture on that. The fact that there is a  
22 psychosocial impact is at its core one of the  
23 reasons why we are all here today, at least some  
24 aspects of it. I would simply summarize  
25 specifically on the transmission issue because your

1 focus is going to be on what is the impact  
2 clinically, public health and psychologically on  
3 suppression of this disease with the goal of  
4 preventing transmission.

5           Every study of psychosocial impact or  
6 every survey of patients, and the quality of these  
7 studies is highly variable; the design is highly  
8 variable in terms of what they did and how they  
9 recruited patients or spontaneous respondents to  
10 web-based surveys, for example, are potentially  
11 highly biased. Nevertheless, there is great  
12 consistency.

13           Fear of transmission to partners is  
14 consistently among the top three. It is usually  
15 number one or two of the stated sources of concern,  
16 anxiety or stress by the patients. Then typically  
17 in these studies that particular issue--"I don't  
18 want to infect my partner and I'm afraid that I'll  
19 do it, and I don't know how to prevent that"--is  
20 typically cited by a third to 90 percent of the  
21 people participating in these surveys.

22           [Slide]

23           Just as a minor reflection, this is just a  
24 single web site. There are many out there. It is  
25 called Antopia, and I am not sure where the name



1 Antopia comes from but Antopia has a "dating  
2 service." MPwH I believe means matching partners  
3 with H, H meaning herpes or HPV. This is a quote  
4 from their web site yesterday, quoted to the point  
5 even of what is bold and what is colored in various  
6 ways. So, MPwH is a social resource and dating  
7 site for people with herpes and HPV. Right now it  
8 is May 13, 2003 and we have 36,000 registered  
9 members and 163 are currently logged in. Signing  
10 up is free; no obligation; your privacy and  
11 confidentiality are assured.

12           Simply, you don't have these sorts of  
13 things appearing--even if you make the argument  
14 that the people who participate in them are shifted  
15 towards those who are most concerned and not  
16 typical, you have to have lots of people to  
17 generate this sort of business.

18           [Slide]

19           I am not talking about therapy  
20 intentionally, except I do want to make the point  
21 that if you clinically suppress recurrent herpetic  
22 disease you have a significant impact to the good  
23 on psychological measures. This looked at  
24 herpes-related quality of life, 20 or so questions  
25 related to all the things that people with herpes

1 might be concerned about plus general questions  
2 about quality of life that weren't directly herpes  
3 related. Going higher means that over time you  
4 have an improvement in the score, that is, less  
5 psychological stress and improved quality of life.  
6 In five different regimens with different drugs in  
7 Dr. Patel's analysis for suppressant antiviral  
8 therapy compared to people on placebo there was a  
9 marked improvement in people who had clinical  
10 suppression of HSV compared to people who were on  
11 placebo, and over time, if you note, there is a  
12 general upward trend. The scores continued to  
13 improve with time. It was not a transient effect  
14 in people on antiviral therapy.

15 [Slide]

16 So, if people are so concerned about  
17 transmission, what are the data on transmission?  
18 Well, there are several studies out there. I am  
19 going to summarize only one because, (a) it is one  
20 I am the most familiar with and, (b) because it is  
21 probably the most comprehensively done one in a  
22 prospective fashion. It also served, I believe, as  
23 part of the genesis of sample size calculations for  
24 the study behind the sponsor's proposal today.

25 This was a retrospective--you know, the

1 Chiron vaccine studies have got to be the most  
2 successful scientific outcome of failed research.  
3 It generates all kinds of great analyses. The  
4 Chiron vaccine studies of HSV-2 serum negative  
5 persons enrolled monogamous partners of persons  
6 with herpes or STD clinic patients at high risk,  
7 500-and some and almost 2000; followed them for 18  
8 months with history, exams, HSV serologies, lesion  
9 cultures when lesions appeared; repeated safer sex  
10 counseling--we need to keep it in mind because  
11 these studies are shifted toward the null in terms  
12 of the likely transmission rates because of this  
13 need.

14 Outcome measures--primary measures HSV-2  
15 infection as measured by seroconversion; the  
16 secondary outcomes in this analysis for HSV-1  
17 infection; clinical disease. The vaccine and  
18 placebo recipients were combined because the  
19 vaccine didn't work and the results were identical  
20 in the two groups. The acquisition rates were  
21 similar in both studies, with some very minor  
22 differences. So, the results in both studies were  
23 combined in Dr. Langenberg's analysis.

24 [Slide]

25 In that data set there were 155 incident

1 HSV-2 infections, giving good numbers to work with,  
2 of which 37 percent were symptomatic and 63 percent  
3 were asymptomatic seroconversions. There were 19  
4 incident HSV-1 infections. The infection rate per  
5 year was five percent, five infections per 100  
6 person years, that is, five percent of uninfected  
7 people acquiring HSV-2 per year, essentially  
8 similar in both the partners study and the STD  
9 population study.

10 As predicted and as we pointed out earlier  
11 in the broad epidemiologic data, women had a higher  
12 risk of acquiring infection than did men. This is  
13 an interesting side issue, HSV-1 infection did not  
14 change the rate of HIV infection. So, the people  
15 who were HSV-1 seropositive at enrollment and those  
16 who were HSV-1 seronegative at enrollment had  
17 identical rates of acquiring HSV-2. It is  
18 fascinating to me, however, that this result is  
19 entirely inconsistent with the results from the now  
20 GlaxoSmithKline, then SmithKline Beecham, HSV-2  
21 vaccine studies which Dr. Stanbury may talk about  
22 later, where, with an essentially identical study  
23 design, it in fact was shown that HSV-1 appeared to  
24 protect against HSV-2 acquisition--similar design;  
25 similar sample size. How that debate as to whether

1 HSV-1 is protective is going to sort out over time  
2 remains a conundrum to me.

3 [Slide]

4 Other results from the studies are that 13  
5 percent of the incident symptomatic infections were  
6 atypical. Trained observers who are looking to  
7 find herpes missed the diagnosis pretty frequently.  
8 In fact, if you jump down here, missed diagnosis in  
9 either direction--thinking it was herpes when it  
10 wasn't or not thinking it was herpes when it was  
11 because they presented with these sorts of  
12 things--by the investigators and the clinicians who  
13 are highly trained and experienced in this disease  
14 was in the 20 percent range. With other, more  
15 recent data, it is even higher than that. So, it  
16 is a disease that can be difficult to recognize.

17 Many of the asymptomatic seroconverters  
18 subsequently developed clinically evident disease.  
19 That is truly an underestimate. Some of these  
20 people seroconverted, for example, at 12 or 13  
21 months in an 18-month study and only had one more  
22 follow-up visits thereafter. So, over a long  
23 period of time this undoubtedly would be greater.

24 Interestingly, half the HSV-1 infections  
25 were genital, not oral. The incident infection was

1 associated with young age and women but not in men.  
2 Incident infection was two to three times more  
3 common in non-whites than in whites. That also  
4 reflects general epidemiologic factors that I  
5 haven't otherwise discussed. As we said, HSV-1 did  
6 not influence acquisition rate but it did  
7 ameliorate incident HSV-2 with more asymptomatic  
8 infections. The study design probably reduced the  
9 actual real-world infection rate because of the  
10 need for ongoing strict safer sex counseling as  
11 part of the protocol.

12 [Slide]

13 Other factors in herpes transmission,  
14 avoidance of sex if symptomatic I have highlighted  
15 because I am going to briefly mention them. My  
16 time is about up and I will be quite quick at this  
17 point. For those of you who are watching the  
18 clock, I apologize.

19 Other things that are associated with  
20 transmission are that more recent infections are  
21 more transmissible than more prolonged infections.  
22 A shorter duration of relationship, apparently  
23 independent of duration of infection, is associated  
24 with increased transmission rates, presumably  
25 having to do with such things as frequency of

1 intercourse and perhaps--who knows?--less judgment  
2 in terms of when to have intercourse in people  
3 whose sexual relationships are driven more by  
4 passion than by conscious thought. That is my  
5 hypothesis for that.

6           Certainly sexual practices can influence  
7 when they interact with virus type; circumcision  
8 status perhaps; pregnancy perhaps; immune  
9 deficiency and/or HIV status. There have been  
10 either conflicting data or speculation around these  
11 issues without a lot of data so I won't go into  
12 them in any more detail.

13           [Slide]

14           There are two condom studies out there.  
15 Both are also spin-offs from the Chiron vaccine  
16 study. Anna published this one a couple of years  
17 ago. It is cited in your handout. Basically, in  
18 the monogamous couples of the Chiron vaccine data  
19 set with 25 percent common use used as a cut-off  
20 because that was the median--it was sort of where  
21 the natural break point was in terms of numbers of  
22 people available for analysis--clearly reduced  
23 transmission from men to women but there was no  
24 evidence of protection of women to men. These data  
25 were misinterpreted in some sources, as you can

1 see, even though this odds ratio makes it look like  
2 there is actual risk of transmission, the broadness  
3 of the 90 percent confidence interval really just  
4 tells you the sample size was inadequate to draw  
5 conclusions at all.

6 [Slide]

7 This slide is data that have now been  
8 analyzed by Dr. Langenberg and by Dr. Wald,  
9 presented in abstract form and I understand are  
10 being prepared for or perhaps are submitted for  
11 publication, looking at the high risk group  
12 recruited from the STD clinic with 18-month  
13 follow-up. In this group the breakpoint in terms  
14 of the portion who used condoms was at a different  
15 level. It was plus/minus 65 percent. But in this  
16 analysis there was demonstrated protection, with a  
17 roughly 40 percent reduction in HSV-2  
18 seroconversion rate in common users versus  
19 non-users in exposed men.

20 [Slide]

21 So, in the next slide I draw the  
22 conclusion that although it is very hard to study  
23 condom use in a definitive fashion because of the  
24 whole nature of how you do those studies, and so on  
25 and so on, I think we can draw the firm conclusion



1 that condoms are partly effective. Previous  
2 controversy notwithstanding, they are probably  
3 equally effective or nearly so for protecting women  
4 from male infection and females from male  
5 infection. Of course, condoms fall down in their  
6 efficacy in use effectiveness and overall  
7 acceptability. One might guess better performance  
8 in female condom because of greater surface area  
9 covered but no data are available.

10 [Slide]

11 In the interest of time I will just say  
12 there are good data to support the notion that  
13 couples who are aware of a herpes discordance in a  
14 relationship and who avoid sex when symptoms are  
15 present do have lower acquisition in transmission  
16 rates in those relationships.

17 [Slide]

18 The counseling of persons with  
19 herpes--these are again from the CDC 2002 treatment  
20 guidelines, with the exception that in terms of  
21 what people ought to be counseled I have inserted  
22 in highlight the term antiviral therapy, question  
23 mark, because that is what your focus is going to  
24 be today.

25 [Slide]

1           My final slide is simply to make the point  
2 that when I wear my public health hat as someone  
3 responsible for SV prevention in a metropolitan  
4 area of 1.7 million people, and with some interest  
5 in and work at national and global levels as well,  
6 these are what I think are the six key issues,  
7 according to my lights. Some of you might lump  
8 these and come up with fewer and some might split  
9 them and come up with more but it is not a bad  
10 representation of what I think are the core current  
11 public health issues in genital herpes. I have  
12 highlighted the ones that I think have some  
13 relationship to your discussions today.

14           Preventing sexual transmission and how to  
15 best do it is a core issue. The relationship of  
16 HSV-2 to HIV and its prevention is a core issue.  
17 The under-diagnosis of genital ulcer disease--I  
18 would actually say that I think in terms of  
19 under-recognition and under-attention to this  
20 disease, I think the public health community in  
21 general is probably more lax than the practicing  
22 community. Few health departments are paying the  
23 attention to this disease that it needs or  
24 deserves. The role of and when and how to use  
25 type-specific serological testing is an issue of

1 ongoing debate. I will say I believe it is grossly  
2 under-used but I think that is a core issue.  
3 Under-treatment, leaving aside the transmission  
4 issue, is a big issue that, in turn, relates to  
5 clinicians' lack of understanding of the  
6 psychosocial impact and, of course, preventing the  
7 single most frequent devastating outcome, neonatal  
8 herpes and attendant maternal morbidity, is the  
9 last.

10 Thank you very much for your attention.

11 DR. GULICK: Thanks for the overview, Dr.  
12 Handsfield. We have time for a couple of  
13 questions, if there are questions, for Dr.  
14 Handsfield from committee members. Dr. Mathews?

15 DR. MATHEWS: That was a great overview,  
16 Hunter. Is there evidence of uniform type-specific  
17 immunity?

18 DR. HANDSFIELD: Well, yes but, first of  
19 all, it does not cross specificity. There is not  
20 cross immunity between HSV-1 and HSV-2. The  
21 general consensus is that it is extraordinarily  
22 rare at the clinical level for people to get  
23 ping-ponged, that is, new HSV-2 infections if they  
24 are already HSV-2 seropositive.

25 Now, there are no absolutes in biology of

1 medicine and it would be very difficult to know if,  
2 for example, the occasional patient who, three  
3 years into a pattern of recurrences occurring three  
4 or four times year, now all of a sudden has six or  
5 eight occurrences a year, did that person get a new  
6 infection on top of it? There is no evidence that  
7 that happens. If it happens it is very rare. We  
8 do know from the Chiron and extrapolating from the  
9 GlaxoSmithKline vaccine studies that neutralizing  
10 antibody alone does not provide protection against  
11 exogenous infection. But the notion that there is  
12 strong type-specific immunity that involves some  
13 combination of cellular and other mechanisms we  
14 don't understand I think is epidemiologically  
15 solid, but there are others in the audience who  
16 could probably answer your question with more  
17 scientific precision than I just have.

18 DR. GULICK: Dr. Kumar?

19 DR. KUMAR: Would you be able to comment  
20 on why it is more common, HSV-2, among  
21 African-Americans?

22 DR. HANDSFIELD: Yes, I intentionally  
23 avoided that issue because though I think it is  
24 epidemiologically interesting, I think it can be  
25 distracting to get too much into racial issues for

1 a whole variety of reasons that you are very well  
2 familiar with. That said, whatever drove the  
3 prevalences to very high rates, after you adjust  
4 for age, sex and geography, fairly consistently  
5 African-Americans have much high HSV-2  
6 seroprevalence rates than do whites, Asians and  
7 some other ethnic groups, and Hispanics and native  
8 Americans tend to be in the middle. Whatever the  
9 reason that got it there, once it gets to that  
10 point the average sexually active person is more  
11 likely to encounter an infected person. So,  
12 sustained rates do not imply ongoing levels of  
13 sexual risk-taking that you might assume just that  
14 the prevalence is high.

15 Now, why they got there to begin with  
16 clearly has to do with issues that are fairly  
17 poorly understood by sexual partner networks,  
18 partner selection and that sort of thing. The  
19 whole issue of overall higher HSV rates in  
20 African-Americans compared to whites probably  
21 relates to such things as higher mortality rates  
22 and higher incarceration rates in African-Americans  
23 that change the male-female ratios in communities  
24 and affect sexual partner networks, and a whole  
25 host of other very complex issues. So, that is a

1 fairly inadequate answer but I think that is about  
2 as far as the science allows us to go with it.

3 DR. GULICK: Yes, Dr. Guinan?

4 DR. GUINAN: I wonder about the source of  
5 asymptomatic shedding. Where have these cultures  
6 been taken? Theoretically, the virus could shed  
7 anywhere along the distribution of the nerve, which  
8 is a long way. Traditionally, you know, the vagina  
9 in women has been cultured and maybe the labia, but  
10 in men it is not clear to me that there are samples  
11 taken from suspect areas that might be shedding.  
12 Are there well-established negative studies that it  
13 doesn't shed in some places and does in others?

14 DR. HANDSFIELD: In the interest of time I  
15 didn't go into the methodology behind those  
16 studies. Briefly, what is done is that patients  
17 are trained to self-collect specimens, attempting  
18 to get a cervical specimen in women which really  
19 means putting a swab at the end of the finger and  
20 attempting to reach the cervix for at least a high  
21 vaginal and introital sweep and perianal sweep, and  
22 those are collected every day and go to the  
23 laboratory. Couriers come and pick them up, and  
24 that sort of thing.

25 In men, and Anna, correct me if I am

1 wrong, I think the technique is a swab in the  
2 urethra, under the foreskin or around the glands  
3 and up and down the shaft, and a third swab also  
4 perianally. As a side note, a modest proportion of  
5 subclinical shedding in heterosexual men occurs  
6 perianally, having to do undoubtedly with that  
7 broad neural distribution that you suggest.

8           So, that is the basic technique. The  
9 frequencies of subclinical shedding tend to be  
10 slightly lower in men than in women, but that is  
11 probably an artifact of the notion that, first,  
12 cultures may be less sensitive on dry skin and PCR  
13 for that matter and, second, because men probably  
14 recognize subtle lesions more readily than some  
15 women do and, therefore, more men with recurrences  
16 classify themselves as symptomatic than women do.

17           DR. GUINAN: Scrotum, for example, might  
18 be a source and, of course, condoms don't cover  
19 scrotum so it would make sense that in  
20 asymptomatic--

21           DR. HANDSFIELD: Anna, have you tested  
22 scrotum? Is scrotum one of the sites that you have  
23 been surveying?

24           DR. WALD: Not routinely.

25           DR. HANDSFIELD: Not routinely?

1 DR. GULICK: I am sorry, we need you to go  
2 to the mike and identify yourself and answer the  
3 question. Thanks.

4 DR. WALD: Anna Wald, from the University  
5 of Washington. In men, we have them mostly swab  
6 normal-appearing penile skin for asymptomatic  
7 shedding and also the perianal area. Urethral  
8 swabs in general are negative and we have moved  
9 away from those. We have looked at scrotum in a  
10 small number of men and it did not yield virus, but  
11 it was a small sample.

12 DR. GULICK: I would like to ask one last  
13 question and then we need to move on. I was  
14 intrigued by your recommendation that people at  
15 high risk for HIV actually have an HSV-2 serology  
16 done. Two questions from that. One, what is the  
17 mechanism of action that increases the acquisition  
18 of HIV? Number two, what would you do with that  
19 result practically?

20 DR. HANDSFIELD: As far as the first  
21 question, I am not an immunopathologist so I am  
22 probably not the best to answer but I think the  
23 general notion is, as you well know, that shedding  
24 is associated with lesions; they are simply not  
25 visible in an inflammatory reaction at the surface,



1 and those inflammatory reactions bring CD4-laden  
2 inflammatory cells to the surface so that there is  
3 a biological enhancement of potential infection  
4 above and beyond, and in addition to the potential  
5 mechanical mucosal disruption. I think there is a  
6 general consensus that something like that is going  
7 on. Others may elaborate in more detail than I  
8 can.

9           What would you do? Well, I guess I would  
10 answer the same way I would answer what do you do  
11 with HIV testing and counseling to begin with.  
12 There is a lot of controversy about how good it is  
13 in helping people understand their risk and helping  
14 them prevent transmission. But what we do know is  
15 that it can't hurt. So, from a public health  
16 standpoint, I think it is clear that people who are  
17 HIV susceptible and HSV-2 infected, that some  
18 individuals, not all and maybe not even a high  
19 proportion, we don't really know, will, with that  
20 knowledge say--I mean, it will help some people  
21 click and the person who is sort of on the fence  
22 about where and how he or she is going to select  
23 partners, whether to use condoms or not, maybe now  
24 clicks over and that is the deciding factor that  
25 helps them reduce their risk.

1           So, my argument is that it cannot hurt and  
2 very likely, on a broad population level, will  
3 help. So, the quick answer is I would counsel them  
4 accordingly about their increased risk and use that  
5 to help them protect themselves.

6           The other issue is should HIV-infected  
7 people also be tested? That is actually a  
8 reasonable issue as well. Whether those people  
9 would be more efficient HIV transmitters is less  
10 clear from the available data. Then the issue is  
11 will it help clinicians be alert for clinical  
12 disease that will lower their treatment threshold  
13 for certain syndromes. I think that probably  
14 depends a little bit on the dedication and clinical  
15 acumen of that particular provider as much as  
16 anything else.

17           DR. GULICK: Thanks. We need to move  
18 forward. Thanks again for the presentation. Next  
19 up is the presentation by the sponsor,  
20 GlaxoSmithKline. Dr. Cocchetto will be introducing  
21 this.

22                           Sponsor Presentation

23                                   Introduction

24           DR. COCCHETTO: Good morning, Dr. Gulick,  
25 Dr. Birnkrant, members of the committee, FDA and

1 guests.

2 [Slide]

3 On behalf of GlaxoSmithKline, thank you  
4 for the opportunity to share the results of a major  
5 clinical study with valacyclovir, also known as  
6 Valtrex. My name is David Cocchetto and I am a  
7 member of the team at GlaxoSmithKline that studied  
8 the ability of suppressive therapy with Valtrex to  
9 reduce the frequency of transmission of genital  
10 herpes.

11 [Slide]

12 Over the next 45 minutes my colleagues and  
13 I will summarize this work. I will briefly  
14 summarize the regulatory history of this study and  
15 show the statements that GSK is seeking in product  
16 labeling. Following my introductory remarks, Dr.  
17 Stuart Harding will present the design, methods and  
18 results of the clinical study. Finally, Dr.  
19 Clarence Young will provide concluding remarks.

20 [Slide]

21 Dr. Handsfield has presented an  
22 informative overview of genital herpes, including  
23 information on transmission. The current  
24 approaches to reduce transmission of herpes are  
25 abstinence, avoidance of sexual contact during

1 symptomatic episodes of genital herpes, and use of  
2 condoms during sexual contact even if symptoms are  
3 absent.

4           However, as you have heard, these  
5 approaches are incompletely effective. Further, no  
6 prophylactic vaccine or topical microbicide is  
7 currently licenses or likely to be registered in  
8 the next three to four years. Therefore, an unmet  
9 need exists for additional approaches to reduce  
10 transmission of genital herpes.

11           [Slide]

12           Valtrex is currently approved for use in  
13 the United States for several indications, as  
14 listed here. One of the approved uses is  
15 suppression of recurrent episodes of genital  
16 herpes. Suppressive therapy with Valtrex was  
17 approved by FDA for immunocompetent individuals in  
18 September of 1997, and for patients with HIV  
19 infection in April of 2003.

20           [Slide]

21           For study HS2AB3009, which I will refer to  
22 as the 3009 study, GSK and FDA have had a  
23 proactive, constructive dialogue about this study  
24 since the topic was first introduced in 1995. We  
25 appreciate the time and expertise of FDA in

1 providing their guidance on the design of this  
2 study. Extensive feedback was obtained in a  
3 meeting with FDA in September of 1996. That  
4 meeting, as well as subsequent dialogue, enabled  
5 GSK to design a single adequate and well-controlled  
6 trial to evaluate Valtrex. Ultimately, the study  
7 was completed in March of 2002, and a supplemental  
8 application was submitted on October 31.

9 In GSK's pre-study discussions with FDA we  
10 received three main items of guidance regarding the  
11 design and conduct of this study, and I will now  
12 summarize these items for you.

13 [Slide]

14 FDA's first item of pre-study guidance  
15 pertained to the primary endpoint. FDA advised  
16 strongly that the primary endpoint be acquisition  
17 of clinically symptomatic, laboratory-confirmed  
18 genital herpes in the susceptible partner.  
19 Importantly, this primary endpoint is able to  
20 demonstrate clinical benefit to the susceptible  
21 partner. FDA advised that a single large clinical  
22 study should yield strong evidence in order to be  
23 convincing. That is, 70-80 percent reduction in  
24 transmission. At GSK, we adopted this primary  
25 endpoint and designed the study to detect a 75

1 percent reduction in transmission of genital  
2 herpes.

3 [Slide]

4 FDA's second item of pre-study guidance to  
5 GSK was that a robust analysis of clinical safety  
6 is required for Valtrex in this relatively health  
7 population receiving suppressive therapy. We  
8 responded to this advice in the 3009 study itself  
9 where we collected clinical safety data for the 743  
10 source partners receiving Valtrex for eight months.  
11 In addition, in other clinical studies of  
12 suppressive they we collected clinical safety data  
13 for over 1,500 additional patients who have  
14 received Valtrex for 6-12 months. All of these  
15 safety data have been provided to FDA in previous  
16 submissions.

17 [Slide]

18 Finally, FDA emphasized the importance of  
19 GSK assessing the efficacy of Valtrex in addition  
20 to current public health recommends for safer sex  
21 counseling and use of condoms. We designed the  
22 study to provide all patients with safer sex  
23 counseling and encouraged use of condoms during all  
24 sexual acts. Therefore, our objective was to  
25 demonstrate the incremental benefit of the addition

1 of Valtrex to safer sex counseling and use of  
2 condoms.

3 In summary, we designed the 3009 study to  
4 incorporate each of FDA's main items of pre-study  
5 guidance to GSK. Subsequent speakers will present  
6 results showing that suppressive therapy with  
7 Valtrex is safe and effective for reduction in  
8 transmission of genital herpes.

9 [Slide]

10 We are seeking an addition to the  
11 prescription drug labeling for Valtrex based on the  
12 3009 study. On this slide, in white text, I am  
13 showing the current FDA approved indication  
14 statement for genital herpes. We propose to add  
15 the yellow text based on the 3009 study.

16 Further, a description of study 3009 is  
17 proposed for the clinical trial section of the  
18 labeling, and this description has been provided in  
19 your briefing document.

20 [Slide]

21 Let me move on and introduce Dr. Harding.  
22 Dr. Harding will present a summary of the study  
23 design, methods and results. Thank you.

24 Study Design, Methods and Results

25 DR. HARDING: Thank you, David. Good

1 morning, everyone.

2 [Slide]

3 As Dr. Cocchetto said, I am going to  
4 describe to you the conduct and results of study  
5 3009 but, before doing so, I would like to make  
6 some introductory remarks.

7 [Slide]

8 First, we were set a considerable  
9 challenge in being able to demonstrate what was  
10 described as a substantial reduction in  
11 transmission between partners, between 70 and 80  
12 percent and with symptomatic clinical disease as  
13 the endpoint.

14 Second, the study was demanding and  
15 personally intrusive for the couples participating.  
16 Furthermore, it was difficult to find  
17 serodiscordant couples who were in a stable  
18 relationship. As a result, it took over three  
19 years to screen and recruit couples, and involved  
20 over a hundred sites internationally.

21 Finally, I would like to thank Dr. Larry  
22 Corey, of the University of Washington, for helping  
23 us develop a protocol, reviewing the endpoints and  
24 interpreting the results. He served as chairman of  
25 the endpoints committee in his laboratory under the



1 direction of Dr. Rhoda Ashley Morrow who performed  
2 the virology assays. He and Rhoda are here today  
3 and are available as experts to join the  
4 discussions.

5           What I will demonstrate to you in the  
6 course of this brief overview of the study is that  
7 we achieved our objective with a 75 percent  
8 reduction in the transmission of symptomatic  
9 genital herpes.

10           [Slide]

11           The scope of my presentation covers the  
12 following topics.

13           [Slide]

14           So let me begin with the rationale for the  
15 study. First, there is the proven efficacy of  
16 Valtrex in suppressing the recurrences of genital  
17 herpes. Second, as you have heard from Dr.  
18 Handsfield, shedding of HSV-2 occurs not only  
19 around the time of an episode but in between  
20 episodes, such that it is being estimated that up  
21 to 70 percent of transmissions occur in the absence  
22 of lesions. It is the virus that is shed that is  
23 the source of transmissible infection. We also  
24 know that Valtrex reduces viral shedding.  
25 Therefore, taking all these points into

1 consideration, it is hypothesized that daily  
2 suppressive therapy with Valtrex will reduce the  
3 frequency of transmission of the herpes virus.

4 [Slide]

5 Moving on to design considerations--

6 [Slide]

7 --these are some of the major factors we  
8 considered and I will deal with them one by one.  
9 Before I do so, I would like to emphasize that a  
10 trial design that would allow one to demonstrate  
11 reduced transmission requires stringent criteria to  
12 make it both manageable and interpretable. When we  
13 applied these criteria we found that there was  
14 really only one design that allowed us to test the  
15 hypothesis.

16 First, the study population actually  
17 comprised a couple. Let me orient you straightaway  
18 to the concept of the source partner and the  
19 susceptible partner. The source partner had to  
20 have recurrent genital herpes, confirmed by HSV-2  
21 seropositive status and had to be a candidate for  
22 suppressive therapy with Valtrex. This is an  
23 important consideration since we have a unique  
24 situation where one person is treated to  
25 potentially benefit another. For this clinical

1 trial we felt there had to be a potential benefit  
2 for the source partner as well. So, it was the  
3 source partner who was given study drug. The  
4 susceptible partner had to have no history of  
5 genital herpes and had to be seronegative for  
6 HSV-2. It was the susceptible partner who is  
7 monitored for the acquisition of HSV.

8 In order for us to study this in as  
9 controlled a setting as possible, we stipulated a  
10 monogamous relationship. We did not want the  
11 susceptible partner having sexual contacts with  
12 others not on study drug. To limit the number of  
13 variables we enrolled heterosexual couples only.

14 [Slide]

15 As I just mentioned, the source partner  
16 had to be a candidate for suppressive therapy and  
17 was allocated Valtrex or placebo. We selected  
18 source partners with nine or fewer recurrences per  
19 year, which encompasses about 80 percent of those  
20 with symptomatic disease and for whom an approved  
21 dose of Valtrex is 500 mg once daily.

22 As for the duration of dosing in this  
23 study, eight months was chosen based on several  
24 considerations. I have already mentioned the  
25 demanding and personally intrusive nature of the

1 study procedures. In addition, we were concerned  
2 about a possible increase in partner switching over  
3 time and a reduction in acquisitions with time, as  
4 shown in the prior Chiron vaccine study.

5           What we did do to encourage enrollment and  
6 provide an ongoing commitment during the study was  
7 to offer open-label Valtrex at the end of the study  
8 for a further 12 months. This also gave us the  
9 opportunity to obtain further long-term safety  
10 data.

11           [Slide]

12           Moving on to sample size calculations, the  
13 transmissibility of HSV-2 is quite variable.  
14 Depending upon the population studied, the range is  
15 somewhere between 1/40 and 1/1,000 or more sexual  
16 contacts. Transmission acquisition has been  
17 reported between 3.5 and 10 percent over the period  
18 of a year. Considering that our population might  
19 be a somewhat low risk one and that the study was  
20 of only eight months duration, we estimated that  
21 the rate of acquisition in the absence of treatment  
22 would be about three percent. In looking for 75  
23 percent reduction in transmission this would  
24 translate to 0.75 percent rate of Valtrex.

25           Now, calculation based on these estimates

1 yielded the number of susceptible partners  
2 acquiring symptomatic clinical disease to be 28 to  
3 provide 90 percent power to differentiate between  
4 active and placebo. Given our assumptions of  
5 acquisition rates, we would need 1,500 couples to  
6 be enrolled.

7 [Slide]

8 Moving on to stratification and  
9 randomized, we already knew that women were more  
10 susceptible than men in acquiring the disease and  
11 it appeared that antibodies to HSV-1 might afford  
12 some degree of protection against acquisition of  
13 HSV-2, especially in women. So, we stratified  
14 treatment based on gender and HSV-1 serostatus of  
15 the susceptible partner. Our original intent was  
16 to recruit more female susceptibles in order to  
17 capture more cases of transmission, but had to  
18 abandon this due to difficulties in recruiting  
19 adequate numbers.

20 [Slide]

21 Having stratified enrollment by gender and  
22 serostatus of the susceptible partner, it was the  
23 source partner who was allocated Valtrex or placebo  
24 as shown here. I would like to point out that  
25 there were equal numbers of Valtrex and placebo for

1 each block but we didn't require equal numbers  
2 block to block. The centralized randomized and  
3 stratification system was used.

4 [Slide]

5 It was very important that couples  
6 understood the principles of how the herpes virus  
7 could be spread and how they could help prevent  
8 transmission. This is clearly laid out in a very  
9 informative American Medical Association's  
10 educational booklet, "genital herpes, a patient  
11 guide to treatment." A copy of this booklet was  
12 given to each couple. For non-English speaking  
13 subjects translations of this booklet were  
14 provided.

15 [Slide]

16 In addition, all were counseled at  
17 screening, enrollment and each follow-up visit on  
18 how to practice safer sex. The principles of safer  
19 sex, we emphasized, were to avoid sex whenever the  
20 source partner has signs or symptoms of genital  
21 herpes and use condoms for every sexual contact,  
22 whether vaginal, oral or anal.

23 In addition to safer sex counseling,  
24 source partners were treated if they had an episode  
25 of genital herpes whether they were on active or

1 placebo. Study medication was stopped and they  
2 were given open-label Valtrex, 500 mg twice daily  
3 for five days. However, it should be noted that  
4 the couples remained in the study,, with the source  
5 partner returning to double-blinded study  
6 medication at the end of the five days.

7           So, taking these factors into  
8 consideration, we were doing all we could to ensure  
9 prevention of transmission. Any benefit of Valtrex  
10 suppressive therapy would be above and beyond these  
11 measures.

12           [Slide]

13           Now moving on to study methods, I have  
14 already made mention of some of these in my  
15 introduction. For example, I have already  
16 indicated that this was stratified and randomized,  
17 double-blind, placebo-controlled and that it was  
18 carried out in a large number of centers around the  
19 world. In fact 96 centers contributed couples who  
20 participated. The study population was otherwise  
21 healthy and 18 years of age and older.

22           [Slide]

23           For both partners there was a monthly  
24 clinic visit. For both there was a review of a  
25 diary. For the source partner whether they had had

1 any signs or symptoms of genital herpes or any  
2 adverse events. For the susceptible partner both  
3 any signs and symptoms of genital herpes and a  
4 record of the type and number of sexual contacts  
5 and whether condoms were used. Diary interviews  
6 were performed separately to allow more frank  
7 evaluation. Both partners were counseled on safer  
8 sex practices and condoms were offered. The  
9 susceptible partner had a blood draw for serology  
10 and the source partner returned the study drug for  
11 drug accountability.

12 [Slide]

13 Regardless of the monthly visits, if a  
14 susceptible partner thought they had signs and  
15 symptoms of genital herpes they were to go to the  
16 clinic as soon as possible for examination, swabs  
17 and serology. On days one, five and ten of the  
18 suspected episode one swab was taken for culture  
19 and one for PCR. All samples of swabs and sera  
20 were sent to the University of Washington, with the  
21 exception of culture swabs from Canada which were  
22 sent to a Canadian lab. If a clinical diagnosis of  
23 genital herpes was suspected, they were given  
24 treatment appropriate for an initial episode  
25 according to approved product label. However, the



1 couple remained in the study until a definitive  
2 diagnosis was made based on the lab tests. If the  
3 diagnosis was confirmed they were considered to  
4 have completed the study.

5 [Slide]

6 The primary endpoint was, as agreed with  
7 FDA prospectively, the acquisition of symptomatic  
8 genital herpes infection by the susceptible  
9 partner. The diagnosis was based on the  
10 susceptible partner having signs and symptoms  
11 commensurate with genital herpes confirmed by one  
12 or more laboratory tests, culture, PCR and/or  
13 seroconversion. Any positive culture for a primary  
14 endpoint was assessed for sensitivity to acyclovir  
15 as transmission of resistant virus would have been  
16 of considerable concern. Each case where there  
17 were signs and symptoms was to be reviewed by an  
18 endpoints committee.

19 [Slide]

20 The endpoints committee was convened at  
21 the end of the double-blind portion of the study  
22 when all the laboratory data were available. The  
23 purpose was to determine whether each case met the  
24 criteria for being considered a primary endpoint.  
25 It is important to note that the committee remained

1 blinded to treatment during the review process and  
2 that the committee worked to written guidelines and  
3 minutes were recorded.

4 [Slide]

5 The secondary endpoints were as described  
6 on the next two slides. For the susceptible  
7 partner the time to acquisition of symptomatic  
8 infection is another way to look at the primary  
9 endpoint but has the benefit of comparing groups  
10 throughout the study and takes account of duration  
11 of study participation. We were also interested in  
12 the proportion of couples with and time to overall  
13 acquisition. This now includes those who acquired  
14 infection without symptoms, as demonstrated by  
15 seroconversion alone, added to those with the  
16 primary endpoint.

17 The secondary endpoints of the source  
18 partner, which formed the basis for our hypothesis,  
19 were the time to first recurrence of genital herpes  
20 and the effect of Valtrex on viral shedding, which  
21 was carried out in a substudy and which I will  
22 describe in just a moment.

23 [Slide]

24 Other secondary endpoints are shown here.  
25 First is the proportion of couples with HSV-2

1 seroconversion. Note that this could have included  
2 those with symptoms or not, and a subset of this  
3 which is those with asymptomatic seroconversion  
4 alone. We also planned to assess any HSV-1 genital  
5 acquisitions but there were none. We also looked  
6 at the time to first oral outbreak of herpes in the  
7 source partner. I am not planning to show the  
8 results of any of these other endpoints in my  
9 presentation but would direct you to the briefing  
10 document which has this information.

11 [Slide]

12 As I said, the effect of Valtrex on HSV-2  
13 viral shedding was assessed in a substudy. This  
14 involved 89 source partners from three U.S. sites.  
15 The subjects were still blinded to treatment so may  
16 have been on Valtrex or placebo. Swabs were  
17 collected every day for 60 days for quantitative  
18 PCR assay.

19 [Slide]

20 Now for the results which you have all  
21 been patiently waiting for. These are presented  
22 under three broad headings, a description of the  
23 study couples; the results for the primary endpoint  
24 with subanalyses; and the results for secondary  
25 endpoints. I will start with the study couples.

1 [Slide]

2 If you recall, the aim of our program was  
3 to enroll 1,500 couples. We enrolled 1498. Of  
4 interest is that over 4,000 couples came forward to  
5 take part in the study but more than 2500 were  
6 found ineligible. The most common reason was that  
7 the susceptible partner was already HSV-2  
8 seropositive. Of the couples randomized, 1,484  
9 comprised the intent-to-treat population, with 743  
10 source subjects randomized to Valtrex and 741 to  
11 placebo.

12 [Slide]

13 Now for subject accountability, 78 percent  
14 of the subjects completed the full eight months in  
15 the study and reasons for discontinuation are given  
16 in the table. There were more consents withdrawn  
17 on placebo than on Valtrex, which anecdotally  
18 appeared to be due to recurrences in the source  
19 partner, but there were equal numbers lost to  
20 follow-up or dissolution of the relationship. The  
21 other reasons category included relocation,  
22 pregnancy, adverse events and protocol violation.

23 However, some data were available from 96  
24 percent of the intent-to-treat population because  
25 all but 58 couples returned for one or more visits

1 and sometimes as many as six or seven. Having  
2 these data was particularly a value in the time to  
3 event analyses.

4 [Slide]

5 Recruitment by region is shown on this  
6 slide and shows that over half the couples were  
7 from the U.S.A., with over 60 percent recruited  
8 from North America including Canada.

9 [Slide]

10 Moving now to demographics, this set by  
11 the stratification variable, gender and HSV-1  
12 serostatus. The majority of susceptible partners  
13 were male, which is in keeping with the higher  
14 prevalence of the infection in women who formed the  
15 source. HSV-1 serostatus, of note almost 70  
16 percent susceptible partners were already HSV-1  
17 seropositive.

18 [Slide]

19 Ages and race were well matched between  
20 groups, with a median age of 34 years and 90  
21 percent of subjects white.

22 [Slide]

23 The Valtrex and placebo groups were also  
24 well matched for the items on this slide, the  
25 number of recurrences of genital herpes in the

1 source partner, the duration of infection in the  
2 source partner, the duration of the monogamous  
3 relationship and the frequency of vaginal sexual  
4 intercourse. However, about 50 percent, as you can  
5 see at the bottom of the slide, claimed never to  
6 have used condoms in the month prior to  
7 randomization.

8 [Slide]

9 I will now deal with the primary endpoint.  
10 What I will do, I will take you through the  
11 endpoint evaluations, the proportion of clinical  
12 acquisitions, the time to clinical acquisition and  
13 some subanalyses of this primary endpoint.

14 [Slide]

15 First let me show you how the numbers play  
16 out. Of the original 1,484 couples in the ITT  
17 population, 58 never returned, leaving 1,426 for  
18 whom we have data; 71 susceptible partners had some  
19 sign or symptom thought suitable to be put forward  
20 for consideration by the endpoints committee. The  
21 remaining 1,355 remained asymptomatic.

22 [Slide]

23 Of the 71 referred to the endpoints  
24 committee, 20 were confirmed as true clinical  
25 acquisitions or primary endpoints, 15 by

1 seroconversion with or without culture and/or PCR  
2 and five by culture or PCR alone. Fifty-one were  
3 rejected. Of these, the majority had no  
4 confirmatory laboratory result. However, there  
5 were three symptomatic subjects who seroconverted  
6 but were rejected by the committee. The reasons  
7 for rejection for two of them were that the  
8 symptoms were considered unrelated to genital  
9 herpes. The third was considered a protocol  
10 violator in that the source subject had only eight  
11 doses of study drug. There were also 18 HSV-2  
12 seroconversions from the asymptomatic group, making  
13 a total of 36 seroconversions and 41 overall  
14 acquisitions.

15 [Slide]

16 Looking now at the primary statistical  
17 analysis which was a proportions analysis, of the  
18 20 confirmed primary endpoints, 16 were on placebo  
19 and four on Valtrex. These represent 2.2 percent  
20 and 0.5 percent of their respective populations, as  
21 shown on this slide. This difference is  
22 statistically significant with a relative risk of  
23 0.25. In other words, there was a 75 percent  
24 reduction in the risk of transmission of genital  
25 herpes when the source partner was on Valtrex

1 compared to placebo. This then met our a priori  
2 expectation and confirmed our result as being both  
3 substantial and clinically meaningful.

4 [Slide]

5 Looking at the time to acquisition for  
6 these primary endpoints using a Kaplan-Meier plot,  
7 as shown here, again there is a clear and  
8 statistically significant difference between  
9 Valtrex and placebo in favor of Valtrex. Of note  
10 is that the difference becomes apparent almost  
11 immediately after randomization and that the rates  
12 of acquisition remain linear throughout the study,  
13 with no indication of tailing off with time. I  
14 will mention here that we have viral cultures from  
15 ten of the subjects with primary endpoints and all  
16 isolates were sensitive to acyclovir. In other  
17 words, their IC-50 values were below 2 mcg/ml.

18 [Slide]

19 I am now going to show you some  
20 subanalyses of the primary endpoint, this one by  
21 gender. As expected, the majority of acquisitions  
22 were in females. There were 12 in total of the 20,  
23 this despite the smaller number of female  
24 susceptible partners enrolled in the study. The  
25 difference between males and females was



1 statistically significant but the difference is in  
2 favor of Valtrex regardless of gender.

3 [Slide]

4 We had also expected more acquisitions in  
5 HSV-1 seronegative partners but there wasn't a  
6 major difference, as shown here. The trend was for  
7 proportionally more acquisitions in seronegative  
8 subjects but the numbers were small and not  
9 significant. However, again differences were  
10 observed in favor of Valtrex regardless of HSV-1  
11 serostatus.

12 [Slide]

13 Finally, here is a display of acquisition  
14 by condom use. The chart here shows the frequency  
15 of acquisitions of symptomatic genital herpes for  
16 the placebo group according to whether the median  
17 use of condoms during the study was "never,"  
18 "sometimes" or "nearly always." The incidence  
19 reduces from 2.8 percent for those who never used  
20 condoms to half that, 1.4 percent, for those who  
21 nearly always used them.

22 [Slide]

23 Now I have added the data for Valtrex and  
24 the message is the same. Note that there were zero  
25 acquisitions in the sometimes and nearly always

1 categories. This emphasizes the importance of  
2 couples practicing appropriate protective measures  
3 and shows that the benefit of Valtrex is in  
4 addition to the practice of safer sex. In a  
5 covariate analysis the effect of condoms in  
6 reducing transmissions approached statistical  
7 significance, with a p value of 0.06.

8 [Slide]

9 Now for the secondary endpoints and I will  
10 deal with the following, first I will look at  
11 recurrences in the source partner; then viral  
12 shedding from the source partner in the substudy.  
13 If you recall, the hypothesis was that if we could  
14 reduce recurrence and viral shedding we should also  
15 be able to reduce transmissions. Finally, I will  
16 show you the effect of Valtrex on overall  
17 acquisitions. Again to remind you, these were all  
18 HSV-2.

19 [Slide]

20 Here we have a graph of the proportions of  
21 source partners for genital herpes recurrence at  
22 eight months, 47 percent for Valtrex and only 13  
23 percent for the placebo-treated subjects. This is  
24 a highly significant difference and similar to that  
25 reported in previous studies.

1 [Slide]

2 Now we have the results from the viral  
3 shedding substudy which showed a reduction in  
4 shedding by Valtrex. I will give you the results  
5 for total shedding, which are those obtained from  
6 every day of swabbing which is of 60 days duration.  
7 These included days on which there might have been  
8 an outbreak. I will mention results from an  
9 analysis when those days are excluded. Eighty-nine  
10 subjects were enrolled in this substudy and the  
11 numbers are somewhat unbalanced, 50 on placebo and  
12 39 on Valtrex. This was because randomized to the  
13 main study, if you recall, was centralized, not by  
14 site. It was also dependent upon the source  
15 partner's agreement to undergo the extra study  
16 procedures necessary.

17 The results were very much as expected  
18 from the literature, as we have just heard, with  
19 HSV DNA being detected by PCR on at least one day  
20 in over 80 percent of those on placebo compared  
21 with almost 50 percent on Valtrex. HSV DNA was  
22 detected in almost 11 percent of days for those on  
23 placebo compared with almost three percent on  
24 Valtrex. That is a 73 percent reduction on  
25 Valtrex. The number of DNA copies was reduced on

1 Valtrex from 4.2 on a log scale to just 1.7. That  
2 is greater than 99 percent reduction. All these  
3 differences were clearly statistically significant.

4 Very similar results were obtained if one  
5 excludes days when there was an outbreak. There  
6 was still 90-95 percent reduction in DNA copies/mL  
7 on Valtrex compared to placebo.

8 [Slide]

9 Just to remind you before I get to overall  
10 acquisitions how that group is defined. It is  
11 those with a primary endpoint, some confirmed by  
12 seroconversion with or without culture and some by  
13 culture or PCR alone, and to those you add those  
14 with seroconversion and the total is 41.

15 [Slide]

16 So here we have the proportion of  
17 susceptible partners with overall acquisition of  
18 HSV-2 infection. Twenty-seven of them were in the  
19 placebo group and 14 in the Valtrex group. This  
20 represented a relative risk of 0.52 or a reduction  
21 in risk of 48 percent.

22 [Slide]

23 The time to event analysis for this group,  
24 shown here, is more powerful statistically as it  
25 adds time of acquisition to the numbers of

1 acquisitions. The reduction in risk on Valtrex  
2 was, as in the previous slide, 48 percent for the  
3 relative risk of 0.52. As with the primary  
4 endpoint, the difference in rate was noted early  
5 and remained linear throughout the study. So, the  
6 secondary analyses fully support our hypothesis and  
7 add strength to the primary analyses.

8 [Slide]

9 I will now briefly review the safety  
10 results obtained in the study. Remember that these  
11 pertain to the source partner who is receiving  
12 Valtrex or placebo. These results are from the  
13 8-month double-blind portion of the trial. I will  
14 mention results from the 12-month open-label  
15 extension at the end.

16 [Slide]

17 This slide summarizes the adverse events  
18 reported through the study. As you can see, there  
19 is very little difference between Valtrex and  
20 placebo. None of the serious adverse events was  
21 classified as drug related. Discontinuations due  
22 to an adverse event were slightly more frequent on  
23 Valtrex but none of these was serious or unusual.  
24 Reasons included headache, gastrointestinal upset  
25 and one case of urticarial rash.

1 [Slide]

2 Here you see a graphical depiction of  
3 those adverse events reported by five percent or  
4 more of subjects. The most common events were  
5 headache, upper respiratory and gastrointestinal,  
6 and there was nothing unexpected from previous  
7 experience in clinical trials.

8 [Slide]

9 Laboratory tests included those listed  
10 above as being of greater potential interest,  
11 reflecting hepatic, renal and hematologic systems,  
12 were unremarkable and there was no indication of  
13 any difference between Valtrex and placebo.

14 [Slide]

15 Finally, here is a summary of the data we  
16 have up to January 31st of this year from 831  
17 source partners who continued for further 12 months  
18 on open-label Valtrex after the main study had  
19 completed. This represents about 95 percent of  
20 those opting to continue to receive drug. The dose  
21 of Valtrex was 500 mg once daily as in the main  
22 part of the study.

23 As you see, the pattern of events is  
24 similar to that reported in the double-blind phase  
25 and, again, we have no new safety signals for what

1 for some of them is now 20 months of continuous use  
2 of Valtrex.

3 [Slide]

4 Finally, in conclusion study 3009 clearly  
5 met its objectives. By that, I mean that we set  
6 out with a hypothesis that Valtrex would reduce  
7 episodes of recurrent genital herpes in the source  
8 partner and would reduce viral shedding. These  
9 would result in a significant reduction in  
10 transmission of genital herpes to an uninfected  
11 partner. The study demonstrated this with a  
12 reduction of 75 percent in the acquisition of  
13 clinical infection by a susceptible partner and a  
14 48 percent reduction in overall disease  
15 acquisition. This benefit is seen over and above  
16 that afforded by counseling on safer sex practices,  
17 the use of condoms and, I should add, the treatment  
18 of outbreaks in the source partner. The safety  
19 profile of Valtrex was similar to that described in  
20 the product label which by now has been well  
21 characterized.

22 Thus, I hope to have demonstrated to you  
23 that the data are scientifically sound and  
24 clinically relevant, and that the reduction in  
25 transmission of herpes virus to a partner is an

1 additional benefit of suppressive therapy when  
2 combined with safer sex practices.

3 Thank you, and I will now pass it over to  
4 Clarence Young to make some concluding remarks.

5 Concluding Remarks

6 DR. YOUNG: Thank you, Stuart. Good  
7 morning, everyone.

8 [Slide]

9 My name is Clarence Young and I direct  
10 clinical development activities at GlaxoSmithKline  
11 for anti-infectives. I have also had the  
12 experience in my career of caring for patients with  
13 genital herpes and also counseling these patients.

14 Dr. Harding has taken you through the  
15 results for study 3009. My task over the next few  
16 minutes is just to summarize what these data mean  
17 for both patients as well as healthcare providers.

18 [Slide]

19 First of all, it is important to note that  
20 study 3009 is a landmark study which provides a new  
21 option for the management of patients with genital  
22 herpes. As Dr. Handsfield outlined in his talk,  
23 various strategies have been undertaken to prevent  
24 the transmission of genital herpes but to date none  
25 of these strategies has been completely effective,



1 and the availability of a prophylactic vaccine is  
2 still several years away.

3           This study, 3009, was the first  
4 demonstration that an antiviral agent can actually  
5 decrease the transmission of genital herpes between  
6 sexual partners. This study also indicated an  
7 association between the reduction in viral shedding  
8 and the transmission of genital herpes. These data  
9 may be especially relevant for HSV-2 uninfected  
10 women of childbearing potential who are at great  
11 risk for acquisition of genital herpes in the  
12 course of pregnancy.

13           [Slide]

14           The benefits of Valtrex therapy that were  
15 observed in this study were in addition to safer  
16 sex practices and condom use and the results, as  
17 Dr. Harding had mentioned, are truly unique in that  
18 the benefits of Valtrex therapy accrued not only to  
19 the HSV-2 infected source partner with genital  
20 herpes who received Valtrex therapy but also the  
21 HSV-2 uninfected susceptible partner who did not  
22 receive Valtrex. These benefits were without any  
23 added risk to the partner who received Valtrex.

24           The study, therefore, provides a new  
25 option to address what has been shown, and as you

1 saw earlier in Dr. Handsfield's presentation, to be  
2 a major patient concern, which is transmission of  
3 genital herpes.

4 [Slide]

5 Now, GlaxoSmithKline has had a  
6 long-standing interest in the education of patients  
7 and families, as well as healthcare providers,  
8 regarding genital herpes, its management and  
9 various treatment options. It is important to  
10 ensure that the patients as well as healthcare  
11 providers have a very clear understanding regarding  
12 study 3009, how the study was designed; what the  
13 results of the study showed; and what the  
14 implications of these results are to avoid any  
15 misinterpretation of the study results.

16 GlaxoSmithKline will work with FDA as well as with  
17 external stakeholders in order to ensure that the  
18 benefits of Valtrex therapy and, more importantly,  
19 the benefits of safer sex practices and condom use  
20 are communicated both accurately and effectively.

21 [Slide]

22 Since Valtrex is already available for  
23 suppression of genital herpes recurrences, it is  
24 reasonable to ask why additional information is  
25 required in the prescribing information. Well,

1 feedback from healthcare providers has indicated  
2 that the availability of this new indication for  
3 Valtrex will provide another reason for healthcare  
4 providers to initiate a conversation with their  
5 patients regarding sexually transmitted diseases  
6 and safer sex practices. Others view this as  
7 another tool in the toolbox which they will use as  
8 part of their management approaches to their  
9 patients with genital herpes. Awareness of these  
10 data by patients may influence their decision to  
11 actually pursue suppressive therapy. Finally, the  
12 availability of labeling will enable GSK, external  
13 stakeholders and patients to have a definitive  
14 source of accurate and balanced information on the  
15 results of study 3009 with the benefits of FDA  
16 oversight.

17 [Slide]

18 We cannot say that based on the results of  
19 this study the use of Valtrex will impact in any  
20 way the prevalence of HSV-2 infection in the United  
21 States, or that the study addresses all of the  
22 questions which one might have regarding the  
23 benefits of Valtrex therapy in special populations.  
24 What we can say is that the results from study 3009  
25 clearly provide an opportunity to make a difference

1 in the lives of patients.

2 [Slide]

3 Finally, GSK would just like to  
4 acknowledge the participation by the hundreds of  
5 individuals in this very time consuming and  
6 demanding study. We also would like to acknowledge  
7 both the study personnel as well as clinical  
8 investigators. Some of them are here with us  
9 today. Thank you very much for your attention and  
10 for the opportunity to share the results of study  
11 3009 with you today. Thank you.

12 DR. GULICK: Thanks to Drs. Cocchetto,  
13 Harding and Young for the sponsor presentation. As  
14 mentioned earlier, we are going to defer the  
15 question and answer period until after the  
16 presentation from the agency, which brings us to  
17 our break. It is 9:55 so we will reconvene at  
18 10:10.

19 [Brief recess]

20 DR. GULICK: We will move now to the  
21 agency presentation. We will start out with Dr.  
22 Haverkos.

23 FDA Presentation

24 Study Design

25 DR. HAVERKOS: Good morning.

1 [Slide]

2 I am Dr. Harry Haverkos. I am the primary  
3 reviewer on the application, and I will be joined  
4 by my statistical colleague, Dr. Smith, in  
5 presenting the FDA review.

6 First of all, I would like to congratulate  
7 the sponsor on conducting this large, multinational  
8 trial, really a landmark study looking at a  
9 medication to reduce sexual transmission of herpes  
10 simplex.

11 [Slide]

12 Our presentation will be divided into  
13 several areas. I will present some comments on  
14 study design and Dr. Smith will get to present the  
15 efficacy results. I will then come back up and  
16 discuss a little bit of the virology, safety and  
17 some of the behavioral results. We will then list  
18 our conclusions and finally read for you the  
19 questions that we would like the committee to  
20 deliberate on for us.

21 [Slide]

22 As reported before, this application was  
23 submitted in October and has an NDA due date of  
24 September. They propose the dosage of 500 mg a day  
25 of valacyclovir to reduce the risk of transmission

1 of genital herpes with the use of suppressive  
2 therapy and safer sex practice.

3 [Slide]

4 Valacyclovir is approved for several  
5 indications involving herpes simplex, treatment of  
6 initial genital herpes with one gram b.i.d. for ten  
7 days; treatment of recurrent genital herpes too mg  
8 b.i.d. for three days; and as chronic suppressive  
9 therapy of recurrent genital herpes at one gram a  
10 day or 500 mg a day as an alternate dose.

11 [Slide]

12 This single study was submitted. It is  
13 multinational, randomized, double-blind evaluating  
14 valacyclovir in HSV-2 discordant monogamous  
15 couples. As mentioned, the sample size sought was  
16 1,500 couples. Over 4,000 were screened and the  
17 patients were randomized to valacyclovir versus  
18 placebo for eight months of therapy. During the  
19 study all subjects were encouraged to use condoms  
20 and abstain from sex during any outbreaks.

21 [Slide]

22 Inclusion criteria were gone through  
23 before. These were monogamous heterosexually  
24 active couples. The source partner had to be HSV-2  
25 antibody positive and have clinical episodes. They

1 excluded patients who had greater than ten  
2 symptomatic recurrences a year. I am concerned  
3 about some of the ethics about not providing  
4 suppressive therapy to that group, but it was  
5 needed that a person be a candidate for suppressive  
6 therapy. It was not clearly defined in the  
7 protocol but generally is considered as somewhere  
8 between five and six recurrences in a year. The  
9 susceptible partner had to be in a relationship  
10 with no other partners, and be HSV-2 antibody  
11 negative and report no clinical herpes outbreaks.

12 [Slide]

13 The primary endpoint has discussed and we  
14 will be discussing this point I think over and over  
15 again. It is the proportion of susceptible  
16 partners with a clinical episode confirmed by the  
17 laboratory. The laboratory, of course, could be by  
18 culture, PCR and/or serology.

19 [Slide]

20 The monitoring that occurred during the  
21 study is listed on this slide. Safer sex  
22 counseling was provided at each visit. The source  
23 partner came in monthly, and during those monthly  
24 visits they reviewed the diary card for any  
25 symptoms or recurrences that the source partner

1 had. If they developed an outbreak they were to  
2 return to the clinic immediately to be evaluated  
3 and to be started on therapy.

4           Susceptible partners also came back  
5 monthly and for those partners two areas were  
6 reviewed, the diary cards of the sexual exposures  
7 and practices and also looking for any signs of  
8 herpes in the previous month. They too were  
9 expected to return for any suspect lesion for  
10 open-label therapy.

11           [Slide]

12           For virology, as mentioned, confirmation  
13 was defined by either culture, DNA of suspicious  
14 lesions and monthly serologies were followed.  
15 Mostly all of the samples were sent to a single lab  
16 in Seattle. However, there were five cultures that  
17 were sent to a lab in Vancouver.

18           [Slide]

19           There were a couple of issues raised I  
20 guess by the virologist during our review. In a  
21 study with a fairly small number of endpoints I  
22 think you really want to make sure that you miss  
23 one, two or three endpoints. The samples, as  
24 mentioned, were collected at over 100 sites in more  
25 than 20 countries from around the world and then



1 were transported to Seattle. As mentioned, a few  
2 Canadian samples were sent to Vancouver.

3           Concerns are about some protocol  
4 violations. There was failure to report at the  
5 first sing of genital herpes so some cultures were  
6 missed. There were a few samples that were  
7 contaminated, a few samples that were lost in  
8 transit. Even though herpes is quite a stable  
9 virus, there was some concern raised by our  
10 virologist about what effect transit might have on  
11 some of these results.

12           [Slide]

13           As mentioned, there was a long history of  
14 discussion between the FDA and the sponsor. As  
15 mentioned, there were three topics of discussion.  
16 I think our three may be a little different than  
17 their three but that probably just reflects more  
18 history. The primary endpoint was one of the  
19 primary areas of discussion, and we will talk about  
20 that again on the next slide.

21           Source partner inclusion--again, the study  
22 was initially looked at as predominantly a  
23 serologic study, serologic endpoint. But the FDA  
24 wanted really clinical endpoints and so wanted to  
25 have the history of clinical herpes of source

1 partners be candidates for suppressive therapy and  
2 less than ten recurrences in the past year was the  
3 agreed upon inclusion criteria.

4           There was some discussion of whether two  
5 studies would be better than one. If one studied  
6 different populations would it be easier to  
7 interpret the results and write the label? In the  
8 end, the company I think decided to do one large,  
9 multinational study.

10           [Slide]

11           The primary endpoint agreed upon is that  
12 shown first. We will also be presenting some data  
13 on some secondary endpoints, predominantly the  
14 endpoint of HSV-2 seroconversion which historically  
15 was how the study was initially proposed. Finally,  
16 acquisition of meeting one or both of the two  
17 endpoints.

18           I just want to point out question six is  
19 actually going to deal with that issue so I think  
20 it is very important that we understand the  
21 different endpoints.

22           [Slide]

23           My last slide before turning it over to  
24 Dr. Smith is just to give you a little history of  
25 the study. It was initiated in February of 1998.

1 As mentioned, over 4,000 couples were screened.  
2 There were a couple of amendments along the way  
3 that occurred. Two of them I think may be part of  
4 the discussion. A shedding substudy was added  
5 about six months into the study. We will look at  
6 some of those results. Then, in May of 2000,  
7 because recruitment hadn't quite lived up to  
8 expectations, sites were added outside of North  
9 American and Europe to include Australia. Eastern  
10 Europe was added to those originally recruited from  
11 western Europe, and south America. The initial  
12 stratification to try to get more female  
13 susceptible partners was waived in order to recruit  
14 more couples.

15 With that, let me turn the podium over to  
16 Dr. Smith who will present the efficacy results.

17 Efficacy Results

18 DR. SMITH: Thank you.

19 [Slide]

20 I am going to go over the demographic and  
21 baseline characteristics and then primary and  
22 secondary results, and robustness of the analyses  
23 to discontinuations, and finally regional  
24 differences.

25 [Slide]

1           One thousand four hundred and ninety-eight  
2 out of 4,030 screened couples were randomized. The  
3 primary reason for screening failure was the lack  
4 of HSV-2 discordance within couples. So, the  
5 susceptible partner was HSV-2 seropositive or had  
6 symptoms of it.

7           [Slide]

8           Demographic characteristics were very  
9 similar in both treatment groups so I will  
10 summarize them overall. Two-thirds were male and  
11 one-third of the susceptible partners were female.  
12 The median age was 35 years; 90 percent of the  
13 susceptible partners were white; five percent  
14 Hispanic; three percent black; one percent Asian  
15 and less than one percent other races.

16          [Slide]

17          One percent has sexual relations with  
18 other partners in the last three months. The  
19 median duration with the source partner was two  
20 years and 22 percent had been treated for an STD.

21          [Slide]

22          Ninety-seven percent had sexual  
23 intercourse with the source partner in the last  
24 month and the median number of contacts in the last  
25 month was seven.

1 [Slide]

2 This summarizes the condom use for  
3 vaginal/anal intercourse at baseline.  
4 Approximately 50 percent of the patients had never  
5 used condoms at baseline in both treatment groups.  
6 Thirty percent said they nearly always used condoms  
7 and about 20 percent said they sometimes used  
8 condoms.

9 [Slide]

10 In terms of HSV-1 status for female  
11 susceptible partners at randomization,  
12 approximately 80 percent were positive in both  
13 treatment groups. About 20 percent were negative  
14 and only two were atypical.

15 [Slide]

16 For male susceptible partners, slightly  
17 less, about 65 percent were positive. About 35  
18 percent were negative and five were atypical.  
19 Again, these were very similar in both treatment  
20 groups.

21 [Slide]

22 Now I will summarize efficacy evaluations.

23 [Slide]

24 The primary analysis looked at clinical  
25 evidence of HSV-2 or symptomatic HSV-2 acquisition

1 and 0.5 percent of valacyclovir patients had  
2 clinical evidence of transmission compared to 2.2  
3 percent of the placebo patients. The p value was  
4 0.011 and the odds ratio was 0.24, representing  
5 approximately a 75 percent reduction. The 95  
6 percent confidence interval of the odds ratio went  
7 from 0.06 to 0.76.

8           The other two selected secondary endpoints  
9 are HSV-2 seroconversion and overall HSV-2  
10 acquisition, which consists of HSV-2 seroconversion  
11 or symptomatic HSV-2 acquisition. Some may argue  
12 that HSV-2 seroconversion may be a better endpoint  
13 because subclinical infections may become  
14 symptomatic HSV-2 later on.

15           Here we have 1.6 percent of the  
16 valacyclovir patients with HSV-2 seroconversion 3.2  
17 percent of placebo patients. In this case the odds  
18 ratio was 0.49, approximately 50 percent,  
19 representing about a 50 percent reduction with  
20 valacyclovir treatment. The p value was 0.06.  
21 Similar results were obtained for overall HSV-2  
22 acquisition. This was primarily driven by the  
23 HSV-2 seroconversion results. In this case we have  
24 1.9 percent of valacyclovir patients compared to  
25 3.6 percent of the placebo patients. In this case

1 the odds ratio was 0.5.

2 [Slide]

3 This is what it looks like graphically.

4 We have a bigger difference for the primary  
5 endpoint when you compare placebo patients to  
6 valacyclovir patients. Again, the odds ratio is  
7 approximately 0.25. Here we have about a 50  
8 percent reduction for these other two selected  
9 secondary endpoints, and the p values are of  
10 borderline significance. In these analyses  
11 withdrawals were regarded as being  
12 transmission-free.

13 [Slide]

14 When we look at condom use during the  
15 study what we have are over 50 percent of the  
16 patients never used condoms during the study  
17 compared to 30 percent who nearly always used  
18 condoms in both treatment groups, and about 15  
19 percent who said they sometimes used condoms. This  
20 is calculated a little differently. In this case  
21 during the study what they have calculated is the  
22 median usage over months one through eight so that  
23 basically with nearly always using condoms 90 or  
24 more percent of the patients who nearly always used  
25 condoms were classified in this category. So, this

1 represents greater than 90 percent usage at  
2 baseline or at a particular visit.

3           However, because we are using the median  
4 to calculate this during the study, it is possible  
5 that at one visit they could have nearly always  
6 used condoms; at the next visit they might never  
7 have used condoms; and at the third visit they  
8 might have always used condoms at months one, two  
9 and three and, in that case, the median would have  
10 been nearly always used condoms. If they never  
11 used condoms, that is really zero percent of the  
12 time. So, in that case the true median would be  
13 about 60 percent rather than 90 percent. So, what  
14 we got here during the study is a little different  
15 than what we had at baseline.

16           In addition, condom use can fluctuate from  
17 month to month and patients who nearly always used  
18 condoms over months one to eight might never have  
19 used condoms just prior to an episode. So, we have  
20 to take all of this in mind and the study wasn't  
21 designed specifically to look at condom use.

22           [Slide]

23           Here we have the primary endpoint  
24 separated out by condom usage. On the left-hand  
25 side we have valacyclovir patients. In this case



1 one percent of the valacyclovir patients who never  
2 used condoms had clinical evidence of transmission  
3 compared to 0/91 patients who sometimes used  
4 condoms and 0/211 who nearly always used condoms.  
5 We have the 95 percent confidence intervals plotted  
6 just to indicate that even though we didn't observe  
7 any events we have a confidence interval here that  
8 ranges from zero percent to over three percent, and  
9 here we have more patients so we have a smaller  
10 confidence interval that goes from zero to 1.5  
11 percent.

12           Then, when we look at placebo patients we  
13 can see that almost three percent of the patients  
14 had clinical evidence if they said they never used  
15 condoms through the majority of the visits during  
16 the study compared to two percent who sometimes  
17 used condoms and approximately 1.5 percent who  
18 never used condoms. So, we also see a main effect  
19 of condom usage and the more condom usage, the less  
20 transmission. The p value for the main effect of  
21 treatment, adjusted for condom usage, was 0.011 and  
22 the p value for condom usage was 0.08, close to  
23 significant. Again, keep in mind that this study  
24 was not designed specifically to look at condom  
25 use.

1 [Slide]

2 To illustrate the effects in a little more  
3 detail we also were looking at overall acquisition  
4 rates in addition to clinical evidence. So, what  
5 we have in the dark shaded regions on the graphs  
6 down below is what we saw in the previous slide.  
7 Over and above that we have the rates of overall  
8 acquisition which can include either clinical  
9 evidence or HSV-2 seropositive incidence rates.

10 So, in this case when we look at the  
11 lightly shaded regions for valacyclovir we can see  
12 that about two percent who never used condoms had  
13 overall acquisition and over three percent who said  
14 they sometimes used condoms, and we have the rates  
15 for nearly always using condoms of approximately  
16 two percent--no real trend for overall acquisition  
17 with valacyclovir.

18 When we look at placebo patients we do  
19 seem to see another decline when we look at overall  
20 acquisition rates, as is the case when we look at  
21 the clinical evidence of transmission. In this  
22 case the clinical endpoint is close to  
23 statistically significant. It is hard to read here  
24 but it is 0.08 and for overall acquisition the p  
25 value is 0.84. These p values represent the effect

1 of condom use.

2 [Slide]

3 Now I will talk about the robustness of  
4 efficacy analyses to discontinuations.

5 [Slide]

6 On the first slide we have the results for  
7 the primary analysis. Shown here are the  
8 percentage of patients with clinical evidence of  
9 having HSV-2 transmission. In this case we have  
10 withdrawals and the main point of this slide is to  
11 show that the withdrawal rate, which is greater  
12 than 20 percent in both treatment groups, is much  
13 larger than the percentage of patients with the  
14 primary endpoint.

15 [Slide]

16 So, we wanted to look at reasons for  
17 withdrawal. In this case, the principal reasons  
18 include withdrawal of consent, loss to follow-up,  
19 relationship breakup and the partner withdrew.  
20 Withdrawal of consent was somewhat higher in  
21 placebo patients than in valacyclovir patients. It  
22 ranged from three to six percent. Six percent of  
23 both treatment groups reported loss to follow-up;  
24 five percent reported a relationship breakup,  
25 approximately five percent; and two percent in both

1 treatment groups had partners who withdrew.

2 [Slide]

3 None of the susceptible partners withdrew  
4 to adverse events or lack of efficacy. Less than  
5 one percent of the source partners withdrew due to  
6 adverse events, although it was slightly higher for  
7 valacyclovir, approximately two percent. Less than  
8 one percent of the source partners withdrew due to  
9 lack of efficacy.

10 [Slide]

11 So there were various sensitivity analyses  
12 that we used to look at this. One very  
13 conservative analysis took all of the withdrawals  
14 and considered them to be treatment failures. In  
15 this case, the percentage of withdrawals far  
16 outnumbered the primary endpoint cases. So, what  
17 we have is very little difference between the two  
18 treatment arms. In both cases we have  
19 approximately 22 percent of valacyclovir patients  
20 compared to about 24 percent of placebo patients,  
21 and a p value of 0.30. There is no difference when  
22 you include all the withdrawals or discontinuations  
23 and treat them as treatment failures.

24 So, what we are getting here are more  
25 reasonable estimates when we just include a small

1 fraction of the withdrawals and treat them as if  
2 they were treatment failures. When we assume that  
3 10 percent of the discontinuations were treatment  
4 failures we see approximately four percent of  
5 placebo patients and we can see approximately three  
6 percent of valacyclovir patients. In this case the  
7 p value is 0.11. When we count five percent of the  
8 discontinuations as treatment failures we see about  
9 double the rate for placebo compared to  
10 valacyclovir. This looks very similar to the HSV-2  
11 seropositive results, and the p value here again is  
12 0.05 so this is what it takes to reach statistical  
13 significance. In the primary analysis none of the  
14 discontinuations were counted as treatment  
15 failures. They were all concluded to be successes  
16 so this is what we see here, with the p value again  
17 being 0.011.

18           The Kaplan-Meier analysis was 0.008. The  
19 Kaplan-Meier adjusts for the length of follow-up  
20 and also it assumes not informed of censoring. For  
21 example, in Valtrex patients the risk for patients  
22 who discontinue is the same as the risk of  
23 transmission for patients who complete the study.  
24 The same thing in placebo patients, the risk for  
25 patients who discontinue is the same as the placebo

1 patients who complete the study.

2 [Slide]

3 Now I would like to talk about regional  
4 differences.

5 [Slide]

6 We see a histogram of the different  
7 countries, major geographic regions. In this case,  
8 the percentage of patients with a first episode of  
9 genital HSV-2 in susceptible partners is plotted  
10 and we see that by far the biggest differences seem  
11 to occur in Australia and Canada. These are all  
12 placebo patients so we have almost nine or ten  
13 percent of Australian patients and in Canada it is  
14 about three percent, and we have no Valtrex cases  
15 except in the U.S. South America has only 43  
16 patients but eastern Europe and western Europe  
17 comprise about 20 percent of the sample and there  
18 are no cases, no patients who had the primary  
19 endpoint in all of eastern and western Europe. The  
20 p value for the effect of geographic region was  
21 0.01. So, the main effect of geographic region was  
22 very significant statistically.

23 [Slide]

24 This is a backup slide which actually has  
25 the numbers of confirmed cases in each country.

1 [Slide]

2 When we plot the overall acquisition rates  
3 we see a similar pattern, with the highest rates in  
4 Australia, followed by Canada. However, in Europe  
5 we do see cases. In fact, we see more placebo  
6 cases in eastern Europe than valacyclovir cases.  
7 In western Europe, it looks like they are  
8 approximately the same. In the U.S., it looks like  
9 the rates are approximately the same. The U.S. has  
10 803 patients.

11 This is a little different because for the  
12 clinical evidence in the primary analysis the U.S.  
13 results tended to look approximately the same as  
14 the overall analysis with all countries combined.  
15 The other thing is that in Europe it looks like  
16 there are as many cases, roughly as many cases as  
17 there are in the United States. which is very, very  
18 different from what we saw with the primary  
19 endpoint.

20 [Slide]

21 This is backup slide, which is in your  
22 handout, which has the actual numbers of patients.

23 [Slide]

24 I looked at several different demographic  
25 and other sexual behaviors to see if that could

1 explain the regional differences. The only thing I  
2 was able to see was that it looks like in eastern  
3 Europe there is a much higher percentage of  
4 patients who said they nearly always used condoms,  
5 over 60 percent, compared to all the other  
6 geographic regions where it is just slightly over  
7 20 percent or less than 20 percent. However, it  
8 doesn't seem like in eastern Europe the rates of  
9 HSV-2 seroconversions are any lower. Also, the  
10 overall acquisition rate in eastern Europe was  
11 similar to the U.S. and western Europe and eastern  
12 Europe.

13 [Slide]

14 So, my summary and conclusions are that  
15 the percentage of dropouts was over 20 percent, and  
16 this was much higher than the percentage of  
17 susceptible partners classified as having clinical  
18 evidence of a first episode of genital HSV-2.

19 The primary reasons for discontinuation  
20 include withdrawal of consent, loss to follow-up  
21 and the ending of relationships.

22 [Slide]

23 The statistical significance of the  
24 primary endpoint depends on the assumptions about  
25 how many discontinuations should be counted as



1 treatment failures. This can be statistical  
2 significance or it could also mean clinical  
3 significance, i.e., is there a 75 percent  
4 reduction, which is actually harder to achieve than  
5 statistical significance.

6           No transmissions were reported in Europe  
7 where approximately 20 percent of the patients were  
8 enrolled. This rate is similar to the 20 percent  
9 discontinuation rate so it is possible that if  
10 there were no real treatment differences in Europe  
11 then we could also do a sensitivity analysis where  
12 we counted those European patients as treatment  
13 failures and we would have an equal amount in both  
14 groups, and then we would add that on to the  
15 percentage of discontinuations and we would have  
16 less robust analyses.

17           [Slide]

18           The largest treatment effects were  
19 observed in Australia and Canada. The U.S. results  
20 were similar to the results for the primary  
21 endpoint for all countries combined. The  
22 differences between valacyclovir and placebo were  
23 not as significant for HSV-2 seroconversions and  
24 overall acquisitions, particularly in the United  
25 States.

1 [Slide]

2 Now I would like to have Dr. Haverkos talk  
3 about viral shedding, substudy results, safety and  
4 behavioral results and conclusions.

5 Viral Shedding Substudy, Safety and Behavioral  
6 Results and Conclusions

7 DR. HAVERKOS: Thank you, Dr. Smith.

8 [Slide]

9 First of all, the viral shedding substudy,  
10 89 patients were recruited and 85 source patients  
11 were followed intensively for two months. As  
12 mentioned before, they filled out daily diary cards  
13 recording any signs or symptoms of recurrence.  
14 They collected samples at home and did a self-exam,  
15 and then every two weeks came into the clinic for  
16 review of the diaries, clinical exams and  
17 additional viral cultures.

18 [Slide]

19 I think that the results shown are  
20 supportive of an effect in suppressing virus. As  
21 seen here the valacyclovir group shed 2.9 percent  
22 of the days or cultures taken compared to about 11  
23 percent in the placebo group, and during the times  
24 of shedding the valacyclovir group had lower levels  
25 of virus present, about a one log drop.

1 I think Dr. Guinan asked some questions  
2 earlier about differences, male and female. I have  
3 not seen those results and maybe the company can  
4 address her question in the comment period.

5 [Slide]

6 Moving on to safety, currently the drug  
7 has warnings in the label about thrombotic  
8 thrombocytopenic purpura and hematuria syndrome and  
9 death that has occurred in some patients with  
10 advanced HIV disease and immunosuppressed for other  
11 reasons, transplant recipients receiving  
12 valacyclovir up to eight grams a day. So, there  
13 are some significant side effects seen with this  
14 but generally at much higher doses than people are  
15 going to propose to use for this indication.  
16 Adverse events commonly reported with use of  
17 valacyclovir include nausea, headache, vomiting,  
18 dizziness and abdominal pain.

19 [Slide]

20 Looking through the data presented, there  
21 were no deaths. There were no reports of TTP/HUS  
22 in the study. Twenty-six subjects developed  
23 serious adverse events. There were 17  
24 discontinuations and there were 16 pregnancies, and  
25 we are looking at those last three bullets on the

1 next three slides.

2 [Slide]

3 If you look at the serious adverse events,  
4 they are pretty similar between the two groups. A  
5 patient discontinued to glomerulonephritis was a  
6 patient that, right at the beginning of the study,  
7 developed some symptoms of arthralgias that ended  
8 up in a diagnosis of lupus and during that process  
9 the patient was discontinued from valacyclovir.

10 We have one cancer in each column. We  
11 have an intestinal obstruction attributed to  
12 another medication that the patient was taking.  
13 Then we can see some parallels, some spontaneous  
14 abortions; uterine fibroids; Bartholin's cyst  
15 infection; ovarian cyst; a couple of localized  
16 infections. I think one with meningitis came in,  
17 was treated with cephtriaxone and was signed out as  
18 a viral meningitis though it was not clear that  
19 herpes cultures were done or herpes was ruled out  
20 in that case but the patient's symptoms resolved  
21 over four days and the patient was discharged.  
22 There were a couple of orthopedic problems in each  
23 group and then a syncope, a vasovagal attack. So,  
24 nothing jumping out that one could attribute to  
25 valacyclovir and serious adverse events.

1 [Slide]

2 If you look at the discontinuations, there  
3 were 12 in the valacyclovir group, most of them for  
4 symptoms that were attributed to headache and GI  
5 disorders. There were two rashes and two where  
6 they had renal problems, one glomerulonephritis  
7 associated with lupus and hematuria that did not  
8 meet any other conditions for TTP and was being  
9 worked up for kidney stone.

10 [Slide]

11 As I mentioned, there were eight  
12 pregnancies in both groups. Trying to look at what  
13 impact, if any, valacyclovir might have on these  
14 pregnancies, there were four women, source patients  
15 randomized to valacyclovir, two healthy infants  
16 were delivered and two developed spontaneous  
17 abortions. There were seven in the placebo group,  
18 as you see here, and three health infants and three  
19 spontaneous and one elective abortion. There was  
20 one susceptible partner who was treated with  
21 valacyclovir for a suspicious HSV initial event who  
22 elected abortion, and then there were four other  
23 susceptible partners who did not receive drug and  
24 for which data was not presented.

25 [Slide]

1           Moving on now to the behavioral aspects,  
2 just for review, the guidelines put out by the  
3 public health service, specifically the CDC, to  
4 manage herpes are quite extensive. They are  
5 included in your packet. It is mentioned that for  
6 critical management of herpes counseling be done.  
7 The goals of counseling are to help patients cope  
8 with infection and to prevent sexual and perinatal  
9 transmission. They are encouraged to inform their  
10 partner before initiating a sexual relationship and  
11 are reminded that transmission can occur during  
12 asymptomatic periods as well as during outbreaks.

13           [Slide]

14           In addition, partners or couples are  
15 encouraged to abstain from sex when lesions or  
16 prodromal symptoms are present. They are  
17 encouraged to use condoms which, when used  
18 consistently and correctly, can reduce the risk,  
19 again reemphasizing that sex partners might be  
20 infected even if no symptoms occur, and encouraging  
21 testing of partners for herpes.

22           [Slide]

23           These guidelines are pretty much based on  
24 a variety of studies from the Seattle group,  
25 including a study in JAMA in 2001 which the authors

1 claim was the first one to prove that condoms  
2 actually prevented transmission or reduced  
3 transmission among HSV partners. This was a  
4 reanalysis of an ineffective vaccine trial, alluded  
5 to by Hunter Handsfield and others earlier, in  
6 which over 500 monogamous heterosexual discordant  
7 couples were followed for 18 months. There was  
8 about six percent transmission, and condom use was  
9 reported as protective, interestingly, for women  
10 but not for men.

11 [Slide]

12 Now let's look at some of the data. If  
13 one asks how effective was the STD counseling  
14 provided in this study, what behavior change was  
15 actually found, as you see here, at baseline about  
16 50 percent of couples said they never used condoms  
17 and in the month prior about a third said they  
18 nearly always used condoms. As mentioned earlier,  
19 when we calculate the nearly always used condoms  
20 during the study, it is based really on a median  
21 use of the eight months. So, if a couple reports  
22 five months using condoms all the time and then  
23 there is a month or two where they only use it  
24 sometimes or never, they still are classified as  
25 nearly always.

1           But if you look at the effects of  
2 counseling, as you can see, condom use during  
3 vaginal sex actually decreased slightly. "Nearly  
4 always" now is reported by 30 percent of couples,  
5 and a higher percent reported never using condoms.  
6 In oral sex, which was reported by over 70 percent  
7 of the partners, only seven percent in both groups  
8 reported nearly always using condoms for oral sex.

9           I must say, there is some difficulty  
10 interpreting the oral sex data because it is not  
11 clear in the reports in which direction the oral  
12 sex occurs. Is it man or woman, woman or man? So,  
13 it becomes difficult to know exactly what  
14 exposures, particularly during symptomatic periods,  
15 in one of the partners--whether risk or direct  
16 contact was made.

17           [Slide]

18           Looking at some of the behavioral data, as  
19 we have mentioned, the condom use collection or  
20 report can best be described as poorly defined.  
21 For oral sex I think was even more difficult to  
22 look at the data, particularly if one tries to then  
23 look at this issue of abstinence either of sexual  
24 behavior or specifically of oral sexual behavior  
25 during outbreaks. No analysis was conducted.



1           I am always struck as I read these studies  
2 of couples by what kind of data we collect and what  
3 we don't collect on couples. We collect data on  
4 duration and whether they have had a history of  
5 STD, but among heterosexual couples we don't have  
6 data like on marital status; whether people are  
7 living together; whether or not the couples have  
8 any children, which might give us some better  
9 definitions for being able to decipher who these  
10 couples are.

11           There was no analysis of the effects of  
12 counseling or, you know, how the data were  
13 collected on behaviors by different languages; how  
14 a number of these things were done considering the  
15 fact that it was multinational and multilingual,  
16 how different cultures might look at some of these  
17 definitions of oral sex or reporting different  
18 behaviors. Finally, there were a number of missing  
19 diaries.

20           [Slide]

21           In summary, I think we think the study was  
22 well done and does show that it does reduce  
23 clinical HSV-2 outbreaks among source partners and  
24 transmission to susceptible partners among these  
25 couples.

1           The viral shedding substudy I think  
2 clearly supports that valacyclovir does reduce  
3 transmission among such couples, and no new safety  
4 issues were identified to date in our review.

5           [Slide]

6           On the other hand, if one looks at the  
7 efficacy of the counseling and behavioral  
8 interventions, clearly subjects continued not to  
9 use condoms during every sex act, and very little  
10 during oral sex acts, and looking over the  
11 histories, there were individuals that did not  
12 abstain from sex during symptomatic recurrences  
13 despite counseling monthly. This behavior area may  
14 be an area that we can make some additional  
15 progress in, in addition to what we are finding  
16 with the medication.

17          [Slide]

18          With that, let me move on to the  
19 questions. I will just read them briefly. We will  
20 start out with one that, hopefully, will be fairly  
21 straightforward, does the information presented by  
22 the applicant support the use of valacyclovir to  
23 reduce the risk of transmission of genital herpes  
24 among monogamous heterosexual couples?

25          If you agree with that, we will then move

1 on to the other questions. If you don't, then we  
2 will talk about what additional studies need to be  
3 conducted.

4 [Slide]

5 After that one I think we get into some  
6 questions on how we are going to use this drug in  
7 practice and how we are going to fit it into public  
8 health guidelines. Does the information presented  
9 support the use of valacyclovir to reduce the risk  
10 of transmission of genital herpes among populations  
11 other than monogamous heterosexual couples?

12 [Slide]

13 Third is this issue of screening in 3009.  
14 Over 4,000 couples were screened. Only 1,500 were  
15 enrolled. Many of them, even though they didn't  
16 know they were infected, were. So, please discuss  
17 the implications of screening susceptible partners  
18 for herpes prior to initiating therapy of the  
19 source partner with valacyclovir.

20 [Slide]

21 Number four is a more philosophical  
22 question but one I know bothers some people in  
23 public health, what will marketing of valacyclovir  
24 for reduction of genital herpes have on the impact  
25 of use of condoms and following other STD

1 guidelines?

2 [Slide]

3 Fifth moves on to another issue which I  
4 guess deals with duration of therapy and  
5 resistance. Although patients in the  
6 registrational trial were treated for eight months,  
7 it is likely to be used for longer periods of time.  
8 What additional studies would you suggest to  
9 evaluate the potential for longer-term adverse  
10 events, including development of resistance to  
11 valacyclovir?

12 I guess I would kind of like to add a  
13 thought. When would you consider stopping to use  
14 this drug in a relationship? For example, what if  
15 the relationship breaks down? What if you get into  
16 another partner who is already infected? What if  
17 transmission occurs? If you get into a  
18 relationship and start this at age 20 and you stay  
19 in that relationship for 50, 60 years, how long  
20 should one continue the medication and should there  
21 be some monitoring, or whatever, in the process?

22 [Slide]

23 Finally the six question, getting back to  
24 this issue of the primary endpoint, in future  
25 studies for treatments for herpes simplex what do

1 you recommend that we use as a clinical endpoint?  
2 And, if we have time, which we probably won't,  
3 there is extra credit. One can try to address  
4 other STDs because I know we have other STDs that  
5 other manufacturers out there are interested in  
6 looking to see what impact this might have on HIV  
7 and other STDs.

8 So with that, I will conclude and turn it  
9 back over to Dr. Gulick.

10 DR. GULICK: You have one more slide, I  
11 bet you.

12 DR. HAVERKOS: Excuse me, I am sorry.

13 [Slide]

14 Dr. Smith and I would like to thank the  
15 collaborators and those who have provided us with  
16 all materials and told us what to say today. Thank  
17 you very much.

18 DR. GULICK: Thanks, Dr. Haverkos and Dr.  
19 Smith. Just a brief announcement, Dr. Handsfield  
20 mentioned that he would be willing to share his  
21 slides with people. He will post them on the FDA  
22 web site and he is also available if you want to  
23 get his e-mail address.

24 At this point we are going to go into a  
25 question and answer session. Although we just saw

1 the questions reviewed for the committee, I want to  
2 stress that we are going to focus on those during  
3 the afternoon period. The period now for questions  
4 and answers is really questions of content or  
5 clarification either for the sponsor or for the  
6 agency and their presentation. Dr. Kumar is  
7 jumping right in.

8 Questions from the Committee

9 DR. KUMAR: This is a question for the  
10 sponsor. If I understood the prevalence rate of  
11 herpes in African-Americans, it is about 47  
12 percent, and if we just take African-American women  
13 it is about 55 percent. But the study, if you look  
14 at the population that was enrolled, only three  
15 percent were African-Americans. Could you comment  
16 on why that was and what did you, as the sponsor,  
17 do to encourage more participation of  
18 African-Americans?

19 DR. COCCHETTO: Sure, happy to comment on  
20 that. Let me ask Dr. Young to comment on that  
21 question.

22 DR. YOUNG: First of all, as noted in Dr.  
23 Harding's presentation, this study was conducted  
24 multinationally so we actually did have sites that  
25 were spread throughout the world. Now, we had

1 selected investigators that were in the U.S. as  
2 well as in Canada and actually did have access to  
3 diverse populations. Although I would acknowledge  
4 that we did enroll the percentages of  
5 African-American patients that you had identified,  
6 we had no restrictions on enrollment in terms of  
7 demographics. This is how the data actually played  
8 out. Those are the data.

9 DR. KUMAR: Dr. Young, about 860 patients  
10 came from the U.S. sites.

11 DR. YOUNG: That is correct.

12 DR. KUMAR: If I understood the data.

13 DR. YOUNG: That is correct.

14 DR. KUMAR: Can I just follow-up?

15 DR. GULICK: Yes.

16 DR. KUMAR: In the FDA briefing document  
17 there was some mention that some specimens were  
18 lost or contaminated. Would you comment on  
19 cultures from the European countries, from West  
20 Europe and East Europe? Could you give us a sense  
21 of how much of these specimens were lost or  
22 contaminated during transport here?

23 DR. COCCHETTO: Sure. We did look  
24 carefully at that issue of handling of specimens.  
25 Let me ask Stuart Harding to comment on that,

1 transport and our accountability for specimens.

2 DR. HARDING: Of those cases reviewed by  
3 the endpoints committee, 71 cases, there were six  
4 subjects in which there was a sample, or sometimes  
5 more than one sample that was missing or  
6 contaminated, or whatever. But when you consider  
7 that for a suspected cases samples are taken for  
8 culture and PCR on days one, five and ten and  
9 serology on day one and ten, and then there is  
10 continued follow-up with serology, we don't think  
11 that that would impact the results.

12 For your question about transport around  
13 the world and particularly from Europe, I am not  
14 aware that there was any problem with that  
15 transport. According to Dr. Ashley Morrow the  
16 samples typically arrived in very good condition  
17 and chilled. If you need more information I think  
18 she would be pleased to give you some.

19 DR. KUMAR: That is fine, I just wanted to  
20 get a feeling for that. Can I ask one last  
21 question?

22 DR. GULICK: Sure.

23 DR. KUMAR: Again, this is for the  
24 sponsor. Could you comment, on valacyclovir four  
25 patients developed herpes. Could you give me some



1 sense of their clinical presentation and how long  
2 was the follow-up that you had on those four  
3 patients?

4 DR. COCCHETTO: Let me just make sure I  
5 understand. You are asking for some clinical  
6 information on the four primary endpoints within  
7 the valacyclovir group?

8 DR. KUMAR: And how long they were  
9 followed.

10 DR. COCCHETTO: And how long they were  
11 followed. Again, I would ask Dr. Harding to  
12 comment.

13 DR. HARDING: I can't recall exactly but  
14 we do have the case narratives for each of those  
15 four, if you want us to show them.

16 DR. GULICK: Yes.

17 DR. HARDING: If we could have those? I  
18 can give you some summary details at least for the  
19 demography, and stuff. There were two male, two  
20 female, for example, ages 29-35, all from the U.S.

21 [Slide]

22 This is one of them. I don't know if you  
23 want me to read that or if you can read it from  
24 where you are.

25 DR. GULICK: Why don't you guide us

1 through it?

2 DR. HARDING: The randomized date was in  
3 October, 1999 and the date of the end clinical  
4 endpoint was June, 2000. The subject reported  
5 dysuria approximately four days before noticing a  
6 large erythematous papule on her external labia on  
7 June 13, 2000. On exam, four additional  
8 erythematous lesions were identified. There were  
9 confirmatory labs; culture taken on June 15th was  
10 positive.

11 [Slide]

12 Again, an American one, as we said.  
13 Randomization May 6, '98 and in August, end of  
14 August, subject returned to the clinic on September  
15 2nd with a suspected genital herpes outbreak.  
16 Subject stated that prodromal symptoms started on  
17 August 31st, with lesions appearing on September  
18 2nd. The office visit included tender palpable  
19 lymph nodes in the bilateral groin; fatigue,  
20 malaise and general rash. The confirmatory lab,  
21 culture and PCR on September 2nd were negative but  
22 the serology became atypical on October 13th and  
23 then converted fully to positive on December 2nd.

24 [Slide]

25 Another one, randomization in June, '98;

1 clinical endpoint December, '98. Subject returned  
2 to clinic on December 2nd with complaints of sore  
3 throat, genital tenderness and genital lesion that  
4 started on September 2nd. The confirmatory labs  
5 were both culture and PCR.

6 [Slide]

7 The last one was randomized April 21st.  
8 On April 29th the subject presented at clinic on  
9 May 1st, complaining of dysuria lasting two days.  
10 The labia was erythematous with no discrete lesions  
11 and extensive cervicitis. Culture and PCR were  
12 positive. In addition, serology on May 20th was  
13 positive. So, these are all fairly typical primary  
14 cases.

15 DR. KUMAR: Dr. Harding, I may not have  
16 asked my question clearly. What I wanted to know  
17 is not so much what the clinical presentation was  
18 but really what happened to them. Did they respond  
19 to a treatment? What were they treated with and  
20 did they respond? I recognize that you had not  
21 collected any resistance data. So, I was just  
22 trying clinically to see what happened to these  
23 four patients.

24 DR. HARDING: Well, they were all given  
25 one gram twice a day of valacyclovir for ten days.

1 But at that stage they were free to leave the study  
2 if we had the confirmatory labs.

3 DR. KUMAR: Can you give us a sense of  
4 whether they responded to the treatment that was  
5 given to them?

6 DR. HARDING: Can anyone help me as to  
7 whether we recorded that? I am getting shakes of  
8 the heads. This wasn't an analysis that we  
9 collected.

10 DR. GULICK: I guess what you are getting  
11 at is, just because of the issue of resistance, it  
12 would be nice to know if they responded to the  
13 therapy and healed the lesions.

14 DR. KUMAR: Yes, that is all that I wanted  
15 to know. Is there any sense of what happened once  
16 they got treated, and how quickly did they respond,  
17 and any such information.

18 DR. HARDING: Dr. Young has reminded me  
19 that all the isolates were susceptible but, of  
20 course, we didn't determine that until later. But  
21 we are not aware of transmission of resistant  
22 isolates in immunocompetent subjects anyway so I  
23 don't think this was a consideration.

24 DR. GULICK: So, the short story sounds  
25 like we don't have clinical information available

1 on that.

2 DR. HARDING: We don't have that sort of  
3 detail. Obviously, expecting very small numbers it  
4 wouldn't have really helped us over and above all  
5 the details we have on treatment of episodes.

6 DR. YOUNG: Just one additional comment,  
7 it is not unusual in our clinical studies on  
8 suppression to actually have individuals who may  
9 develop recurrences on active therapy. Typically,  
10 when these individuals are actually treated with  
11 what would be considered to be the standard doses  
12 they do resolve their lesions. So, it is really  
13 not an unusual circumstance.

14 DR. KUMAR: But we are not sure, Dr.  
15 Young.

16 DR. YOUNG: Not in this study. I am just  
17 making a general comment.

18 DR. KUMAR: We do recognize that but I  
19 think particularly for this group of patients that  
20 would have been important, with four patients that  
21 got it, it would have added a sense of comfort to  
22 clinicians like me.

23 DR. GULICK: Dr. DeGruttola and then Dr.  
24 Sherman.

25 DR. DEGRUTTOLA: I have a couple of

1 questions about the withdrawals first, directed  
2 first to the FDA but then also to the sponsor. As  
3 was correctly pointed out, the analyses are  
4 sensitive to assumptions about withdrawals so my  
5 first question is, is there any information about  
6 when these withdrawals took place? Obviously, for  
7 those toward the end of the study the assumptions  
8 have less impact on the analyses.

9           Also, was there any effort to find out  
10 more about who withdrew, not just the reasons for  
11 it but what the characteristics of people who  
12 withdrew were, and did those characteristics  
13 predict outcome and differ by treatment arm?  
14 Because that would be the case, again--where the  
15 characteristics predict outcome and differ by  
16 treatment arm--where the non-informative censoring  
17 assumptions would break down and could have an  
18 impact on the results.

19           So, two questions to start. Distribution,  
20 when they took place and who they were.

21           DR. SMITH: As far as when they took  
22 place, the applicant has, I believe, a Kaplan-Meier  
23 plot about the time to discontinuation in their  
24 package. Generally, it looked like--

25           DR. GULICK: Could you give us the page

1 number on that?

2 DR. SMITH: I can't give you the page  
3 number right away.

4 DR. GULICK: Anybody who has the page  
5 number could give it to us.

6 DR. COCCHETTO: Page 46.

7 DR. GULICK: Thanks.

8 DR. DEGRUTTOLA: So, it looks like it is  
9 pretty even over time, except right at the  
10 beginning.

11 DR. SMITH: Yes, so it looks like it is  
12 very even in terms of time to withdrawal, similar  
13 to the proportions analysis. Patients who had  
14 unknown outcomes in terms of the primary endpoint,  
15 they tended to withdraw earlier than other patients  
16 who discontinued. We didn't really get into that,  
17 you know, present, absent or unknown, as far as the  
18 primary endpoint was concerned but those patients  
19 who were unknown were counted--it was dichotomized;  
20 they were not counted as a separate category.

21 Basically, in terms of the types of  
22 withdrawals and withdrawal characteristics, I am  
23 not familiar with the withdrawal characteristics.

24 DR. GULICK: Does the sponsor want to  
25 comment on this?

1 DR. COCCHETTO: Yes. Dr. DeGruttola, we  
2 share your curiosity about the withdrawals, as well  
3 as Dr. Smith's, and we did look at those pretty  
4 carefully. If you put up D7, just so everyone can  
5 see the same graphic--

6 [Slide]

7 --that is the Kaplan-Meier plot. Let me  
8 ask Roger Liddle, who is the head of our statistics  
9 group for this trial, to make some comments to  
10 address your question, Dr. DeGruttola, from looking  
11 at patient characteristics as well as the time  
12 course of discontinuations.

13 DR. LIDDLE: Thanks. My name is Roger  
14 Liddle. I am vice president of biostatistics and  
15 data management for GlaxoSmithKline. Thanks for  
16 the opportunity to just take a few minutes to cover  
17 a couple of slides. Let me jump to D2.

18 [Slide]

19 We have covered already overall. We see a  
20 very similar discontinuation rate between the two  
21 treatment arms, with 22 percent on placebo and 21  
22 percent on Valtrex. In the bottom part of the  
23 slide you see that over the course of time this is  
24 sort of similar, maybe just a quick summary to the  
25 slide you have already seen but if you look at the



1 three-month period, less than three months, three  
2 to six or more than six, there was somewhat of a  
3 tendency to withdraw earlier from the study, but  
4 between the two treatment groups the pattern was  
5 very consistent. Let's go to D3, please.

6 [Slide]

7 I think with respect to that, what is the  
8 impact and what are the various analyses, and how  
9 dependent are they on the different analysis  
10 methods? The key sensitivity analyses that we  
11 performed, one was time to event which certainly  
12 has some appeal because we get to use the data  
13 right up until the time of discontinuation. With  
14 the as-treated analysis, we really focused on those  
15 patients who completed the entire study. The  
16 imputation approach, which was also referenced in  
17 the FDA presentation--here, what we have chosen to  
18 do rather than say 100 percent or five percent or  
19 whatever else the discontinuations, here what we  
20 did was we took the placebo rate for transmission  
21 and we applied that rate to the discontinuations.  
22 So, if we saw something between two and three  
23 percent on the placebo arm, that would correspond  
24 to four or five additional events. So, we added  
25 those four or five additional events to each

1 treatment group. So, we sort of imputed the  
2 placebo transmission rate for each of the treatment  
3 arms.

4 In all three of those cases, the  
5 intent-to-treat, the as-treated, the time to event  
6 and that imputation, in all three cases the  
7 statistical test was robust for those and we did  
8 see a significant, less than 0.05, p value in all  
9 three of those cases. Let's go quickly, if we can,  
10 to D9.

11 [Slide]

12 These are the p values that I just  
13 referenced. You see the primary analysis was the  
14 0.011 which has been referenced a couple of times  
15 this morning. Time to event is the 0.008. Perhaps  
16 not surprising, because we are using all the data  
17 it does give you a bit more power. The as-treated  
18 analysis was 0.012. If you use that placebo rate,  
19 it came out as somewhere between four and five  
20 events. So, we have shown the results both for  
21 four and five events added to the two treatment  
22 groups.

23 In a sense, this itself is being a little  
24 bit conservative because we have ignored in this  
25 imputation approach the fact that we do have some

1 data for those patients. They did not get the  
2 transmission and we have actually imputed the  
3 placebo rate as if it was the entire eight months.  
4 If you actually said, well, they were on average in  
5 the study for three months and used a sort of  
6 time-dependent transmission rate it would be more  
7 like three events. So, again, it would still be  
8 significant. So, in this sense it is perfectly  
9 appropriate to look at a variety of the sensitivity  
10 analyses but in this case they were all robust to  
11 that.

12 I think the question about was there  
13 information in those discontinuations, I have one  
14 additional slide I would like you to talk you  
15 through very briefly, slide D27.

16 [Slide]

17 This is actually fairly recent work. We,  
18 obviously, were still trying to understand if there  
19 was information in these discontinuations. What we  
20 have done is we took a variety of baseline  
21 characteristics that are listed over on the  
22 left-hand side of this, and we have looked at them  
23 to see if they were predictive of discontinuation.  
24 There, what you will see is that the first five  
25 were not predictive of discontinuation and,

1 therefore, would not likely drive some bias because  
2 of the discontinuations. So, we sort of didn't  
3 worry about those.

4           The four at the bottom were of some  
5 concern but then, of course, the next question is  
6 are they predictive of primary outcome? If they  
7 are unrelated to the primary outcome, and the HSV-1  
8 for the susceptible partner was not predictive of  
9 the primary outcome, again we don't see that as a  
10 concern.

11           That still leaves us with three baseline  
12 characteristics that were potentially of interest  
13 and could conceivably result in some bias in the  
14 results. For two of those, the country where we  
15 analyzed it as U.S. versus non-U.S., and the  
16 duration of relationship--as I said, they were  
17 somewhat related to discontinuation and they were  
18 somewhat predictive of the primary outcome, with  
19 U.S. being more likely to transmit and shorter  
20 relationships being more likely to transmit but, in  
21 fact, there was not evidence of a differential rate  
22 in the discontinuation. So, while that may have  
23 had some effect on the overall transmission rate,  
24 it should not have a bias in favor or against one  
25 treatment arm versus the other.

1           That leaves us really with one variable  
2 which was potentially of interest and could have  
3 had some bias. That was the duration of the HSV-2  
4 in the source partner. But in this case it is  
5 interesting to note that because Valtrex was  
6 associated--sorry, let me get this straight--this  
7 does imply a potential bias against Valtrex and for  
8 placebo. The reason for that is that HSV-2  
9 infection is correlated with clinical acquisition.  
10 The duration of the HSV-2 infection is correlated  
11 with clinical acquisition and is also correlated  
12 with a higher discontinuation rate for the placebo  
13 arm. So, if in fact that baseline characteristic,  
14 if there is information there, it means that  
15 placebo patients were a bit more likely to drop out  
16 and were more likely to have been transmitters.  
17 Therefore, at least based on this analysis that we  
18 went through in some detail, we felt there were no  
19 red flags or cause for concern based on a fairly  
20 comprehensive look at baseline characteristics.  
21 Thank you for your patience.

22           DR. DEGRUTTOLA: Thank you very much, that  
23 was very helpful and very useful. I have a couple  
24 more questions. One is to the agency, given the  
25 fact that, as Dr. Liddle just mentioned, at least

1 in the Kaplan-Meier analysis you can use the  
2 information up until the time the subject  
3 discontinues, why were the primary analyses that  
4 you presented based on odds ratios rather than the  
5 time to event analyses, and could you comment on  
6 the appropriateness of the time to event analyses,  
7 given that in some cases--I think in all cases  
8 there was a higher degree of statistical  
9 significance, not greatly but it was greater?

10 DR. SMITH: We found that the Kaplan-Meier  
11 analysis was slightly more powerful but we didn't  
12 find a tremendous deal of difference between the  
13 two approaches and since the primary analysis was  
14 done on the proportions we just tried to look at  
15 the proportions that correspond to the primary  
16 analysis. But we would expect similar sensitivity  
17 for the Kaplan-Meier analysis if you counted  
18 withdrawals randomly.

19 The other problem with the Kaplan-Meier  
20 analysis is it depends on which withdrawals from,  
21 say, the five percent you choose as failures.

22 DR. GULICK: Can you speak up a little  
23 bit?

24 DR. SMITH: Sorry. If you choose five  
25 percent of the discontinuations and treat them as

1 failures, then you have a little bit of a problem  
2 where they are all going to have different failure  
3 times. So, it depends on which ones you randomly  
4 choose.

5 DR. DEGRUTTOLA: I agree, if you were  
6 going to do sensitivity analyses you might want to  
7 make different kinds of assumptions, or would have  
8 to make different kinds of assumptions. But it  
9 sounds as if in general you considered the  
10 Kaplan-Meier analyses appropriate and informative  
11 even though all of them have issues with  
12 withdrawals.

13 Dr. Smith presented analyses showing that  
14 there was a significant geographic effect on the  
15 risk of developing the endpoint. But was there was  
16 there an analysis done of the impact of geographic  
17 region on the treatment effect?

18 DR. SMITH: Yes, we did analyses looking  
19 at treatment by geographic region interactions. In  
20 that case we didn't find any statistical  
21 significance so it was mainly the main effect of  
22 geographic region regardless of which treatment  
23 they were on.

24 DR. DEGRUTTOLA: And one final question  
25 just to clarify, for the primary analyses patients

1 were followed after drug discontinuation and  
2 included in analyses and then intent-to-treat ways.  
3 Is that correct?

4 DR. SMITH: Patients were followed for  
5 eight months, the duration of the double-blind  
6 study. After that we didn't look at events after  
7 eight months because all the patients were put on  
8 open-label treatment.

9 DR. DEGRUTTOLA: But if there were  
10 treatment discontinuations prior to eight months,  
11 were those patients no longer followed?

12 DR. SMITH: They were no longer followed,  
13 to my recollection, after they discontinued from  
14 the study.

15 DR. DEGRUTTOLA: Could they  
16 discontinue--maybe this is a question for the  
17 sponsor, could patients discontinue treatment but  
18 continue to be followed in the study? Or, once  
19 they discontinued treatment was follow-up  
20 discontinued?

21 DR. HARDING: Obviously, the typical case  
22 was when they discontinued they were not followed.  
23 There was a small number of subjects who did remain  
24 with follow-up. In fact, we tried to get serology  
25 after discontinuation where possible.



1 DR. DEGRUTTOLA: And is that true for  
2 partners as well, if they changed partners or  
3 treatments that they were no longer followed?

4 DR. HARDING: If the susceptible partner  
5 changed partners, yes, there were some instances  
6 where they continued but, according to the  
7 protocol, they should have discontinued because  
8 they are no longer monogamous.

9 DR. GULICK: Dr. Sherman and Dr. Fish.

10 DR. SHERMAN: Thanks you. Two points of  
11 information just to help clarify some things for  
12 myself, for the sponsor, can you explain why in  
13 your design you limited the number of episodes  
14 permitted per year to nine or less?

15 DR. COCCHETTO: Sure. The regimen of  
16 Valtrex evaluated in this trial is currently  
17 approved for suppressive therapy for patients with  
18 nine or fewer recurrences per year. So, in order  
19 to be able to compare a single regimen of Valtrex  
20 versus placebo we focused on that group of  
21 patients.

22 DR. SHERMAN: Wouldn't you have had a  
23 somewhat higher yield in patients that presumably  
24 shed higher levels of virus?

25 DR. COCCHETTO: Let me ask Dr. Harding to

1 comment on the proportion of patients who have  
2 those particular histories of recurrences.

3 DR. HARDING: As I said in my  
4 presentation, the vast majority, about eight  
5 percent of subjects, do have nine or fewer  
6 recurrences so this was the predominant population.  
7 But we did have two other considerations as to why  
8 we didn't choose people with ten or more  
9 recurrences. One was the fact that they would be  
10 more likely to actually want treatment as opposed  
11 to have the possibility of placebo so it was  
12 bordering on whether this was ethical. The other  
13 is that if they had such frequent recurrences they  
14 may be more easily able to discern whether they  
15 were on active or placebo and, therefore, break the  
16 blind because it would be pretty obvious if they  
17 started taking Valtrex.

18 DR. SHERMAN: Okay. The second question  
19 relates to actually one of the slides that Dr.  
20 Handsfield showed in his discussion of the sexual  
21 dead zone after about age 40. If the rate of  
22 transmission is about 2.5-3.0 percent per year in  
23 untreated patients, how come we don't see in stable  
24 monogamous couples continued effect of infection on  
25 and on and on because it is going to take many,

1 many years, 30 years or more, at that rate to  
2 continue to completely infect the stable partner  
3 population? This question is relevant to how long  
4 does one ultimately continue treatment. Is there a  
5 time period where pretty much the risk of  
6 transmission ends?

7 DR. HANDSFIELD: I am not sure we know  
8 definitive answers to those questions. I have  
9 given you the clues that one might suspect that  
10 subclinical shedding because symptomatic  
11 recurrences probably wane over long periods of  
12 time, after several years, and it is a fair  
13 assumption that subclinical shedding may as well.  
14 So, a longer duration relationship may become less  
15 risky from that standpoint over time. That is  
16 hypothetical; it hasn't been sufficiently studied.

17 There has also been speculation, and Dr.  
18 Corey or others can answer this part better than I  
19 can, about whether there might be some level of  
20 non-measurable immunity, that is, not measured by  
21 current approaches to antibody levels or perhaps  
22 even cell-mediated immunity, but, nevertheless, as  
23 has been analogous and suspected for some HIV  
24 cases, low level exposures might, in fact, result  
25 in some level of protection that is not detectable

1 by those methods, which also might be expected to  
2 have its effect in couples over time. So the exact  
3 physiologic explanation for the epidemiologic  
4 observations I think is not something we have  
5 definitive answers for, but that is my  
6 epidemiologist's response but perhaps others have  
7 comments on it.

8 DR. SHERMAN: But would it be fair to say,  
9 and perhaps a representative of the sponsor can  
10 answer this, that at some point it doesn't appear  
11 that suppressive treatment may, in fact, be  
12 indicated?

13 DR. HANDSFIELD: I will comment, if I can  
14 continue, and then I will sit down. I think that  
15 begins to get to issues that are not yet on the  
16 table but clearly will be, and that is, what are  
17 some of the extrapolations that can or should be  
18 made from a public health standpoint? The notion  
19 that viral shedding is the most common in the first  
20 months to a year or two after acquisition,  
21 therefore, differential benefit might be seen in  
22 people with shorter-term relationships and/or more  
23 recent acquisitions I think is certainly the  
24 implication of what you are asking and I think that  
25 is potentially a valid implication. That doesn't,

1 however, undermine the high value, regardless of  
2 the public health benefits, in those individuals  
3 who have ongoing relationships who are looking for  
4 that level of assurance or protection that they may  
5 want over a long period of time.

6 DR. GULICK: Let me caution us not to get  
7 into the discussion period just yet and let's stick  
8 to questions of clarification at this time. We  
9 have plenty of time to grapple with some of the  
10 questions a little bit later on. Dr. Corey, do you  
11 want to add something?

12 DR. COREY: If I might comment, it is a  
13 great question but, you know, you are asking about  
14 an area in any infectious disease and especially  
15 for genital herpes and we actually don't know a lot  
16 of information about the exact issues of  
17 transmission. As an anecdotal case, both in the  
18 Chiron study and this study, we have had people who  
19 have had in monogamous relationships for greater  
20 than eight years and ten years who actually  
21 transmitted on study at that period of time. So,  
22 for a long duration. Yet, when you look at  
23 relative risk factors, certainly shortness of the  
24 relationship increases the relative risk by, let's  
25 say, a factor of 2.5 to 3. And, duration of

1 genital herpes, long duration, decreases the risk  
2 factors.

3           Now, how much of that is due to biological  
4 factors that relate to frequency of subclinical  
5 shedding that decreases over time; how much of it  
6 relates to behavioral factors that are associated  
7 with sexual practices that go with duration of  
8 relationships, and how much is the other new factor  
9 which is essentially innate resistance, just like  
10 in HIV where there has been among high exposed  
11 seronegative men and women T-cell immunity  
12 associated with no seroconversion? Chris Posavad,  
13 from our group, has recently reported that now with  
14 some HSV seronegatives.

15           So, on a population basis we have a  
16 complex interplay here that we actually can't  
17 really play out in a definitive way from a  
18 counseling point of view, unfortunately, at least  
19 in my opinion.

20           DR. GULICK: Additional questions of  
21 clarification? Dr. Fish and then Dr. Englund.

22           DR. FISH: I have three questions, if I  
23 may. Understanding that the primary endpoint  
24 related to genital HSV-2, and on slide 28 you  
25 mentioned it was culture, PCR or serology that was

1 utilized, I thought I heard the sponsor say that  
2 there were no cases of HSV-1. Is that correct?

3 DR. COCCHETTO: That is correct.

4 DR. FISH: And how is that known?

5 DR. GULICK: Sorry, we need people to go  
6 to the mike to answer.

7 DR. HARDING: There were no primary  
8 acquisitions of HSV-1 genital herpes in the  
9 susceptible partners. There were four asymptomatic  
10 seroconversions. Does that answer the question?

11 DR. FISH: So, no clinical endpoints but  
12 four seroconversions to HSV?

13 DR. HARDING: Yes. The serodiscordancy  
14 for HSV-1 was only 13 percent where, of course, it  
15 was 100 percent for HSV-2. So, although nowadays a  
16 fair number of primary acquisitions of genital  
17 herpes are HSV-1, I think because the gradient, if  
18 you like, was much smaller in our study we didn't  
19 actually have one but we did look for it.

20 DR. GULICK: Can people hear in the back  
21 of the room? All speakers, please speak loudly and  
22 into the mikes.

23 DR. FISH: The second question relates to  
24 the counseling design. Was there a specific script  
25 given to the investigators in terms of counseling

1 about condom use, or was this left to investigator  
2 discretion?

3 DR. HARDING: There was no specific  
4 script. They all had the American Medical  
5 Association booklet, which was state-of-the art at  
6 that time, 1997. They were instructed in the  
7 protocol and at investigator meetings to make sure  
8 that people could recognize the signs and symptoms  
9 because that obviously is a big feature, and then  
10 the abstinence and the condom use. The fact that  
11 counseling was given was checked off in the CRF so  
12 at each visit they had to verify that they had done  
13 that.

14 DR. FISH: Thank you. Then a last  
15 question for the agency, there were approximately  
16 25 percent fewer primary endpoints than  
17 anticipated, 20/28 that they thought one might see  
18 in the 1500 who were entered. Can you comment in  
19 terms of how this might affect, if at all, the  
20 robustness of the analysis?

21 DR. SMITH: Well, given more endpoints,  
22 the analysis, had there been the same difference  
23 between the two treatment groups, would have been  
24 more robust to discontinuations because there were  
25 so many more discontinuations than endpoints. That



1 is why we had a lot of trouble with the sensitivity  
2 because of the fact that the discontinuations just  
3 swamped the treatment effect.

4 DR. GULICK: Dr. Englund?

5 DR. ENGLUND: I have some questions about  
6 the seroconversions as the secondary endpoint, and  
7 specifically on slide A44 for the sponsor, because  
8 in fact serology was an entry point for the study,  
9 I am interested in these 36 seroconversions as a  
10 secondary endpoint. Did those include the patients  
11 that were culture and/or PCR positive that were  
12 serology negative at the time, perhaps of a timing  
13 issue? In other words, do the seroconversions  
14 include all those that had symptomatic clinical  
15 disease documented by other laboratory parameters?

16 DR. HARDING: Perhaps if we have slide A44  
17 it would be helpful.

18 [Slide]

19 So, you have your 36 seroconversions and,  
20 as you see, 15 were from the primary endpoint. The  
21 culture or PCR came first but seroconversion was  
22 detected later. For the five that were determined  
23 to be endpoint based on culture or PCR, three of  
24 them left the study there and then and there was no  
25 adequate duration of follow-up for seroconversion

1 but, obviously, we would have expected them to  
2 convert given time. Then, there were the three  
3 seropositives from the cases referred to the  
4 endpoints but without culture or PCR positives.

5           So, the overall acquisitions is probably  
6 the best endpoint to look at as a secondary  
7 endpoint compared with the primary because that now  
8 includes the cultures or PCRs taken at the time.  
9 It wasn't that patients were always followed for  
10 long enough to ascertain seroconversion. Does that  
11 answer your question?

12           DR. ENGLUND: Well, it does because one of  
13 the questions when you are looking at the secondary  
14 endpoint is that you want to make sure that you  
15 aren't missing any, and you are saying you probably  
16 aren't but you don't have the data on several  
17 patients.

18           DR. HARDING: That is why I included the  
19 overall acquisitions because that includes not only  
20 the seroconversions but those for which we have  
21 culture and PCR. If you just look at  
22 seroconversions, you may have missed some who  
23 withdrew from the study having had a positive  
24 culture.

25           DR. ENGLUND: I have another question in

1 which perhaps you might be interested. This is  
2 regarding resistance and I would just like to ask  
3 perhaps one of our other experts here, but to my  
4 knowledge there has never been resistant HSV  
5 transmitted from an immunocompetent person to  
6 another immunocompetent person, whether it is HSV-1  
7 or HSV-2. Is that correct?

8 DR. HARDING: That is my understanding.

9 DR. GULICK: Dr. Mathews?

10 DR. MATHEWS: I wanted to return briefly  
11 to the issue that Dr. DeGruttola raised about  
12 whether there was a differential dropout because  
13 there were a couple of other risk factors that I  
14 didn't see on the slide that probably are relevant,  
15 and those are whether the dropout was differential  
16 by condom use, either at baseline or on study, and  
17 also by the reported frequency of intercourse. Do  
18 you have any analyses that looked at those factors?

19 DR. COCCHETTO: I am looking to my  
20 colleagues to see if one of them can help us with  
21 that. Roger?

22 DR. LIDDLE: I may be able to answer but  
23 it won't be totally satisfying. I think in the  
24 analysis that I presented we were looking at  
25 baseline characteristics. We were trying to

1 understand what differences in the patient  
2 population when they walked in the door could drive  
3 some bias induced both by the differential dropout  
4 rate and some effect on overall acquisition. So,  
5 we were not looking at variables that we were  
6 monitoring during the study, such as the condom use  
7 during the study or the frequency of sexual  
8 activity during the study.

9 DR. MATHEWS: Well, you probably have the  
10 data, right? I mean, the effect size for condom  
11 use in one of the analyses you presented was a  
12 relative risk reduction of about 0.5 so it is not a  
13 trivial protective factor. So, I would suggest  
14 those be looked at by the agency as well as the  
15 sponsor.

16 Secondly, dealing with the point Dr.  
17 Sherman made about how long the period of risk  
18 might last, you might have some data in this trial  
19 by looking at what happened to the effect size for  
20 the treatment by antecedent duration of the  
21 partnership, recognizing that there were not a lot  
22 of endpoints but if you even made one cut point in  
23 the duration of the partnership was there  
24 modification of the magnitude of the effect?

25 DR. LIDDLE: We did look at the duration

1 of the relationship and there was some impact. The  
2 shorter duration of relationship was associated  
3 with an increased chance of discontinuation and was  
4 associated with an increased chance of our primary  
5 outcome of transmission.

6 DR. MATHEWS: So, if you were to estimate  
7 the relative risk reduction for those who had, say,  
8 partnerships of two years or greater versus less  
9 than two years, those kinds of analyses, was there  
10 evidence of an effect size difference?

11 DR. LIDDLE: We did not calculate relative  
12 risk factors so I actually can't comment on how big  
13 a difference that was. There was somewhat of a  
14 relationship; I don't think it was huge. Can  
15 anybody help me with that?

16 DR. COCCHETTO: Dr. Wald is pressing to  
17 comment.

18 DR. GULICK: Please state your name and  
19 your affiliation.

20 DR. WALD: Anna Wald, University of  
21 Washington. Although short duration of  
22 relationship was a risk factor for HSV-2  
23 acquisition in this study, there was no interaction  
24 between the valacyclovir effect and the short  
25 duration of relationship.

1 DR. LIDDLE: This is Roger Liddle again,  
2 if I can just follow-up, I think the relative risk  
3 was a factor of about 2.5 so it was a fairly  
4 significant change, duration of relationship  
5 related to acquisition rate, the relative risk was  
6 a factor of 2.5.

7 DR. MATHEWS: I would suspect you didn't  
8 have a large power to detect an interaction given  
9 the number of events.

10 DR. LIDDLE: I am sure that is true.

11 DR. MATHEWS: One last question relates to  
12 the condom use. Dr. Haverkos showed us  
13 cross-sectional data on the frequency of reported  
14 condom use before starting the study and on study.  
15 It might be helpful to know cross tabulation. For  
16 example, the people who were never using condoms  
17 before the study, what proportion of them started  
18 using them? The analyses you showed were just  
19 cross-sectional and didn't show a lot of change,  
20 but was there mobility when you look within  
21 subjects across time?

22 DR. SMITH: I think we looked at that and  
23 we didn't see that much of a difference. I can't  
24 remember if we have it on a backup slide but we  
25 could maybe look for that, if we have it.

1 DR. MATHEWS: This will come up later in  
2 the discussion but if, in a setting like this where  
3 there was a conscious intent to educate and  
4 encourage condom use, you really don't see any  
5 effect it raises questions about, if the indication  
6 is granted, how effective any educational programs  
7 along with it will be.

8 DR. GULICK: Let's hold that thought for  
9 the discussion but that is an important point.

10 DR. COCCHETTO: Can I add something to  
11 that?

12 DR. GULICK: Let's actually not add  
13 anything at this point in the interest of time.  
14 This is one of the questions we will be facing in  
15 the afternoon so let's not.

16 DR. COCCHETTO: Let me just say we have  
17 looked at those data.

18 DR. GULICK: Okay, thanks. Dr. Potter?

19 DR. POTTER: Yes, actually I was concerned  
20 about the fact that there were 4,000 people, there  
21 were attempts to recruit 4,000 with 1,500 actually  
22 included. It was mentioned that for most of them  
23 it was because the partner was already  
24 seropositive. But I wanted to know about the rest  
25 of that group. You know, what proportion was

1 because they were already seropositive? What were  
2 the reasons for refusal? Because this would  
3 reflect the typical user as opposed to the more  
4 perfect user that takes part in a study like this.

5 DR. GULICK: So, the causes of screen  
6 failures, the proportions?

7 DR. POTTER: Yes.

8 DR. HARDING: What I said was that the  
9 most common reason was the lack of serodiscordancy.  
10 In fact, overall it was about 30, 34 percent so it  
11 wasn't the majority. As the study went on and  
12 recruitment got more difficult, more advertising  
13 was done and people came forward, thinking that  
14 they might want to participate in the study or they  
15 might just want a free serology test. So, there  
16 was about another 20 percent and the source partner  
17 was not confirmed to have HSV-2 by serology. That  
18 has not accounted for about 54 percent of the  
19 subjects. I think there was another big chunk,  
20 probably about 30 percent, who, when they found out  
21 what was required of this study with all this  
22 personal, intrusive stuff and the duration of the  
23 study, they refused to participate. In fact, there  
24 were three sorts of major reasons, not just lack of  
25 discordance.



1 DR. POTTER: My real question was about  
2 that last group. If they were the people who would  
3 have had more trouble complying with the regimen,  
4 you wouldn't have data on that I guess. And, the  
5 same thing happens later--I am not sure of the  
6 direct link here but track of compliance with the  
7 Valtrex itself during the course of the study. In  
8 other words, if people refused to participate, was  
9 there a proportion that refused because they  
10 thought they couldn't follow the regimen that  
11 carefully and then, during the study was there  
12 track of compliance to see how forgiving the method  
13 is? Does that make any sense?

14 DR. GULICK: Dr. Cocchetto?

15 DR. COCCHETTO: I think so. You can help  
16 me further. On the first part of your question, at  
17 the point of screening where couples were  
18 considering the trial they considered the entirety  
19 of the trial. So, they would include consideration  
20 of their ability to adhere over an eight-month,  
21 double-blind period to study medication, as well as  
22 the need for monthly follow-up visits, laboratory  
23 specimens and so on. We don't have specific data  
24 on which component of that drove their  
25 decision-making. Anecdotally, as Dr. Harding has

1 said, the personal intrusiveness seems to be the  
2 dominant factor pre-study. During the study we did  
3 use a straightforward table count methodology to  
4 track medication compliance and we could share that  
5 with you perhaps this afternoon.

6 DR. POTTER: Thank you.

7 DR. GULICK: Yes, Dr. Guinan?

8 DR. GUINAN: Thank you. I would like to  
9 see gender stratification breakdown on the substudy  
10 on viral shedding and the results. Thank you.

11 DR. GULICK: Was that clear?

12 DR. HARDING: We have not done that  
13 analysis as yet.

14 DR. GULICK: Other members of the  
15 committee who haven't had a chance to ask questions  
16 who would like to? Dr. Fletcher?

17 DR. FLETCHER: I have two that I think are  
18 quick. First, the say, at least in the sponsor's  
19 briefing booklet, that amendment IV is presented,  
20 it says the sample size was revised in order to  
21 observe 28 confirmed endpoints. As I read it, and  
22 I think this kind of follows Dr. Fisher's comment,  
23 I was expecting to see 28 endpoints and there are  
24 not. So, was there agreement between the sponsor  
25 and the agency that there did not have to be 28

1 endpoints?

2 DR. HARDING: Yes, the protocol started  
3 off with 1,500 but actually, strictly speaking  
4 statistically, it is the endpoints that matter and,  
5 therefore, I think the protocol was amended to  
6 reflect that degree of finesse. What happened in  
7 practice was we were having considerable difficulty  
8 enrolling subjects, as you have heard, and then in  
9 May of 2001 we had achieved 23 possible endpoints  
10 because, obviously, there was ongoing review as  
11 faxes came in, information and so on. So, giving  
12 the sites sort of six weeks to complete their  
13 period between screening and enrollment, and then  
14 another eight months for subjects we reckoned we  
15 would probably achieve over that sort of ten-month  
16 period another five endpoints because that was our  
17 estimated rate, and it turned out that we had about  
18 1,500 couples; we anticipated 28 endpoints but we  
19 didn't achieve them.

20 DR. FLETCHER: Then my second question,  
21 again just to make sure I am clear, what the  
22 sponsor is requesting on the dosing is no statement  
23 regarding duration so 500 mg once daily but nothing  
24 on the duration of therapy. Is that correct?

25 DR. COCCHETTO: In our proposed labeling

1 for duration it is quite explicit about the nature  
2 of the 3009 study in stating that the study was  
3 conducted for an eight-month, double-blind period.  
4 We have also proposed a statement elsewhere in the  
5 labeling to be clear that efficacy beyond that  
6 eight-month duration has not been demonstrated.

7 DR. GULICK: Are there other members of  
8 the committee who haven't had a chance to ask  
9 questions--I will come back to you, Dr. Guinan--who  
10 would like to ask questions?

11 [No response]

12 I have a few and then I will come back to  
13 you. One of my questions concerns the endpoint  
14 committee. I am trying to get a feeling for  
15 actually how the cases were evaluated in terms of  
16 what was available to the endpoint  
17 committee--history, pictures, what kinds of things  
18 were looked at?

19 DR. COCCHETTO: We are fortunate to have  
20 Dr. Corey here who chaired the endpoint committee.  
21 I will ask Dr. Corey to comment.

22 DR. GULICK: Great!

23 DR. COREY: As Dr. Harding said, there was  
24 both real-time monitoring and then a formal  
25 evaluation at the end of the endpoint committee.

1 The charts were all reviewed for any clinical signs  
2 and symptoms of genital herpes. All the laboratory  
3 data from the cultures and PCRs were made available  
4 and a serial line listing on each individual case  
5 was given on the serologies. So, the endpoint  
6 committee did all these evaluations in a blinded  
7 fashion. The definitions, of course, were agreed  
8 upon prior to the onset of the study. We actually  
9 reviewed the definitions before our formal endpoint  
10 meeting and agreed there would be laboratory  
11 confirmation that would be critical, essentially  
12 necessary to require a case, and the focus was were  
13 the signs and symptoms compatible with genital  
14 herpes from the narrative as it relates to the  
15 finding? Was it conceivable that these signs and  
16 symptoms were related to the laboratory  
17 confirmation of the test?

18 Of all the endpoints, actually there was  
19 really 100 percent unanimity on the endpoint  
20 committee on all except one endpoint, which we  
21 ended up classifying as an asymptomatic acquisition  
22 in a case that clearly had HSV-2 seroconversion,  
23 had some very vague symptoms and signs that were  
24 related to the general area that were prolonged  
25 itching that the majority of the members felt could

1 not be associated convincingly enough at the time  
2 of the signs and symptoms with the seroconversion  
3 to be called a clinical endpoint. It certainly was  
4 a total overall acquisition. That was the only  
5 endpoint that had any dispute or difference among  
6 the six members of the endpoint committee.

7 DR. GULICK: Just so I understand, the  
8 investigator would do a clinical evaluation; write  
9 up a history of what went on; serologic testing  
10 would be done; and then the endpoint committee  
11 would receive--

12 DR. COREY: That, as well as the fact that  
13 there was 100 percent monitoring by the sponsor on  
14 those narratives. So, the narratives were really  
15 confirmed not only by the investigator but by the  
16 monitoring. They were written up and the  
17 narratives were made available with all the  
18 laboratory testing, as well as what the clinician  
19 diagnosed and whether medication was dispensed for  
20 an incident case.

21 DR. GULICK: As I understand, of the 51  
22 endpoints that were rejected, in all but three it  
23 was because the serologic test was negative?

24 DR. COREY: Correct.

25 DR. GULICK: We heard about the other

1 three and why they were different.

2 DR. COREY: Correct.

3 DR. GULICK: Thanks. My next question is  
4 about resistance. Did I understand correctly that  
5 ten viral isolates were available to be tested for  
6 resistance? Yes.

7 DR. GULICK: So, it was from the source  
8 patient obviously, and that is pretty much the  
9 extent of the resistance information that is  
10 available?

11 DR. COCCHETTO: Well, let's clarify that  
12 further. Dr. Harding, do you want to comment on  
13 those ten specifically?

14 DR. HARDING: There were ten cultures from  
15 the susceptible--

16 DR. GULICK: Susceptible?

17 DR. HARDING: And one from Canada which is  
18 currently being tested for resistance.

19 DR. GULICK: And no evidence of resistance  
20 in any of those cases?

21 DR. HARDING: Not at all.

22 DR. GULICK: Then, one question for the  
23 agency. Reading through the background material,  
24 apparently the agency initially suggested that two  
25 studies would be preferred. What we have seen here

1 is one large study. I wonder if you could comment  
2 on the discrepancy between those two  
3 recommendations.

4 DR. BIRNKRANT: I believe the original  
5 recommendation for more than one study was to be  
6 able to capture a more diverse patient population,  
7 and it was left to the applicant to decide whether  
8 to do one or two trials.

9 DR. GULICK: Thanks. Dr. Guinan, I am  
10 going to go back to you. I bet I got your  
11 question, didn't I? Anyone else who hasn't had a  
12 chance to ask a question? Dr. Stanley, I have  
13 forgotten you.

14 DR. STANLEY: No, you all have clarified  
15 all the questions I had.

16 DR. GULICK: Super! We will have  
17 additional time for questions in the afternoon. At  
18 this point I would like to go to the open public  
19 hearing part of the agenda. We have four people  
20 who have signed up previously to speak at the open  
21 public hearing, actually five. The first one is  
22 Dr. James Allen who is from the American Social  
23 Health Association.

24 Open Public Hearing

25 DR. ALLEN: Thank you, Mr. Chairman. I am



1 James Allen, President and CEO for the American  
2 Social Health Association, also known as ASHA. We  
3 appreciate the opportunity to comment on approval  
4 of valacyclovir suppressive therapy to reduce the  
5 risk of transmission of genital herpes. ASHA is a  
6 nonprofit organization that has focused on  
7 education and prevention of sexually transmitted  
8 diseases since 1914.

9 We have operated a National Herpes  
10 Resource Center for the last 24 years. Through  
11 this center and our associated services, such as  
12 the National Herpes Hotline, the National STD  
13 Hotline, Internet-based services, local support  
14 groups and involvement with an international  
15 patient advocacy movement, we interact with tens of  
16 thousands of people affected by herpes every year.  
17 Because of this background and the work that we do,  
18 ASHA would like to address the issue of preventive  
19 antiviral therapy to reduce the risk of  
20 transmission of genital herpes from a patient  
21 advocacy perspective.

22 Our comments today reflect our strong  
23 history of patient advocacy and the information and  
24 concerns we have gleaned from contact with people  
25 living with herpes. ASHA fully supports approval

1 of the GlaxoSmithKline application for valacyclovir  
2 suppressive therapy in reducing the risk of  
3 transmission of genital herpes.

4 As a prelude to this statement, ASHA  
5 discloses that we have received charitable grants  
6 from GlaxoSmithKline, as well as from other  
7 pharmaceutical companies, to support our herpes  
8 educational activities and resources. These monies  
9 have been provided for specific activities to be  
10 conducted by ASHA such as operation of the Hotline  
11 or Resource Center or convening of a scientific  
12 meeting, but we have not used these funds for  
13 promotion, either directly or indirectly, of  
14 products or services related to the pharmaceutical  
15 companies providing this support. The message and  
16 information provided by ASHA are determined by an  
17 independent scientific and medical review and are  
18 not related in any way to funding from specific  
19 manufacturers.

20 One of the most prominent concerns  
21 expressed repeatedly to ASHA by people with genital  
22 herpes and their uninfected partners is the risk of  
23 transmission. Quite apart from the physical  
24 aspects of recurring signs and symptoms, genital  
25 herpes can create continuing anxiety and

1 psychological distress. From a patient  
2 perspective, it is extremely difficult to adjust to  
3 the uncertainty of this infection--the fact that  
4 one might be infectious to others even at times  
5 when no signs or symptoms are present. Affected  
6 people and their partners want to know what they  
7 can do, what preventive steps they can take.  
8 Unfortunately, the options for reducing risk have  
9 been limited. An effective vaccine for the herpes  
10 simplex virus does not exist and prevention  
11 alternatives for this chronic, lifelong infection  
12 are not perfect or reliable.

13 ASHA encourages infected persons and their  
14 partners to consider any and all of the options  
15 available. We advice infected people to disclose  
16 this information to their partner, to have open  
17 communication and discussions, and to abstain from  
18 sexual contact if symptoms or signs of infection  
19 are present. Each of these has an important place  
20 in the prevention message. ASHA promotes  
21 consistent and proper condom use as well, with the  
22 important caveats that condoms should not be relied  
23 upon during symptomatic periods and that condoms  
24 are never 100 percent effective.

25 Clearly, however, more choices are needed.

1 People with genital herpes have long wanted to know  
2 whether antiviral medications would be helpful in  
3 reducing risk of transplantation, and for years we  
4 have informed them we have no data. The results of  
5 the herpes suppression transplantation study, which  
6 you have heard today and that was presented by Dr.  
7 Lawrence Corey of the University of Washington at  
8 the Interscience Conference on Antimicrobial Agents  
9 and Chemotherapy in September, 2002, however,  
10 provide convincing evidence that suppressive  
11 therapy is effective at reducing both the frequency  
12 of clinical recurrences and the risk of  
13 transmission of infection to an uninfected partner.  
14 This information significantly substantiates the  
15 claim that reducing the risk of herpes transmission  
16 should be a labeled indication for valacyclovir.  
17 Such a step will give physicians and people with  
18 genital herpes another option to consider as a risk  
19 reduction method.

20 In conclusion, ASHA believes that people  
21 with genital herpes and their partners should have  
22 more information about risk reduction options,  
23 beginning with the counseling they receive from  
24 their healthcare providers, and they need more  
25 choices to consider when faced with the need to

1 reduce to a minimum any risk of transplantation of  
2 herpes infection to a partner. Given the  
3 information currently available, ASHA urges the  
4 Food and Drug Administration to approve the  
5 GlaxoSmithKline application for valacyclovir as  
6 suppressive therapy to reduce the risk of  
7 transplantation of genital herpes. Thank you.

8 DR. GULICK: Thank you very much. Next is  
9 Mr. Gray Davis who is Director of the HIV  
10 Prevention Trials Network. Oh, I am sorry, that is  
11 clearly a grave error, Ms. Gray Davis, Dr. Gray  
12 Davis. Thank you.

13 DR. DAVIS: You will get it right soon!

14 DR. GULICK: Thank you.

15 DR. DAVIS: I guess we have established  
16 that my name is Gray Davis and I am the Director of  
17 HIV Prevention Trials for Family Health  
18 International. I am here today not as a  
19 representative of any organization but as a private  
20 citizen with a background in the field.

21 GlaxoSmithKline did not ask me to come,  
22 nor are they supporting my attendance in any way,  
23 nor were they informed that I would be here. In  
24 the past I worked for Burroughs Welcome Company and  
25 then for Glaxo Welcome as the international project

1 leader for acyclovir, which is the parent compound  
2 to valacyclovir.

3 I am here to talk about the importance of  
4 prevention. Managing an epidemic requires more  
5 than just having an effective treatment for  
6 outbreaks. It requires prevention interventions,  
7 diagnostic tools and counseling techniques. Given  
8 that at least 11 million people got infected with  
9 HSV-2 between 1980 and 1990, and since little has  
10 changed in the way we manage this disease, we are  
11 likely to see another 15 million people infected  
12 between 1990 and 2000. This is of particular  
13 concern since, as with other STDs, women are more  
14 severely affected and bear the burden of some of  
15 the more devastating outcomes of genital herpes.

16 Today we have an opportunity to have a  
17 major impact on the transmission of this infection.  
18 By approving Valtrex for prevention of transmission  
19 you will give clinicians one of the much needed  
20 tools to combat this disease. Provision of an  
21 effective prevention strategy will empower people,  
22 especially women, to make decisions and take  
23 control of their lives. Women can encourage their  
24 partners to wear condoms but they can't always  
25 enforce that. This will be an intervention with

1 equal opportunity for everyone.

2           The availability of Valtrex will  
3 substantially enhance the provider options on how  
4 to control this disease. The very act of writing a  
5 prescription will provide a window of opportunity  
6 for counseling. Patients can be encouraged to talk  
7 to their partners, to use condoms, to avoid sex  
8 during an outbreak, and to take daily therapy.  
9 Each of these strategies are complementary and  
10 provide additional tools in the toolbox for  
11 prevention. None should be considered exclusive of  
12 the others.

13           Why has genital herpes gotten so out of  
14 control? Perhaps because it is an STD we are  
15 uncomfortable talking about it. Both clinicians  
16 and patients may be reluctant to bring up the  
17 subject because society has labeled people with  
18 STDs as somehow dirty, or stupid, or deserving of  
19 what they got. Why would you want to talk about  
20 something like that?

21           Clinicians have said that the reason they  
22 didn't want to bring up the subject of herpes was  
23 because they knew the patient would get upset about  
24 it; they didn't know what to tell the patient; and  
25 they weren't confident of the test. After all, 50

1 percent of the time cultures are falsely negative.  
2 Once diagnosed, there wasn't much they could do for  
3 the patient anyway. So, rather than bring up the  
4 subject, they elected not to talk about it. Why  
5 tell the patient that they have a disease they  
6 don't know they have? However, how can you control  
7 an epidemic if 80-90 percent of the people who are  
8 infected don't know that they are infected?

9           Today we have many of the needed  
10 interventions to reduce the spread of this  
11 infection. Reliable, accurate diagnostic tests  
12 that can identify infected individuals are now  
13 available. Clinicians can accurately diagnose  
14 patients in their office using a diagnostic test as  
15 well as by drawing blood to send to a central lab  
16 for both diagnosis of HSV-1 or HSV-2. Thus,  
17 accurate diagnostic tests are now available to  
18 everyone.

19           We also know what to say once a patient is  
20 diagnosed. Hotlines, written materials and web  
21 sites are available to both clinicians and patients  
22 to help them understand the disease and to provide  
23 accurate, non-judgmental information. As you heard  
24 earlier from Dr. Allen, the American Social Health  
25 Association has a hotline available five days a



1 week from 9:00 a.m. to 7:00 p.m. This hotline is  
2 free of charge and is an excellent resource for  
3 both clinicians and for people with herpes.  
4 Clinicians can now refer their patients who need  
5 more lengthy consultation to a reliable source for  
6 information, and patients have a place to call for  
7 anonymous accurate information. The counselors at  
8 ASHA will spend as much time as needed to provide  
9 the best support for the caller. Written materials  
10 provided by ASHA and other organizations are also  
11 available.

12           Lastly, information is available on the  
13 web for anyone who wants to know more about this  
14 disease. The American Herpes Foundation and the  
15 American Medical Association have information and  
16 CME courses for clinicians. Another site,  
17 [herpesdiagnosis.com](http://herpesdiagnosis.com), provides information for both  
18 clinicians and patients on how to diagnose and  
19 manage this disease. It also provides check lists  
20 for the clinicians regarding what to tell the  
21 patient, and for the patient regarding what  
22 questions to ask the clinician.

23           So, now we can accurately diagnose this  
24 infection and we can accurately provide counseling  
25 to the patient. The next step is to provide a

1 therapeutic intervention. The availability of  
2 Valtrex for reduction in transmission enhances our  
3 armamentarium for the control of this disease. As  
4 stated earlier, it is just another tool in the  
5 clinician's toolbox. We can now accurately  
6 identify those infected. We can educate about the  
7 natural history of the disease. We can teach  
8 patients to recognize recurrences. We can help  
9 them find ways to talk to their partners. We can  
10 instruct them to wear condoms, and we can offer an  
11 easy daily therapy to reduce transmission.

12           Whenever the FDA is asked to approve a  
13 drug they have to weigh the benefits of therapy  
14 against the potential toxicities. Rarely is there  
15 a case in which the benefits of therapy so far  
16 outweigh the potential risks. Valtrex has an  
17 impressive safety profile which makes your decision  
18 today much easier. You can concentrate on whether  
19 or not the benefits of this medication warrant its  
20 approval.

21           Some decision-makers seem to think that  
22 herpes is a benign infection with no severe  
23 sequelae. However, as with much of the history of  
24 herpes infections, the more we know about this  
25 disease the more we are surprised to find out how

1 our beliefs are wrong. Neonatal herpes affects one  
2 in 3,000 births in the United States. That is  
3 about four babies a day. The best way to prevent  
4 neonatal herpes is by preventing the mother from  
5 becoming infected in the first place.

6 As Dr. Handsfield presented earlier this  
7 morning, there is also the link with both  
8 transmission and acquisition of HIV. If we have  
9 learned anything from our African friends, it  
10 should be the lessons learned from herpes. In  
11 countries most severely affected by HIV, the herpes  
12 epidemic predated the emergence of HIV. In each of  
13 the countries in which we know the seroprevalence  
14 of herpes infections, the higher the seroprevalence  
15 of HSV, the higher the seroprevalence of HIV.  
16 Needless to say, we need to do everything within  
17 our means to prevent these infections.

18 Finally, the investigators, the company  
19 and, most importantly, the patient should be  
20 commended for undertaking this trial. I am  
21 currently trying to do similar prevention trials  
22 for HIV. It is extremely difficult to get patients  
23 to participate in transmission studies.  
24 Identifying discordant couples and getting them to  
25 agree to participate in a placebo-controlled trial

1 is a challenge. People are uncomfortable  
2 acknowledging that their relationship has placed  
3 them at risk of acquiring a sexually transmitted  
4 disease. Many people, while being aware of the  
5 risk on a certain level, have a hard time  
6 acknowledging it by actually participating in a  
7 trial. Other patients, when hearing the rationale  
8 for the study, decide to just start taking the  
9 medication. Why risk getting randomized to a  
10 placebo? All these challenges were met and  
11 overcome by perseverance, innovative recruitment  
12 strategies and dedicated participants. They should  
13 be commended for conducting such a challenging  
14 study.

15 So, today you have the opportunity to do  
16 something to empower patients and clinicians to  
17 help control this epidemic. You have the ability  
18 to empower people, especially women, to take  
19 control of their sexual health, and you have the  
20 ability to approve a medication that is both safe  
21 and effective for the prevention of transmission of  
22 herpes infections. All the decisions in your life  
23 should be this clear!

24 DR. GULICK: Thank you, Dr. Davis. Next  
25 is Dr. Hunter Handsfield from the University of

1 Washington.

2 DR. HANDSFIELD: Thank you. I put my name  
3 in as a place holder in case there were issues that  
4 came up that I thought were particularly important  
5 that didn't arise, and that hasn't happened.

6 In the interest of disclosure, I will say  
7 that as a public health official responsible for a  
8 large HSV control program, I support the  
9 application on both clinical and public health  
10 grounds, and I think it is also fair to point out  
11 that Dr. Haverkos and others at the agency were  
12 aware of that support when they invited me to speak  
13 this morning. Thank you.

14 DR. GULICK: Thank you, Dr. Handsfield.  
15 The fourth person to sign up is Mark Wasserman, who  
16 is the co-leader of HELP of Washington. He is  
17 unable to be here today but has a written statement  
18 that was made available to members of the committee  
19 and it is at the registration table as well. It is  
20 not very long, perhaps I will just read through it  
21 briefly:

22 Subject: Submission for FDA hearing on  
23 Valtrex supplemental new drug application. This  
24 letter for consideration by the FDA advisory  
25 committee is to support the GSK application for

1 Valtrex suppressive therapy to reduce the risk of  
2 transmission of genital herpes.

3           For the past 20 years, I have been a  
4 member and leader of the Washington area herpes  
5 support group, HELP of Washington. In that  
6 capacity, I have heard the personal stories of  
7 thousands of people with herpes who have  
8 participated in our meetings.

9           It may be difficult for someone without  
10 herpes to grasp the shock, anger, depression, fear  
11 and loneliness that a person with the virus may  
12 well experience. One of the most often stated  
13 sources of this emotional anguish is the fear of  
14 spreading the disease to a sexual partner during  
15 the most intimate act of human nature. Even after  
16 people with herpes have overcome the initial  
17 emotional distress that accompanies a diagnosis of  
18 herpes, many continue to have difficulty carrying  
19 on a normal social life because of this fear of  
20 transmission.

21           Fortunately, the new research showing that  
22 daily use of Valtrex significantly reduces the risk  
23 of transmission has given hope and encouragement to  
24 our members. For many, this information has helped  
25 them better deal with emotional problems of living,

1 dating, telling and loving with herpes. For many  
2 uninfected partners of our members, the new has  
3 meant that they too can more easily accept having  
4 intimate relations with someone with herpes.

5 It is important that the results of this  
6 research reach a much wider audience of people with  
7 herpes and their medical practitioners. FDA  
8 approval of the supplemental new drug application  
9 would help achieve that goal.

10 Finally, from a public health medical  
11 perspective, it is important to curtail the spread  
12 of herpes. The new research shows that Valtrex  
13 helps achieve that goal. Both in the interest of  
14 reducing the spread of this disease and reducing  
15 the debilitating emotional distress that often  
16 accompanies the disease, HELP of Washington  
17 strongly urges the cm to approve the supplemental  
18 new drug application for Valtrex. It is signed  
19 Mark Wasserman.

20 Our last person to sign up to speak at the  
21 open public hearing is Curtis Phinney, also from  
22 HELP of Washington. I hope that you weren't  
23 planning to read the letter that I just read.

24 MR. PHINNEY: No, Mark had the easy part.  
25 My name is Curtis Phinney and I am a consumer

1 advocate for people with viral STIs other than AIDS  
2 and HIV, loosely under the auspices of HELP of  
3 Washington, DC.

4 I am currently speaking on an ad hoc basis  
5 at the Johns Hopkins University, Bloomberg School  
6 of Public Health in a nursing preceptorship program  
7 there, administered under the auspices of Keith  
8 Aimmerman and Dr. Ann Rampalo.

9 When I speak to people outside of the  
10 consumer group I always like to say that I have  
11 five things that are important about genital  
12 herpes. That is, genital herpes is chronic,  
13 contagious, preventable, treatable, and I think  
14 perhaps most importantly very serious. I think  
15 that there are two things that are relevant to the  
16 committee here this afternoon that may not be  
17 intuitive to people outside of the consumer  
18 population, and one of those has been touched on  
19 already, and that is one of the primary concerns of  
20 newly diagnosed consumers, people who join the  
21 club, if you will, is the risk of transmission to  
22 an uninfected partner. This causes a tremendous  
23 amount of psychosocial morbidity associated with  
24 the condition, not only the possibility of  
25 infecting a current partner, but also adding to the



1 complexity of attracting and retaining new  
2 partners.

3           The other thing that I think has been  
4 touched on earlier is that there has been very  
5 little available, other than counseling, in terms  
6 of direct chemotherapy intervention for people with  
7 herpes that will aid in the dynamics of disclosure.  
8 People are not open and honest about having this  
9 condition. I came to the realization a decade ago  
10 that dishonesty and denial were important factors  
11 in the transmission of this condition. That is one  
12 of the reasons that I chose to break my anonymity  
13 with regard to my serostatus. It is my opinion  
14 that being able to offer people with this condition  
15 a tool that will prevent or reduce the possibility  
16 of transmission to an uninfected partner could have  
17 a profound positive influence on the dynamics of  
18 disclosure and help not only to dispel the stigma  
19 but to also give people a toe-hold in initiating an  
20 extremely difficult conversation, laying themselves  
21 vulnerable to somebody that they are nervous in the  
22 presence of anyway, and being able to say that this  
23 treatment will reduce the possibility of getting  
24 involved with me having a negative influence on  
25 your health.

1           Finally, I would just like to close, I  
2 have a short paper out in the lobby on the impact  
3 of prophylactic treatments for people with HSV and  
4 HPV, and also contact information is included in  
5 that package as well. That is really all I have  
6 this afternoon. Thanks very much.

7           DR. GULICK: Thank you. That concludes  
8 the people that signed up to speak at the open  
9 public hearing. Is there anyone else who did not  
10 sign up who would like to make a statement at this  
11 time?

12           [No response]

13           Then we will close the open public part of  
14 this meeting, which brings us to lunch. It is  
15 12:20. We will reconvene at 1:15.

16           [Whereupon, at 12:20 p.m., the proceedings  
17 were recessed for lunch to reconvene at 1:15 p.m.]

18   - - -

1                   A F T E R N O O N   P R O C E E D I N G S

2                   DR. GULICK: We will reconvene. Welcome  
3 back from lunch. Just a reminder both for the  
4 committee and people observing, there are the  
5 surveys about conflict of interest, if you could  
6 complete them and either mail them back in, or  
7 there is a box at the registration desk, that would  
8 be appreciated.

9                   We left one unanswered question at the end  
10 of lunch, which was posed by Dr. Potter about the  
11 compliance on the study. So, if we could take a  
12 look at those data.

13                  DR. COCCHETTO: Sure. Dr. Potter, I asked  
14 Dr. Roger Liddle to look at that and we want to  
15 focus initially on the information that we have on  
16 source partner compliance among the primary  
17 endpoints and also the overall acquisitions.

18                  DR. LIDDLE: Could you bring up slide E23?

19                  [Slide]

20                  This just shows us the compliance rates  
21 for the primary endpoints, for the primary  
22 infection transmissions. Obviously, more interest  
23 is on the Valtrex side. Three of the four  
24 transmissions on active treatment were in the  
25 greater than or equal to 95 percent compliance.

1 There was one that was lower but it was in the 80,  
2 85 percent range. The other slide that might be of  
3 interest would be E37.

4 [Slide]

5 If you are looking at overall acquisition,  
6 the same sort of information for all acquisitions,  
7 again, there was one patient where I think we  
8 actually had some missing data. If the treatment  
9 stop date is missing, then we sort of don't have  
10 the denominator to calculate the compliance figure.  
11 So, I think the one that shows up there is less  
12 than 80, a case where we had missing treatment stop  
13 date, but overall the compliance was pretty good  
14 among the endpoints so there is no strong signal  
15 there.

16 DR. POTTER: What about overall?

17 DR. LIDDLE: Overall? Let's pull up S5.

18 [Slide]

19 It is a little harder to look at this  
20 slide but I think here, if you look under placebo  
21 down to greater than or equal to 80 percent  
22 compliance, you will see 91 percent. The same  
23 figure for Valtrex is 93 percent. The only thing  
24 sort of disturbing I guess about this slide is  
25 there are 40, 41 patients listed under zero with

1 the asterisk. What happened here is there were  
2 patients for whom we had no stop date and,  
3 therefore, it is really missing so that zero really  
4 includes these patients for whom we didn't have a  
5 good compliance figure because we didn't know on  
6 what date the treatment was stopped.

7 DR. GULICK: This information is based on  
8 pill counts? Is that correct?

9 DR. LIDDLE: Yes, that is correct.

10 DR. GULICK: Great, thank you. We will  
11 turn now to Dr. Birnkrant for the charge to the  
12 committee.

13 Charge to the Committee/Questions for Discussion

14 DR. BIRNKRANT: Well, this afternoon we  
15 are looking for a discussion by the committee on  
16 the interpretation of the results presented this  
17 morning. We are particularly interested in the  
18 relevance of the endpoint and the impact of the  
19 dropout rate on the trial results.

20 In addition, we are very interested in the  
21 applicability of the data to other populations. We  
22 are looking for comments with regard to screening  
23 as well.

24 Lastly, we are looking for the committee's  
25 interpretation of the data and the impact on public

1 health with regard to condom use and abstinence  
2 during outbreaks. So, I think we can turn to the  
3 first question at this point.

4 DR. GULICK: So, the first question for  
5 the committee to consider is does the information  
6 presented by the applicant support the use of  
7 valacyclovir to reduce the risk of transmission of  
8 genital herpes among monogamous heterosexual  
9 couples? Let's have some discussion about the  
10 information that we saw today in terms of safety  
11 and efficacy, and some other issues that people  
12 might want to raise. We will start with Dr. Pazin.

13 DR. PAZIN: I am very impressed by the  
14 data that was presented this morning and that we  
15 had previously been given. I think it is a very,  
16 very well done study. There are a couple of  
17 comments I would like to make. For instance, Dr.  
18 Smith sort of alluded strongly to the people that  
19 are dropouts and I think for anyone who has ever  
20 done one of these studies with genital herpes a 20  
21 percent dropout from 1,400 couples is actually a  
22 pretty small dropout. I am not troubled by that at  
23 all.

24 I am a little bothered by the data about  
25 Australia and Canada. It was so swayed towards the

1 efficacy of valacyclovir that you can't help but  
2 wonder what if that group had not been included,  
3 would the numbers still be statistically  
4 significant? They wouldn't be as significant as  
5 they are, clearly.

6           The second comment I want to make is that  
7 I have always been impressed by the scientific  
8 validity of many of these studies sponsored by  
9 industry basically, and I think this is another  
10 good example. It is a very, very well done study  
11 and I think perhaps the collaboration with the FDA  
12 helped that along. I am not as equally impressed  
13 by the marketing people from companies and I think  
14 I would caution them that everything I got said  
15 that it reduces transmission and I think that that  
16 is pretty well borne out. I think they like to use  
17 the words prevents transmission and I think that is  
18 a term that often conveys 100 percent or effective  
19 in preventing transmission. When you say  
20 effective, it sounds like it is 100 percent. I  
21 would say that the committee ought to caution the  
22 company to say that it is partially effective or  
23 partially preventive or, as they have said in the  
24 materials we have gotten, that it reduces  
25 transmission.

1           The third comment I would like to comment  
2 on is something I just found out yesterday. I  
3 happen to stop by our pharmacy and I inquired as to  
4 the cost of acyclovir and the cost of valacyclovir.  
5 It turns out, in our hospital, acyclovir 200 mg is  
6 five cents; 800 mg is 20 cents. That is the daily  
7 cost. But 450 mg of valacyclovir is \$17.25, 86  
8 times as much. I think the optimism that I heard  
9 about the utility of this, if you really get down  
10 to it, an ordinary poor person is not going to be  
11 able to afford this drug and I think that we should  
12 somehow--if they are going to have this indication,  
13 we should somehow try to suggest that perhaps the  
14 cost is a consideration. I deal with patients.  
15 Formerly I was primarily a research doctor but now  
16 I deal with patients and cost is a very, very  
17 important thing. So, I just wanted to make those  
18 comments regarding the studies.

19           DR. GULICK: Thanks. Other comments? Dr.  
20 Sherman?

21           DR. SHERMAN: I am curious if the sponsor  
22 has any sort of cost-benefit analysis information.  
23 During lunch I was kind of jotting down numbers and  
24 calculations on the back of a piece of paper,  
25 looking at the number needed to treat for effect.



1 Obviously, with a transmission rate that is in the  
2 two to three percent range, reduced to a half  
3 percent range, you have to treat for extended  
4 periods of time many patients to get that benefit.  
5 You know, I freely admit that there is a large  
6 number of patients infected but when I looked also  
7 at the numbers related to cost of the product as  
8 listed, current retail cost, you know, again just  
9 back of pad calculations came out to some place  
10 between \$110,000 and \$120,000 per case prevented,  
11 which goes beyond the typical accepted numbers that  
12 people use in prevention programs--in evaluation of  
13 vaccines, in other interventional procedures.

14 So, I am not questioning the data related  
15 to the efficacy of the treatment. I think that the  
16 sponsor did a great study on a difficult population  
17 and has presented and satisfied my concerns about  
18 efficacy and safety. But I think that at some  
19 place in this equation has to be the answer to the  
20 question that I am posing.

21 DR. GULICK: Would the sponsor like to  
22 respond? Is there any cost-benefit analysis data?

23 DR. COCCHETTO: A couple of comments.  
24 Part of the response lies in the patient population  
25 that was selected for the trial, as I am sure you

1 recognize. We selected a patient population who  
2 were candidates for suppressive therapy. Let me  
3 ask Dr. Young to comment further from a  
4 cost-benefit perspective and your comments on  
5 numbers needed to treat.

6 DR. YOUNG: Just to pick up on Dr.  
7 Cocchetto's comment, I mean, the way that we have  
8 actually approached this is to think of it in terms  
9 of an incremental benefit for prevention of  
10 transmission, in addition to the benefit that is  
11 already afforded to the person who is actually  
12 receiving suppressive therapy. So, when we think  
13 about the number needed to treat in that  
14 circumstance, it is probably on the order of one or  
15 less than one. Certainly what you do see is a  
16 reduction in the frequency of recurrences, again,  
17 among those individuals who would be receiving  
18 suppressive therapy.

19 We have thought about the number needed to  
20 treat in order to prevent a transmission event, and  
21 what we have come up with is an annualized event  
22 rate of about 40 in terms of the number needed to  
23 treat but, again, the way we have thought about  
24 this really has to do with thinking about what the  
25 incremental benefit is for someone who is already

1 benefiting from suppressive therapy.

2 DR. GULICK: Dr. Englund?

3 DR. ENGLUND: Well, I would just like to  
4 make a comment related to that, particularly from  
5 the pediatric viewpoint, and that is that one case  
6 of neonatal herpes--there are different estimates  
7 as to cost and I am not the expert on this, but a  
8 cost estimate of \$500,000 to a million per case  
9 survivor is certainly typical, and that is because  
10 the children survive. Fifty percent have  
11 prolonged, permanent neurologic sequelae. There is  
12 no institutionalization currently available. They  
13 are requiring special ed. The state is required to  
14 send them to school, which costs a lot of money,  
15 even though many of them have very limited  
16 potential for learning. So, the cost for the  
17 children would be really high and if any  
18 cost-benefit analysis were to be made, I would  
19 strongly urge that the consideration of the  
20 children be considered. Particularly in this small  
21 study for a small period of time, it appeared to me  
22 there were 18 pregnancies during an eight-month  
23 study period. That is a lot of potential babies.  
24 So, the potential benefit to prevention of  
25 transmission to women of childbearing age really

1 needs to be considered when anyone is considering  
2 doing this. I believe the cost and other things  
3 need to be considered, but I don't want to forget  
4 the children out of this analysis, which is  
5 certainly not the primary endpoint of the study but  
6 will be a consideration for people in my clinic and  
7 other clinics when there are couples that want to  
8 have children.

9 DR. GULICK: Other general comments and  
10 then I am going to try to focus us a little bit?  
11 Dr. Fish?

12 DR. FISH: I think adding to that the  
13 information that we learned this morning in terms  
14 of the potential increased greater transmission of  
15 HIV, if one HIV case is not transmitted there would  
16 also be a huge impact there.

17 DR. GULICK: Dr. Guinan?

18 DR. GUINAN: I would just like to add that  
19 for HIV patients with herpes simplex infection this  
20 is an important consideration because probably  
21 their recurrences are much greater and their  
22 asymptomatic shedding is probably more frequent,  
23 although there is limited data. The possibility  
24 that an HIV-positive person will transmit HSV to a  
25 partner who may be negative, you know, discordant

1 partners for both, and I have seen this in  
2 heterosexual couples with a much younger female  
3 partner and the worry is about transmission of HSV  
4 and HIV. I think this subpopulation, although may  
5 be small--it is very important because if the  
6 partner gets HSV infection then they are at higher  
7 risk for getting HIV infection. You see? So, the  
8 primary prevention of HSV acquisition in this  
9 discordant relationship is very important. So,  
10 there are subpopulations where the cost  
11 effectiveness or cost-benefit analysis would be, I  
12 think, quite different depending on what the values  
13 and assumptions are.

14 DR. GULICK: Can I ask Dr. Handsfield or  
15 Dr. Corey about information on HIV-infected  
16 patients in terms of numbers of recurrence of HSV  
17 and amount of viral shedding, just to clarify that  
18 point?

19 DR. COREY: Well, it is very variable.  
20 Certainly CD4 count and viral load are factors and,  
21 as you would expect, the lower the CD4 count, the  
22 higher the viral load, the more shedding. I think  
23 the most surprising thing, however, that has  
24 occurred and in the paper that has been submitted  
25 from our group, is that while therapy decreases the

1 frequency of genital lesions does not decrease the  
2 frequency of total inactivation and subclinical  
3 reactivation. So, it is and continues to be a  
4 problem both in the treated and untreated  
5 populations.

6 DR. GULICK: Thank you. Dr. DeGruttola?

7 DR. DEGRUTTOLA: I would just like to say  
8 in response to this question that I think that the  
9 information presented did support use of  
10 valacyclovir to reduce risk of transmission of  
11 genital herpes, but the results are still not quite  
12 as reliable as one would hope because of lingering  
13 issues about the high withdrawal rate. Even though  
14 I think the study was done under very difficult  
15 conditions and that withdrawal rate may be all that  
16 can be hoped for, there are still some concerns  
17 there as with the geographic variation. Despite  
18 those concerns, I would still answer in the  
19 affirmative.

20 But given that we did see strong evidence  
21 for the effect of valacyclovir on viral shedding,  
22 on number of episodes and so on--and this may come  
23 later, but some further research to try to  
24 understand transmission better might help increase  
25 the degree of certainty that the question truly has

1 been answered in the affirmative.

2 DR. GULICK: Let me just remind the  
3 committee, we will take a formal vote on this  
4 question at the end of this discussion. Don't feel  
5 like you need to say what your vote is going to be  
6 at this point because each person will get the  
7 chance to vote, but comments are welcome.

8 Let me try to focus us a little bit.  
9 Safety, let's consider safety for a minute. We  
10 have heard some sort of general comments. Are  
11 there more specific comments to make about safety  
12 in terms of what we saw? Dr. Guinan?

13 DR. GUINAN: I would say that it is an  
14 impressive record of safety. The adverse reactions  
15 are headaches for the most part. Clinically that  
16 is what I see. What we don't know is long-term  
17 but, even so--you know, what if you treat someone  
18 for 20 years for example--those data are not  
19 available. My suggestion on that would only be  
20 that there needs to be postmarketing surveillance  
21 somehow developed for long-term use of acyclovir  
22 and valacyclovir for trying to understand that. In  
23 other words, does 20 years of therapy mean that  
24 there is a larger risk, or are there just these  
25 rather minor effects that we see, adverse effects

1 of therapy?

2 DR. GULICK: Let me remind the committee  
3 that that is a whole separate question that we are  
4 going to answer about the longer-term side effects.  
5 Yes, Dr. Kumar?

6 DR. KUMAR: When acyclovir is used in high  
7 doses I know it crystallizes and causes stones in  
8 the kidney, and please correct me if I am wrong.  
9 So, I was a little intrigued by the one patient  
10 that had hematuria that the sponsor said may have a  
11 stone. Do we have any further information on that  
12 patient? There was one patient with hematuria.

13 DR. COCCHETTO: We don't have further  
14 information on that patient. Dr. Haverkos  
15 mentioned it in his case presentation and he may  
16 wish to comment.

17 DR. HAVERKOS: Well, I have the narratives  
18 and I actually went back to try to find that  
19 narrative again last night and I couldn't find it,  
20 but it is one that we need to look at again.

21 DR. GULICK: Further comments about  
22 safety? If not, let's turn to efficacy. We  
23 already considered this somewhat. Are there  
24 additional comments about efficacy? Dr. Washburn?

25 DR. WASHBURN: I have a question for Dr.



1 Smith. I too am fascinated by this geographic  
2 breakdown. To put it bluntly, I wonder if the  
3 differences in the observations in Australia versus  
4 eastern Europe are likely to be by chance alone.

5 DR. SMITH: I didn't do a formal  
6 comparison with just Australia compared to eastern  
7 Europe but the overall comparison between all of  
8 these, I think six different regions, is  
9 statistically significant. You know, a p value of  
10 0.01 is quite a bit less than 0.05 although they do  
11 have multiple comparison issues. You know, that is  
12 a good question. I don't know.

13 DR. GULICK: Other comments about  
14 efficacy? Could you introduce yourself to the  
15 committee?

16 DR. SOON: My name is Greg Soon, FDA.  
17 Regarding your question about interactions that you  
18 were asking, is the effect size different between  
19 different regions--I assume that is your question.  
20 If that is your question, then the answer is that  
21 the p value for that was fairly large. I don't  
22 remember the exact numbers but it is somewhere  
23 about 0.3 or 0.5 so it is pretty large. So, really  
24 we do not have evidence to say the true differences  
25 are different between regions, but we do have

1 evidence to say that the response rates are  
2 different among different regions.

3 DR. WASHBURN: Speaking naively, it just  
4 looked like the drug worked in Australia and it  
5 didn't work in eastern Europe. What I am hearing  
6 is that probably wasn't statistically significant,  
7 that I should ignore that, that that is background  
8 noise. I can think of it as a Poisson  
9 distribution; it could have been the other way  
10 around. Is that right?

11 DR. SMITH: Yes, that is probably correct  
12 in terms of the treatment differences. In terms of  
13 the evaluation though, you know, there could be  
14 some kind of response categorization bias or  
15 response category bias where they have different  
16 ways of ascertaining the endpoint in eastern Europe  
17 and western Europe than then do in Australia and  
18 the United States.

19 DR. GULICK: Dr. DeGruttola, would you  
20 agree?

21 DR. DEGRUTTOLA: Well, I can just comment  
22 on what the FDA statisticians are saying and  
23 actually just reviewing the data, because of the  
24 small numbers, it doesn't surprise me that they  
25 don't find an interaction of the treatment effect

1 itself with geographic region, but do find that  
2 geographic region affects the endpoint rate. So,  
3 the analysis that the FDA has reported on seems to  
4 me to jive with what I would expect from looking at  
5 the numbers.

6 DR. GULICK: Dr. Fletcher?

7 DR. FLETCHER: My question is for the  
8 sponsor on the efficacy issue, and I am wondering  
9 whether you have any information, and I realize how  
10 small the sample size is, but it is to the issue of  
11 correlates with efficacy or with the prevention of  
12 transmission. What I am particularly thinking is  
13 in terms of trying to provide information to a  
14 physician, to patients--again if there is a  
15 recommendation for approval--that would use this.  
16 It would seem to me one dose, for example, is not  
17 likely to be effective so there is probably some  
18 duration of time on therapy before there is  
19 efficacy and I am wondering if you have any  
20 information on that issue.

21 DR. COCCHETTO: I think we can help to  
22 some extent with that. Let me ask Dr. Wald to  
23 comment.

24 DR. WALD: Anna Wald, from University of  
25 Washington. In our shedding studies it appears

1 that the amount of virus present after initiation  
2 of antiviral therapy decreases in about three to  
3 four days, and then achieves sort of a complete low  
4 baseline in five days. The same is also true when  
5 you discontinue therapy. There is a slow rise over  
6 three to four days and then it goes back to  
7 baseline levels at five days.

8 DR. GULICK: Additional points about  
9 efficacy to raise? Mr. Ebel?

10 MR. EBEL: I wanted to comment from a  
11 patient point of view on the efficacy question and  
12 to put it in kind of a real-world frame of, you  
13 know, compared to what. Obviously, we have heard  
14 already some pretty compelling statements from  
15 people to the extent that wanting to protect a  
16 sexual partner is a major concern for people who  
17 have genital herpes and the risk reduction measures  
18 they have at their disposal now are very limited.  
19 While condoms are recommended and may be a good  
20 option for a lot of people, I think probably we  
21 would all agree there is pretty limited data on  
22 that.

23 So, I guess from a patient point of view,  
24 it seems to me that this range of efficacy data we  
25 are looking at, whether it is 75 percent or the 50

1 percent seroconversion protection, would be  
2 regarded by people with herpes as a huge gain  
3 compared to what there is.

4 DR. GULICK: Thanks. Dr. Mathews?

5 DR. MATHEWS: Two points. I already  
6 mentioned the issue about potential  
7 misclassification in terms of the dropout rates. I  
8 would suggest that the agency request the analyses  
9 on frequency of sexual intercourse and condom use  
10 at least at the baseline time point to be sure that  
11 there was no differential effect in dropouts.

12 The other thing with regard to efficacy is  
13 that while the point estimate of 75 percent risk  
14 reduction is clearly significant and consistent  
15 across even the secondary endpoints, the confidence  
16 limits on that point estimate are very broad. They  
17 go from 0.08 to 0.75. So, when you go to craft an  
18 efficacy statement in terms of what the prevention  
19 message is and how effective this is, somehow the  
20 uncertainty in that estimate has to be conveyed.

21 DR. GULICK: Dr. Birnkrant?

22 DR. BIRNKRANT: Building on Dr. Mathews'  
23 statement, we would also like the committee to  
24 discuss the relevance of the other endpoints,  
25 namely the overall acquisition, because this will

1 be important to us with regard to labeling of the  
2 product. So, if we could get a discussion on the  
3 importance of including that type of data in  
4 labeling in addition to the primary endpoint, which  
5 is the main focus. We would also like to have  
6 input on the other endpoints as well.

7 DR. GULICK: Just to remind us all, the  
8 primary endpoint was clinical episodes of HSV-2,  
9 and then seroconversion was a secondary endpoint.  
10 Overall acquisition would sum those two together.  
11 So, what do we think of the choice of the primary  
12 endpoint and the other two endpoints? Dr. Englund?

13 DR. ENGLUND: I personally think that the  
14 serologic or total endpoint is actually much more  
15 important. If I were to be asked about future  
16 trials, that is what I am interested in. I am  
17 saying that for several reasons. I think we know  
18 more now than we did perhaps back then about the  
19 asymptomatic shedding and the high prevalence of  
20 asymptomatic shedding. I, as a pediatrician, see  
21 babies born, like last week, where the mothers  
22 didn't know they had it because it was asymptomatic  
23 shedding. That is, in fact, very, very common. So  
24 from my viewpoint, I would be interested in the  
25 total. And serology, I was trying to get at that

1 in my earlier question, I think serology is a very  
2 good reflection.

3           Furthermore, for future studies by relying  
4 on serology it might make it easier to do a study.  
5 This study is heroic. This is absolutely heroic to  
6 have people doing as many cultures as they are  
7 doing. I think if it were a simpler study design  
8 perhaps, maybe not, you could get more people  
9 involved. But disease as measured by serology, for  
10 me, is important because I think it would really  
11 help couples know what is going on and it certainly  
12 would help in terms of the babies born.

13           DR. GULICK: Other comments on endpoints?  
14 Dr. Guinan?

15           DR. GUINAN: Yes, I agree with Dr.  
16 Englund. I think that there is a great deal of  
17 asymptomatic infection, not only asymptomatic  
18 shedding but asymptomatic transmission in which the  
19 partner does not have any clinical symptoms or  
20 signs. So, this is a very important aspect of the  
21 epidemiology. It is not terribly important from a  
22 clinical point of view of treating people because  
23 they don't know they have it so it is sort of our  
24 of the clinician's purview. But I think if we  
25 looked at it epidemiologically and were interested

1 in prevention or reducing transmission, that is a  
2 more logical and more accurate endpoint about  
3 reducing transmission.

4 DR. GULICK: Dr. Fish?

5 DR. FISH: Yes, I think I would agree with  
6 those comments. When I was first reading through  
7 the briefing document that was the question that  
8 came into my mind, why wouldn't we want to know  
9 about overall acquisition and knowing that there is  
10 the risk for shedding and increased risk for  
11 potential transmission? It does, however, diminish  
12 the apparent treatment effect when you look at a  
13 study that only would have serology-based data  
14 based on the data that was presented here today.

15 DR. GULICK: The last special issue to  
16 consider with the question from Dr. Birnkrant is  
17 the dropout rate. We have talked a little bit  
18 about this already. Are there additional comments  
19 to make about the dropout rate versus the endpoint  
20 rate? Dr. Washburn?

21 DR. WASHBURN: Just a quickie, I would  
22 assume that they would be equally distributed  
23 through the two arms of the study so they didn't  
24 bother me.

25 DR. GULICK: Well, let me try to sum up



1 what we have said. Consensus of the committee is  
2 that we found the efficacy and safety data  
3 impressive and the study well done. We found that  
4 the drug reduces transmission but would caution not  
5 to use the word prevent. It also has some side  
6 benefits about reducing HIV transmission and  
7 reducing shedding; the repercussions of the social  
8 aspects of this in terms of reducing anxiety among  
9 infected people in terms of transmitting to their  
10 partners.

11 We did have some cautions. One was Dr.  
12 Mathews' point about the wide confidence interval  
13 around the point estimate that we saw in terms of  
14 the data. The choice of endpoints generated some  
15 recent discussion. People felt that perhaps a  
16 serologic endpoint or overall capturing both  
17 clinical and serological endpoints was actually  
18 preferred or would be preferred in future studies.  
19 This may be more logical, in the words of Dr.  
20 Guinan. We certainly appreciate more asymptomatic  
21 shedding and transmission and this could be an  
22 easier endpoint to assess. That was Dr. Englund's  
23 comment on future studies.

24 Other concerns that came up in our  
25 discussion are the low number of endpoints compared

1 to the number of patients treated. We spoke of the  
2 high dropout rates that were observed, although  
3 some people were less concerned than others in  
4 terms of the overall effects on the primary  
5 endpoint. Dr. Mathews cautioned us about  
6 differential effects among the dropout populations.

7           There are lingering concerns about  
8 geographic differences and why those occurred and  
9 how to explain that; some concerns about the  
10 demographics of the population studied. We  
11 mentioned earlier today--Dr. Kumar brought out the  
12 point that 90 percent of the population studied  
13 were white and I think the committee felt that we  
14 would liked to have seen more information in other  
15 groups as well. We heard some caution about the  
16 duration of therapy. We are going to have another  
17 opportunity to discuss that in terms of long-term  
18 safety.

19           Then, another issue that we don't often  
20 discuss as a committee is cost of medication but  
21 that was raised early, and the cost-benefit  
22 analysis and how does this compare with other  
23 interventions that we use, although several  
24 cautions about what is the relative cost of  
25 preventing an HIV infection or preventing

1 complications, for instance, in the pediatric  
2 group. So, that remains an open question but one  
3 that generated some interest among the committee.

4 With that, we are going to take a formal  
5 vote and read the question one more time: Does the  
6 information presented by the applicant support the  
7 use of valacyclovir to reduce the risk of  
8 transmission of genital herpes among monogamous  
9 heterosexual couples? So, a vote "yes" would be  
10 for approval and a vote "no" would be against  
11 approval. Mr. Ebel and Dr. Stone, you are not  
12 eligible to vote so I am going to go around the  
13 table and ask people to vote yes or no, and start  
14 with you, Dr. Potter.

15 DR. POTTER: Yes.

16 DR. GULICK: Dr. Guinan? Turn your mike  
17 on.

18 DR. GUINAN: Yes.

19 DR. GULICK: Dr. Pazin?

20 DR. PAZIN: Yes.

21 DR. GULICK: Dr. Fish?

22 DR. FISH: Yes.

23 DR. GULICK: Dr. Washburn?

24 DR. WASHBURN: Yes.

25 DR. GULICK: Dr. Mathews?

1 DR. MATHEWS: Yes.

2 DR. GULICK: Dr. Fletcher?

3 DR. FLETCHER: Yes.

4 DR. GULICK: Dr. Stanley?

5 DR. STANLEY: Yes.

6 DR. GULICK: She is hanging in there! Dr.

7 Kumar?

8 DR. KUMAR: Yes.

9 DR. GULICK: Dr. Sherman?

10 DR. SHERMAN: Yes.

11 DR. GULICK: Dr. Englund?

12 DR. ENGLUND: Yes.

13 DR. GULICK: And Dr. DeGruttola?

14 DR. DEGRUTTOLA: Yes.

15 DR. GULICK: And the chair votes yes.

16 That is unanimous, 13 votes for "yes" and no votes

17 for "no." Let's take a five-minute break.

18 [Brief recess]

19 DR. BIRNKRANT: That was the easy part of

20 the afternoon.

21 DR. GULICK: I know. Thanks for reminding

22 us. Now the working part comes into play. Let's

23 go to question number two: Does the information

24 presented by the applicant support the use of

25 valacyclovir to reduce the risk of transmission of

1 genital herpes among populations other than  
2 monogamous heterosexual couples? Dr. Mathews?

3 DR. MATHEWS: Well, to get it started, the  
4 first thing I would say is that I don't see any  
5 reason to restrict it to monogamous heterosexual  
6 couples. I think, at least to my mind, there is no  
7 biological reason why that should have anything to  
8 do with the efficacy of the intervention.

9 However, I don't think it should be an  
10 indication for immunocompromised heterosexual  
11 couples, whether by HIV or anything else, since  
12 that was an exclusion. Although it is likely to  
13 have some efficacy, we don't know anything about  
14 whether this would be the appropriate dose, for  
15 example, in immunocompromised populations.

16 I also don't think that it should be  
17 generalized to non-heterosexual couples for similar  
18 reasons. We just don't know whether the  
19 intervention would have a comparable efficacy, and  
20 also the prevalence of HIV in men who have sex with  
21 men would likely attenuate the effect. So, those  
22 are my opinions.

23 DR. GULICK: Other thoughts? Dr. Potter?

24 DR. POTTER: Just a very brief one, the  
25 more different kinds of methods you are using to

1 prevent transmission, the better. In other words,  
2 this and condoms, although condoms are not used  
3 very much, literally because of compliance issues,  
4 the more the better.

5 DR. GULICK: Dr. Guinan?

6 DR. GUINAN: I don't really know very much  
7 about the quantitative aspects of HSV. I am just  
8 not familiar with the methodology. But it is clear  
9 that if valacyclovir reduces the quantity of virus  
10 shed, and in all infectious diseases we presume  
11 that there is a minimal infective dose for  
12 infection and it may vary with host factors so,  
13 from a theoretical point of view, in whatever  
14 population you use this there would be a decrease  
15 in quantity of virus and rate of shedding. So, I  
16 believe that that could be extrapolated to all  
17 populations. In other words, that the  
18 effectiveness, based on sexual orientation or  
19 whether you are monogamous or not, shouldn't be  
20 different.

21 As far as whether the social circumstances  
22 are different, that is a question that I think we  
23 can't answer, but from an effectiveness point of  
24 view, I don't think you can argue that it is  
25 unlikely to decrease the quantity of virus in

1 somebody who is not monogamous or who is not  
2 heterosexual.

3 DR. GULICK: Dr. Mathews, a response?

4 DR. MATHEWS: Well, I would agree that it  
5 is likely to have some effect but how could we  
6 estimate the magnitude of that effect? Is it 0.75  
7 in an immunocompromised patient or a gay male who  
8 is predominantly having anal receptive intercourse?  
9 I mean, on what basis would you estimate how  
10 protective it would be?

11 DR. GUINAN: Well, I certainly wouldn't  
12 estimate that but I would just say that from a  
13 logic point of view it would reduce it. Whether it  
14 would reduce it sufficiently to be protective at  
15 the same rates as it is in this study I don't know.  
16 But it would seem logical that it reduces the rate  
17 and magnitude of virus and the shedding. I think  
18 that is extrapolatable to gay--I am not talking  
19 about immunocompromised but I would say a  
20 non-heterosexual, non-immunocompromised individual.  
21 I can't see any reason why this wouldn't be  
22 translatable.

23 DR. GULICK: So, as a committee we have  
24 faced difficult questions like this before. We  
25 have seen data in one population and we have

1 biologic plausibility, but we have no data in other  
2 populations and how do we translate that into what  
3 goes into the label? How do others feel about  
4 that? Dr. Sherman?

5 DR. SHERMAN: I think that, as has perhaps  
6 already been said, we can translate this into  
7 heterosexual immunocompetent couples but not beyond  
8 that. The monogamous was a mechanism to do the  
9 study appropriately so that certainly should not be  
10 an issue in the equation. Everything else follows  
11 right after that in terms of people who are  
12 immunocompetent and have heterosexual contact.

13 DR. GULICK: Dr. Kumar?

14 DR. KUMAR: From everything that I have  
15 looked at in the data, I think this data is  
16 applicable only to heterosexual immunocompetent  
17 couples. I think to make the leap of faith, even  
18 though biologically it may make sense, especially  
19 with the issues we spoke earlier about, it is  
20 efficacious but not the effective method of  
21 decreasing transmission. I would be very  
22 uncomfortable to make that leap of faith to  
23 anything other than immunocompetent heterosexual  
24 couples, especially when it comes to  
25 immunocompromised HIV patients.



1 DR. GULICK: Dr. Pazin?

2 DR. PAZIN: I would just agree with that.  
3 I think immunocompetent heterosexual is probably as  
4 far as you can go on this study data.

5 DR. GULICK: Any other thoughts on this or  
6 disagreement?

7 DR. GUINAN: Yes, I disagree that you  
8 couldn't in an immunocompetent non-heterosexual--I  
9 don't see that the data on shedding of virus and of  
10 reduction in quantity of virus--there is no  
11 biologic known difference between non-heterosexuals  
12 and heterosexuals in handling infections if they  
13 are immunocompetent. So, I would disagree that  
14 this should be limited to immunocompetent  
15 heterosexual. I think that immunocompetent  
16 non-heterosexuals would also--it would apply also.  
17 I can't find a logical reason why it wouldn't.

18 DR. GULICK: Dr. Sherman?

19 DR. SHERMAN: I wonder if any of the  
20 expert members on the sponsor's team have an answer  
21 to this, but I suspect that immunosuppressed  
22 patients have higher titers and for a given level  
23 of reduction there is probably some threshold level  
24 that you see a significant reduction in risk of  
25 transmission below that point. If that is the

1 case, and it is the case with many other viruses,  
2 if we see an average, just to throw out a number,  
3 of a half log decline in virus and you start two  
4 logs higher you may have absolutely no apparent  
5 effect in transmission for this particular virus.  
6 I think that that would really need to be tested.

7 DR. GULICK: Dr. Birnkrant?

8 DR. BIRNKRANT: Could we also get comments  
9 on use in adolescents and how do we deal with the  
10 susceptible partner not being monogamous?

11 DR. GULICK: Let's take adolescents first.  
12 Dr. Englund?

13 DR. ENGLUND: Well, adolescents don't use  
14 condoms. We try and try and try and they don't use  
15 condoms even when they are HIV-infected and they  
16 know it. They don't tell their partners frequently  
17 and they don't use condoms. I think this HSV  
18 approach might be another way to try and get them  
19 up to speed to acknowledge that there is a problem.  
20 There is certainly double seropositivity in these  
21 and I think for my patients and my clinic that this  
22 will be a good approach. Not that we are ever  
23 going to tell them to stop using condoms; we don't  
24 want them pregnant, but they are getting pregnant  
25 every day too. So, they obviously aren't using

1 condoms even when they tell us they are using  
2 condoms, which they do tell us but they aren't.

3           So, I think that this is an important  
4 adjunct. I think that people of childbearing age  
5 range are where this drug could be focused from a  
6 public health point of view because of the multiple  
7 sequelae--HIV, the childbearing. And, I see no  
8 problem with having this part of an adolescent  
9 clinic. We start usually around 11 or 12 years for  
10 this kind of thing. We don't know long-term  
11 effects. I would encourage that we need to have  
12 long-term efficacy and safety but I think it fits  
13 right in with what we are doing and gives us yet  
14 another reason to talk with them every month, which  
15 is what we are doing.

16           DR. GULICK: Other comments about  
17 adolescents? The other population was?

18           DR. BIRNKRANT: When the susceptible  
19 partner is not monogamous. Is that an issue for  
20 any of the members on the committee?

21           DR. GULICK: Given our previous discussion  
22 about monogamous couples, I am guessing not.

23           DR. BIRNKRANT: Okay. And what about Dr.  
24 Stone, being from the CDC, do you have any  
25 additional comments on this question?

1 DR. STONE: I think I agree that  
2 immunocompetent heterosexual populations could be  
3 included. I have some reservations about men who  
4 have sex with men. I would have no problem  
5 including adolescents.

6 DR. GULICK: Dr. Pazin?

7 DR. PAZIN: This thought that a  
8 susceptible partner--it is irrelevant. Obviously,  
9 it is not going to provide protection for other  
10 people who aren't using the medication. So, that  
11 just goes without saying as far as I can see.

12 DR. GULICK: So, let me summarize what we  
13 said about populations. First of all, a reminder  
14 to us that education about herpes and transmission  
15 and the overall thought that there are other  
16 methods of avoiding transmission, including  
17 condoms, continues to be important. What is  
18 recognized here is biological plausibility of an  
19 antiviral agent to reduce the amount of virus.  
20 Then, some differences of opinion about how much  
21 one can extrapolate to other populations because of  
22 differences in the amount of virus and how much it  
23 may or may not go down; the differences in effect  
24 from population to population and the magnitude of  
25 response.

1           As Dr. Sherman pointed out, monogamous was  
2 really a requirement for this particular trial and  
3 allowed the study to be done but, as many have  
4 echoed, it is not an important criterion for  
5 achieving benefits in immunocompetent heterosexual  
6 couples. That is the best data we have that we  
7 have seen today.

8           There was more concern in homosexual  
9 couples, although not uniform opinion on whether  
10 one can extrapolate data from heterosexual to  
11 homosexual couples, and simply no data to guide us  
12 at all.

13           There was endorsement among adolescents  
14 for the same reason that adults would benefit, and  
15 perhaps even more of an endorsement in adolescents  
16 given problems with condom use and opportunities to  
17 discuss reduction and transmission. Because  
18 adolescents are younger, longer-term data is going  
19 to be even more important in this group perhaps.

20           Then, a consensus that it is probably not  
21 appropriate to extrapolate to the immunocompromised  
22 host because of concerns that viral burden may be  
23 higher in this population and that, again, we  
24 simply don't have the data to make those  
25 recommendations.

1           We suggested some longer-term studies in  
2 the course of our conversation here. Dr. Sherman  
3 earlier said that transmission studies would be of  
4 interest, and perhaps relating the amount of HSV or  
5 the HSV titer to transmission is something that we  
6 could know about more.

7           I guess we would like to see studies in  
8 immunocompromised groups. We would like to see  
9 studies in gay men and women. Again, the  
10 longer-term safety issues were something that is of  
11 paramount importance. Dr. Mathews?

12           DR. MATHEWS: One implication I think of  
13 what we have recommended is that the label should I  
14 think somewhere contain a recommendation that  
15 people be encouraged to have HIV testing before  
16 this decision is made to prescribe this. I mean,  
17 the same population should have been HIV tested  
18 anyway, I would think, especially if they are not  
19 monogamous. We should find a way to put that in  
20 there.

21           DR. GULICK: Just to remind us from the  
22 sponsor point of view, in this study everyone  
23 received HIV testing and that was an exclusion  
24 criterion? Is that correct?

25           DR. HARDING: There was no HIV testing.

1 They were excluded if they had a history of HIV.  
2 We also went through the case records after the  
3 study had completed to look for any indication of  
4 HIV and there was none, nor were there any  
5 medications used for HIV.

6 DR. GULICK: So, by history HIV was an  
7 exclusion but serologic testing was not performed  
8 on the study?

9 DR. HARDING: Right.

10 DR. GULICK: Thanks for that correction.  
11 Dr. Fletcher?

12 DR. FLETCHER: On your list I think you  
13 would want studies in adolescents as well. I am  
14 not saying, you know, a thousand patients. In this  
15 study it only went down to 18 years of age and  
16 while I don't think that the pharmacokinetics of  
17 valacyclovir are going to be different in  
18 adolescents, without data--you know, there are  
19 always surprises out there. So, I think if you are  
20 going to make that extrapolation to adolescents  
21 there needs to be some basis to do that. At least  
22 from the most simple point of views, a  
23 pharmacokinetic study of valacyclovir in  
24 adolescents would be one way to understand whether  
25 the concentrations at a 500 mg once daily dose are

1 going to be equivalent to those seen in adults.

2 DR. GULICK: Does the sponsor have data on  
3 PK in adolescents with valacyclovir?

4 DR. COCCHETTO: I am looking to Dr. Weller  
5 here. Steve? Stephen Weller is in our clinical  
6 pharmacology group and he can comment.

7 DR. WELLER: We don't have specific  
8 pharmacokinetic data in adolescents per se since  
9 studies have been done in younger children, much  
10 younger children with acyclovir, historically.  
11 Children as young as 12, 13 years of age have been  
12 included in some of the Phase III trials for some  
13 of the indications but, again, specifically as  
14 pharmacokinetic data in adolescents, we don't have  
15 that at present.

16 DR. GULICK: Dr. Pazin?

17 DR. PAZIN: Yes, I was thinking it would  
18 be interesting to get the committee to vote on the  
19 concept of whether we think it should be extendable  
20 to homosexual couples.

21 DR. GULICK: Okay, a non-binding kind of  
22 straw vote.

23 DR. PAZIN: Sense of the committee.

24 DR. GULICK: Let's just do it--

25 DR. GUINAN: Immunocompetent.



1 DR. PAZIN: Immunocompetent homosexual  
2 partners. Do you think it should be extended to  
3 them or not?

4 DR. GULICK: In other words, extrapolated  
5 on the basis of labeling, whether we would  
6 recommend that for labeling or not. Dr.  
7 Handsfield, did you want to make a comment?

8 DR. HANDSFIELD: I would just point out  
9 that in that discussion what has not been raised by  
10 any of you is differences in sexual practices in  
11 particular because it is conceivable that at a  
12 biological level it is not just viral load, but  
13 what is the level of the kind of exposure that  
14 takes place, for example, during anal intercourse  
15 as opposed to vaginal intercourse and potential  
16 microscopic or overt trauma that might affect  
17 transmission rates. So, in thinking about that  
18 vote I would be inclined to factor that into your  
19 thinking.

20 DR. GULICK: Dr. Guinan?

21 DR. GUINAN: Well, in the study was it  
22 determined what types of sexual intercourse these  
23 monogamous couples had? Was it restricted to  
24 vaginal-penile intercourse?

25 DR. GULICK: Unlikely to be restricted--

1 [Laughter]

2 DR. GUINAN: What I am saying is that it  
3 is very possible that there was rectal intercourse  
4 among these couples.

5 DR. GULICK: Does the sponsor have any  
6 information on what kind of intercourse occurred on  
7 the study?

8 DR. HARDING: There was no restriction on  
9 anal intercourse. There was some but it was a very  
10 small number. We do have the numbers if you  
11 require them, but I can't recall them off the top  
12 of my head. The median was zero obviously.

13 DR. KUMAR: I think your page 44, table 8,  
14 would that not give us information we are looking  
15 for?

16 DR. LIDDLE: I think it looks like eight  
17 percent according to the diary data.

18 DR. GULICK: Again just to clarify, the  
19 way that this was assessed was by patient diary?  
20 Is that how it was? So, people were expected to  
21 jot down what was going on over the last 24 hours  
22 in their diary?

23 DR. COCCHETTO: That is correct.  
24 Susceptible partners maintained a diary that was  
25 returned with each monthly clinic.

1 DR. GULICK: Additional comments about  
2 extrapolating to the homosexual immunocompetent  
3 population before we take a straw vote? Dr.  
4 Mathews?

5 DR. MATHEWS: I just one to make one last  
6 comment about this. I don't think it is a matter  
7 of whether it is a good idea or is biologically  
8 plausible. It is a matter of is the evidence  
9 sufficient that it should go into a label and my  
10 opinion is definitely not. It should be studied  
11 and there should be the same kind of evidence for  
12 the MSM population, for the reasons that have been  
13 stated previously as well as what Dr. Handsfield  
14 just said.

15 DR. GULICK: Dr. Corey, do you want to  
16 chime in?

17 DR. COREY: I think everybody is right--

18 [Laughter]

19 --but I would say that there has not been  
20 a partners study published in the HIV literature,  
21 and certainly not in the herpes literature that I  
22 am aware of, that has been successful in looking at  
23 transmission among gay men solely, monogamous gay.  
24 So, the study design, and we have thought about  
25 this, we don't think is a possible study design to

1 get enough monogamous gay men. So, you would have  
2 to think of a more unique design or that could be  
3 done in a unique population-based basis, or  
4 something. But it would certainly be a very unique  
5 study design as far as I am aware of in the field  
6 of STDs.

7 DR. GULICK: Just to be clear, did you say  
8 that previous studies have been attempted or  
9 designed and were unsuccessful in enrolling?  
10 Discordant gay couples, those studies have been  
11 largely difficult to perform is what you are  
12 saying. Other comments? Dr. Fish?

13 DR. FISH: I think that said, I would  
14 agree with Dr. Mathews that I am not sure how we  
15 would extrapolate to this patient population. It  
16 is a large leap of faith and whether the study can  
17 or can't be done, it seems to me like the labeling  
18 would better address the information that we have,  
19 letting people decide based on the information that  
20 is there and the data that is available, people  
21 being practitioners.

22 DR. GULICK: Dr. Guinan?

23 DR. GUINAN: I would just like to say I  
24 have worked for the CDC for a long time and was in  
25 charge at one time of developing the STD treatment

1 guidelines, and all that was done on scientific  
2 studies, it was not until very recently that sexual  
3 orientation ever entered into the discussion. In  
4 other words, you didn't know what the sexual  
5 orientation of the patient was. It was whether it  
6 was effective or not effective. Do you see what I  
7 mean? So, it is very difficult for me to now  
8 differentiate those and say, okay, this is good for  
9 heterosexuals and to make a recommendation, for  
10 example, if CDC were incorporating these into the  
11 treatment guidelines, to say, oh, this is good for  
12 heterosexuals but we don't recommend it for  
13 homosexuals, or there is no data on homosexuals.  
14 Do you see what I mean? So, I understand  
15 everybody's concerns but to think that there are  
16 biological differences in the way people process  
17 these drugs because of sexual orientation, to me,  
18 is not plausible.

19 DR. GULICK: Dr. Stone, could you comment  
20 on implications for guidelines?

21 DR. STONE: Let me also just say as  
22 regards the applicability of this to gay people, I  
23 think our concerns were more specifically for  
24 MSM--not all MSM but not just HIV co-infection but  
25 just the general sexual practices may be very

1 different.

2           This study population did have anal  
3 intercourse but it may be with a different  
4 frequency than men who have sex with men. But when  
5 the time comes for us to, you know, update our  
6 treatment guidelines we would--I can't tell you now  
7 what we would do but in each section of our  
8 guidelines we have a special section on special  
9 populations and we specifically comment on  
10 HIV-infected persons. To my knowledge, we don't  
11 have anything in here about homosexual versus  
12 heterosexual patients or partners.

13           Another approach would be to speak of  
14 vaginal intercourse versus anal intercourse and you  
15 could get away from the sexual orientation label.

16           The other thing about this study, if you  
17 were really restricting the applicability, then it  
18 would be applicable almost to very few people  
19 because if you look at the frequency of sex in this  
20 group, they really were not very sexually active  
21 but I don't think anyone here wants to limit the  
22 indication for people who have sex six times a  
23 month.

24           [Laughter]

25           So, I think it is a fine line between, you

1 know, generalizing too broadly and being too  
2 narrow.

3 DR. GULICK: Any Australians in the crowd?

4 [Laughter]

5 Dr. Englund?

6 DR. ENGLUND: I just wanted to say that it  
7 was actually the women though who drove the study  
8 or the vaginal intercourse. That is, before the  
9 study the investigators knew that. That is why the  
10 study tried to be stratified, ultimately  
11 unsuccessfully. When you look at page 56 and the  
12 table, it is 7.5 percent of the women in the  
13 placebo group and only 1.0 percent of the men. So,  
14 it is the women, in fact, that may have benefited  
15 the most, which is good news for women, but to be  
16 able to translate that into men only and then men  
17 having sex with men in addition, that is making two  
18 leaps of faith instead of just one. So, even  
19 though I think biologically it might be the same, I  
20 think the practices vary and I think we, as the  
21 advisory group, need to take that into account.

22 DR. GULICK: Dr. Smith?

23 DR. SMITH: As I recall, there was no  
24 treatment by gender interaction so the treatment  
25 effects in men were basically similar to the

1 treatment effects in women. It is just that both  
2 treatment groups had a lot of fewer events. So, I  
3 would say that the results probably are  
4 generalizable to men among heterosexual monogamous  
5 couples.

6 DR. GULICK: Then, if we take anatomy as  
7 the likely explanation for differences in  
8 transmission, receptive anal intercourse may be  
9 more analogous perhaps to men having an increased  
10 risk to transmit to women, although we don't know.  
11 Lots of leaps of faith here. Dr. Smith?

12 DR. SMITH: There is only the median of  
13 zero. You know, the number of anal sexual contacts  
14 is zero for the overall population. So, it is  
15 almost all non-anal sex in the study.

16 DR. GULICK: So, we have heard a lot of  
17 differences of opinion and this is kind of a  
18 non-binding vote but might be of interest to the  
19 agency if pin people down. So, let's just raise  
20 our hands and Mr. Ebel and Dr. Stone, we will  
21 invite you to vote in this one too since it is just  
22 an opinion thing. So, a vote for "yes" is that we  
23 would not recommend restricting the label on the  
24 basis of heterosexual versus homosexual. In other  
25 words, that would simply not be in the label. Is



1 that phrased okay?

2 Let me try this again. You would support  
3 the use of valacyclovir to reduce the risk of  
4 transmission of genital herpes, period. No caveats  
5 about monogamous and no caveats about heterosexual.

6 DR. PAZIN: Is that the question?

7 DR. GULICK: I thought it was the  
8 question.

9 DR. PAZIN: I don't think you are stating  
10 it very clearly. I want to make a distinction  
11 between heterosexual couples and homosexual  
12 couples. I think that is what the discussion has  
13 been talking about.

14 DR. GULICK: That is what I was trying to  
15 do. I am just taking the question and eliminating  
16 the last part. The question to the committee, and  
17 this is a straw vote, is does the information  
18 support the use of valacyclovir to reduce the risk  
19 of transmission in genital herpes, period? Or, if  
20 you like, in both homosexual and heterosexual  
21 couples. Is that clear? All in favor of including  
22 that sentiment in the label, raise your hand.

23 [Show of hands]

24 Two votes. All opposed?

25 [Show of hands]

1           So, you get the idea. It is a straw vote.

2           MR. EBEL: Mr. Chairman?

3           DR. GULICK: Yes?

4           MR. EBEL: To me, I would just like to  
5 reiterate the confusion about calling the question.  
6 Are you going to follow-up now with a more  
7 exclusive definition of extending it, in other  
8 words, dropping the monogamous part but keeping the  
9 heterosexual part?

10           DR. GULICK: Our purpose today is just to  
11 provide some discussion about considerations for  
12 the label for the FDA and the sponsor to go forward  
13 with in further discussion. We don't have to sort  
14 of hammer out the terms of the label itself. We  
15 have already voted to say that we would recommend  
16 approval of the drug. At this point, all of the  
17 other questions really speak to the fact about what  
18 should go into the label. So, I don't think we  
19 have to get into the nitty-gritty of exact wording  
20 for the label. It is helpful I think for the  
21 agency--correct me if I am wrong--to hear that  
22 there are differences of opinion on the committee.  
23 We were pretty uniform about not including  
24 monogamous and then there was a difference of  
25 opinion about stipulating heterosexual versus not.

1 But I think we can probably leave it at that and  
2 keep going.

3 MR. EBEL: I was just concerned that the  
4 monogamous piece was getting lumped in with the  
5 sexual orientation piece.

6 DR. GULICK: No, I think we have separated  
7 those two issues.

8 MR. EBEL: Thank you.

9 DR. GULICK: Let's go on to the next  
10 question. In study 3009 over 4,000 couples were  
11 screened but only about 1,500 were enrolled. A  
12 large number of couples were excluded because  
13 susceptible partners were found to be HSV-2  
14 positive without clinical symptoms. Please discuss  
15 the implications of screening susceptible partners  
16 for HSV prior to initiating therapy of the source  
17 partner with valacyclovir. Again, this is thinking  
18 about the label. Dr. Mathews?

19 DR. MATHEWS: Well, here the scenario  
20 changes quite a bit when you broaden the indication  
21 to non-monogamous couples because really the  
22 treatment in that setting is not a matter of a  
23 discussion between a source and a specific  
24 susceptible. It really is almost assuming that the  
25 source might have sexual contact with more than one

1 or many people, in which case the education I think  
2 becomes very important for the clinician  
3 prescribing or contemplating prescribing the drug  
4 to talk with the patient about, you know, are you  
5 sexually active now, or are you going to be, are  
6 you going to discuss your serostatus with your  
7 partners. The question is, if they are casual  
8 partners, it is not really feasible to recommend,  
9 oh you know, you should go out and get tested  
10 before you have sex, if you are going to have sex  
11 on a casual basis.

12 I am just thinking now. In the context of  
13 an established relationship it might be reasonable  
14 to recommend testing the partner. But if it is not  
15 a monogamous relationship I wouldn't necessarily  
16 put that in.

17 DR. GULICK: Other thoughts on this? Dr.  
18 Kumar?

19 DR. KUMAR: I am looking at this a little  
20 bit differently. If the susceptible partner is  
21 positive, then there is no reason for the source  
22 person to take the drug. Why would they have to  
23 take it for a prolonged period of time if the  
24 benefit is not going to be there?

25 DR. MATHEWS: But they might have another

1 indication for taking it to suppress their own  
2 reactivations, which is already an approved  
3 indication.

4 DR. KUMAR: That is different but right  
5 now we are looking really for this indication of  
6 preventing transmission. In that, if the  
7 susceptible person is already positive, then there  
8 is no reason for the source person to take the  
9 drug. So, I would recommend that the susceptible  
10 partner needs to be tested.

11 DR. GULICK: Would you require it or  
12 consider it?

13 DR. KUMAR: I would strongly consider it.  
14 This is not a drug that you are going to take for a  
15 week, two weeks or three weeks. As long as that  
16 person is in that relationship you are going to  
17 take it every day.

18 DR. GULICK: Dr. Englund?

19 DR. ENGLUND: I think we should strongly  
20 recommend it for this indication because there is a  
21 great deal of money involved; there is potential  
22 safety and toxicity and if they are taking it you  
23 should know that it is for a reason. A serologic  
24 test costs under \$50 which is, whatever--three  
25 weeks of pills. It really makes sense medically,

1 socially and economically.

2 DR. GULICK: Dr. Pazin?

3 DR. PAZIN: I fully agree that we should  
4 strongly recommend getting tested.

5 DR. MATHEWS: But how would that work in  
6 the casual partner setting or adolescents? What  
7 does that mean?

8 DR. PAZIN: To be using the drug as a  
9 prophylactic if it is not going to accomplish  
10 anything is just a waste, as far as I am concerned.  
11 I just think that you ought to find out. There is  
12 a substantial possibility that the person already  
13 is infected. They may not think so but there is a  
14 good chance. So, it just would be I think very  
15 wasteful.

16 DR. GULICK: Dr. Wald, did you have a  
17 comment?

18 DR. WALD: Thank you. I guess my feeling  
19 is that people should be tested but not necessarily  
20 in the context of considering this added benefit of  
21 valacyclovir therapy. We know from other studies  
22 that clinical history of genital herpes is not  
23 always accurate. In fact, in the STD treatment  
24 guidelines laboratory confirmation of all genital  
25 herpes cases is currently recommended. So, it is

1 certainly recommended for the source partner to  
2 have laboratory documentation that they really do  
3 have HSV-2 infection.

4           In terms of the susceptible partner, given  
5 the experience in this population and finding that  
6 a substantial proportion of people who are  
7 concordant, not discordant, this is a situation  
8 which actually brings relief to a lot of couples,  
9 that the susceptible is already infected. Because  
10 of that, I think that testing should be encouraged,  
11 maybe not even specifically with the thought of  
12 initiating suppressive therapy but just because of  
13 the clinical discordant status.

14           DR. GULICK: Can I just make sure I  
15 understand this, the current recommendations for  
16 someone who has clinical symptoms of genital herpes  
17 is to undergo serologic testing?

18           DR. WALD: It is to undergo laboratory  
19 confirmation of the diagnosis and which test,  
20 whether it be a viral detection test or a serologic  
21 test, depends on the clinical presentation.

22           DR. GULICK: Okay, but that is for  
23 symptomatic patients.

24           DR. WALD: That is correct.

25           DR. GULICK: And either Dr. Wald or Dr.

1 Stone, other populations where it is routinely  
2 recommended to obtain an HSV serology currently?

3 DR. WALD: It is routinely recommended to  
4 serologically test those people who present for  
5 evaluation of STDs and request to be also evaluated  
6 for HSV.

7 DR. GULICK: Dr. Stone?

8 DR. STONE: Also, in the current version  
9 of the STD guidelines we say that serologic testing  
10 is useful for partners. We don't make a  
11 distinction between casual or regular partners.  
12 The thinking is, like Dr. Wald said, that the  
13 partner may already be infected and they are not  
14 going to get infected again so they don't need to  
15 worry about becoming infected. Also, they can  
16 benefit from counseling and learning to recognize  
17 symptoms and, you know, they themselves may become  
18 candidates for treatment. That is sort of the  
19 clearest indication for serologic testing in our  
20 guidelines.

21 DR. GULICK: Other comments? Dr. Guinan?

22 DR. GUINAN: There is a small problem with  
23 serologic testing in that it is type specific and  
24 if you are looking for HSV-2, then there is a  
25 certain percentage of genital herpes that is HSV-1.



1 In other words, it is not exactly that I know this  
2 person is susceptible because they are HSV-2  
3 negative because if the source has HSV-1 and the  
4 partner is HSV-1 positive, then that person  
5 wouldn't need the drug. Do you see what I mean?  
6 Since a certain proportion of genital herpes is  
7 type 1, then doing type-specific antibody to  
8 determine a susceptible if you use only type 2,  
9 then you will have some degree of error in  
10 determining susceptibility.

11 DR. GULICK: Dr. Pazin?

12 DR. PAZIN: It is not nearly as traumatic  
13 to get HSV-1 genital herpes as it is to get HSV-2  
14 genital herpes. So, I think that is not a major  
15 concern.

16 DR. GUINAN: Maybe not for you.

17 [Laughter]

18 DR. PAZIN: No, I can assure you that you  
19 can reason with those people, with the other ones  
20 it is more difficult.

21 DR. GULICK: Dr. Guinan, no comment? Your  
22 gestures say it all! Other thoughts on the  
23 serologic question? Dr. Fish?

24 DR. FISH: I mean, for the patient, they  
25 are not going to know whether they have 1 or 2 and

1 I am not sure I can follow that argument. What was  
2 my other comment? Oh, a total serology--I believe  
3 there is available serology that can detect both 1  
4 and 2 so that might be a strategy that could be  
5 employed if the susceptible partner were going to  
6 be serologically tested.

7 DR. GULICK: Dr. Guinan, can you fill us  
8 in on that?

9 DR. GUINAN: I think I am going to say  
10 something controversial.

11 DR. GULICK: Good!

12 DR. GUINAN: I feel that women are  
13 disproportionately affected by this for lots of  
14 reasons, being more susceptible and having the poor  
15 outcomes of risk of transmitting this to a newborn.  
16 The male condom is not under the control of women  
17 and the treatment of the source, the male source is  
18 not under the control of women. Do you see what I  
19 mean? So, women are still very susceptible and  
20 what I think should be done is women should know  
21 their serostatus. In other words, women should  
22 know whether they are HSV positive or negative,  
23 especially for type 1 infection, and then let them  
24 know that they are susceptible and their partners,  
25 if they have known herpes infection, should be.

1 But this is what I have done and I have recommended  
2 off-label, and I am sure I will be put in jail some  
3 day but what I have done is to give young women  
4 valacyclovir who are in a relationship with someone  
5 because their male partner won't take it. So, I  
6 give it to the women to protect them. In other  
7 words, there is no data but it gives them something  
8 because they have nothing. They are madly in love  
9 and they can't resist. It is expensive and that is  
10 a big part, but the safety--so from my point of  
11 view, this is something that needs to be addressed  
12 in some way. In other words, women are susceptible  
13 and in trying to prevent perinatal herpes infection  
14 you need to prevent it in the woman. So, women's  
15 knowledge of their serostatus I think is extremely  
16 important and trying then to give them information  
17 about protecting themselves against acquisition of  
18 infection.

19 DR. GULICK: I think you are making  
20 important points. I don't want us to get too far  
21 away from where we are, which is what should be  
22 required in the label for valacyclovir for this  
23 indication.

24 DR. PAZIN: Clearly that is another study  
25 if you are going to be giving it to prevent

1 acquisition.

2 DR. GUINAN: It will never be done.

3 DR. GULICK: So, that was my point. So,  
4 interesting and provocative but maybe we should  
5 steer clear of it now. Dr. Kumar?

6 DR. KUMAR: Dr. Gulick, isn't the  
7 indication right now to prevent transmission to a  
8 susceptible person? Isn't that the indication for  
9 this drug?

10 DR. GULICK: Or to reduce transmission.

11 DR. KUMAR: To reduce transmission. So,  
12 that is why I am so confused. If the susceptible  
13 person is not susceptible, then the source person  
14 should not be taking the drug. So, I just find  
15 this whole question extremely unclear because that  
16 is the indication. It says we are giving it to  
17 prevent or reduce transmission. So, I think the  
18 susceptible person should be tested.

19 DR. GULICK: What I am hearing is  
20 consensus on this point but the practical world  
21 that Dr. Mathews describes is that not everyone has  
22 one partner that we can bring in for testing, and  
23 that is the clinical reality of this situation.  
24 So, I think most people around the table agree that  
25 serologic testing, if there is an appropriate

1 person to test, would be of great benefit and might  
2 actually exclude the need for this drug but there  
3 are many people in the real world where you will  
4 not be able to apply that. So, I guess that is our  
5 feeling on this issue. Okay?

6 DR. MATHEWS: Let me just give one example  
7 to make it very concrete. If a commercial sex  
8 worker who is HSV-2 seropositive has to require  
9 that their partners be tested in order to get this  
10 drug, you know it is not going to happen. So, I  
11 think or I would hope the sense of the committee is  
12 that, yes, it should be recommended to be done when  
13 it can be done but certainly not required,  
14 otherwise the people at greatest risk of  
15 transmission will not get access to the treatment.

16 DR. GULICK: I think that sums up the  
17 consensus very well. Let's move on, question  
18 number four, in your opinion--in your opinion,  
19 underlined--will marketing of valacyclovir for  
20 reduction of genital herpes transmission have an  
21 impact on the use of condoms and abstinence from  
22 sex during clinical HSV-2 outbreaks? Dr. Fletcher?

23 DR. FLETCHER: Well, I don't know is the  
24 easy answer but--

25 DR. GULICK: Question number five!

1 DR. FLETCHER: You said you wanted to get  
2 out early! But I do have two points. I guess one  
3 has to say I hope not, and I think that goes to Dr.  
4 Mathews' point about the confidence interval in the  
5 effectiveness in the reduction of transmission  
6 which, if my math is right, is 24 percent to 94  
7 percent. So, the message needs to get out very  
8 strongly that, you know, yes, it works but the  
9 extent to which it works is really uncertain.

10 I think the second point that I want to  
11 make is that the benefit is not immediate. It  
12 seems from the data that Dr. Wald mentioned, it  
13 seems that one dose really does not convey a  
14 benefit. So, being on therapy, staying on therapy  
15 and using additional methods to prevent  
16 transmission seems to be the message that needs to  
17 get out. I think, great, to the extent possible  
18 efforts, aggressive efforts need to be made to  
19 ensure that people understand that other forms of  
20 protection need to be taken.

21 DR. GULICK: Dr. Sherman?

22 DR. SHERMAN: Does the sponsor have that  
23 slide that showed--I mean, we have data on this and  
24 it looked like condom use did go down. Was that  
25 statistically meaningful?

1 DR. GULICK: Or was that the agency that  
2 showed it? Dr. Smith?

3 DR. SMITH: We have that in our  
4 presentation. I think the applicant also showed a  
5 slide with the transmission.

6 DR. GULICK: Could we see that again?  
7 What slide? DR. SMITH: It was  
8 towards the middle, right at the end of efficacy.  
9 I think it is 26. Well, it is actually 27 and 28.

10 DR. HAVERKOS: Also 54.

11 [Slide]

12 Is that the one?

13 DR. GULICK: Can you walk us through this  
14 again, please?

15 DR. HAVERKOS: Basically, if you look at  
16 the reports of condom use in the month before study  
17 or baseline, remember, there are three groups,  
18 there is "never," "sometimes" and "always." As you  
19 see, we only have data on 725 of the 743  
20 valacyclovir patients. It probably reflects the  
21 fact that they didn't actually collect some of this  
22 data until amendment I which was a couple of months  
23 into the study. But 32 percent said they nearly  
24 always used condoms and 51 percent said they never  
25 used condoms. That is 83 percent of the total.

1 For the other 17 percent, there was either no data  
2 or "sometimes." This was just for vaginal sex,  
3 90-100 percent for "nearly always."

4           During the study itself you can actually  
5 calculate number of sex acts per month, and then  
6 each month you can calculate the condom use. If it  
7 is "nearly always" it is 90-100 percent. If it is  
8 zero, if they never used condoms for vaginal sex,  
9 anal sex or oral sex, it is never. Then, there is  
10 a group that gives you some data in between.

11           Then they take a median. So, in other  
12 words, there is eight months of data from many  
13 patients; there is five months of data for others  
14 if you take the middle month, so if it is all  
15 "nearly always" it is nearly always. If it is four  
16 "never" and two "sometimes" and one "nearly always"  
17 it is never. So you can actually go back and  
18 calculate these numbers. So, it doesn't actually  
19 translate into 90-100 percent across the whole  
20 study. It is a unique way of calculating condom  
21 use. But if you look at the baseline data and the  
22 vaginal use data from baseline through the study,  
23 you get a slight drop in "nearly always" and you  
24 get a slight increase in "never."

25           DR. GULICK: The denominator is changing.



1 DR. HAVERKOS: The denominators change,  
2 right.

3 DR. GULICK: Let's go to the sponsor on  
4 the same point and then I will take a couple more  
5 comments.

6 DR. COCCHETTO: Just a methodology caution  
7 on this. As you recognize, at baseline the  
8 information that is reported is the individual  
9 participant's recollection of activity from the  
10 prior month, whereas during the study it is  
11 actually diary card data that is being captured on  
12 a sexual contact by contact basis.

13 DR. GULICK: Thank you. Dr. Potter?

14 DR. POTTER: My one question was wasn't  
15 this during clinical outbreaks as opposed to during  
16 the full cycle?

17 DR. GULICK: It was assessed all  
18 throughout the study.

19 DR. POTTER: This was assessed but I meant  
20 the question. Wasn't it have an impact on the use  
21 of condoms and abstinence from sex during clinical  
22 outbreaks?

23 DR. GULICK: Oh, I am sorry, you are  
24 focusing on the question here.

25 DR. POTTER: Yes.

1 DR. GULICK: We have been a bit too broad,  
2 although that is also perhaps of interest. So, we  
3 have been considering generally in terms of looking  
4 at this data and the concern expressed here is  
5 currently during a clinical HSV-2 outbreak the  
6 recommendation is to be abstinent from sex, and  
7 will the marketing of valacyclovir actually impact  
8 that. So, let's focus on that specific question.  
9 Thanks for that. Dr. Englund?

10 DR. ENGLUND: I would just like to say  
11 that in my relatively limited by intense work with  
12 adolescents the answer is clearly no because they  
13 don't use condoms. They are not going to use  
14 condoms very much. We are trying hard, we really  
15 are but it is not going to change.

16 DR. GULICK: Dr. Stone?

17 DR. STONE: I would like to reiterate Dr.  
18 Englund's comment, common use is very low. Maybe I  
19 am a dreamer but actually the marketing could have  
20 a beneficial effect. Who knows about condoms, but  
21 the part on abstinence from sex when lesions are  
22 present, it could be that people haven't gotten  
23 this message that it is very important to not have  
24 sex when lesions are present. In a study alluded  
25 to by Dr. Corey and Dr. Wald, in the vaccine study,

1 during the course of that study people were  
2 counseled on condom use and also to avoid having  
3 sex when lesions were present and they actually  
4 reported that that declines over the course of the  
5 study, having sex with lesions. So, the effect  
6 could be, you know, in a good direction.

7 DR. GULICK: That is interesting. Dr.  
8 Guinan?

9 DR. GUINAN: I think it is really  
10 difficult to interpret these data without  
11 information on birth control practices of the women  
12 because some people do use condoms for birth  
13 control. In fact, the most committed users of  
14 condoms are those that don't want to get pregnant  
15 rather than for protection from disease. If these  
16 women changed their contraceptive use during the  
17 study they would maybe not do condoms. Do you see  
18 what I mean? So, it may have nothing to do with  
19 the study but something to do with the  
20 contraceptive practices. So, I think you can't  
21 interpret that data that Dr. Haverkos presented  
22 without knowing what the other contraceptive  
23 practice was.

24 DR. GULICK: Mr. Ebel?

25 MR. EBEL: Yes, I would like to comment on

1 the communications aspect of it because I think one  
2 of the things we know about counseling patients  
3 with herpes is that one of the areas where it is  
4 hardest for clinicians to spend time and do the  
5 counseling is precisely on impact on patient's sex  
6 life, and on risk reduction, and those kinds of  
7 things. I think the marketing of this and the  
8 increased awareness about the need for prevention  
9 and the awareness of a new intervention potentially  
10 could really have a positive effect in giving  
11 clinicians a more positive way to discuss this, in  
12 turn enabling patients to be more able to discuss  
13 it with their partners, and we know that that is a  
14 problem. So, the whole prevention thing at some  
15 point revolves around communication and this might  
16 help with that.

17 DR. GULICK: Dr. Pazin?

18 DR. PAZIN: When I look at these data, it  
19 suggest to me that these people put all their eggs  
20 in the pill basket. Really, when they go on a  
21 study, you know, if they didn't use condoms before  
22 they don't use them when they are on the study.  
23 Conversely, if they did use them almost all the  
24 time, they continue to do that. I think that it  
25 really doesn't have that much impact but I think

1 that having the availability of the pill will have  
2 the impact of making them disregard that other  
3 possibility of using the condom.

4 DR. GULICK: Let me try to summarize what  
5 we said here in terms of valacyclovir for  
6 prevention decreasing the use of condoms and  
7 abstinence during outbreaks. The consensus was  
8 that it could but recognizing that condom use tends  
9 to be low among people in general. We all agree I  
10 think, and would like to emphasize, that education  
11 is essential as part of the prescribing of this  
12 drug, putting valacyclovir in the context of other  
13 ways of avoiding transmission of HSV-2 and also  
14 recognizing the limitations based on the wide  
15 confidence interval once again. The fact that we  
16 are uncertain about the amount of benefit, that  
17 reduction is anywhere from 24-94 percent. Then Dr.  
18 Stone's point that this added education could  
19 actually be a benefit to tell people that active  
20 lesions are a time when they should be abstinent or  
21 use condoms.

22 Let's go on to the next question. Number  
23 five, although patients in the registrational  
24 trial were treated for eight months, valacyclovir  
25 for suppression of transplanted genital

1 herpes will likely be used for significantly longer  
2 periods of time. What additional studies would you  
3 suggest to evaluate the potential for longer-term  
4 adverse events, including resistance to  
5 valacyclovir?

6 We have touched on this several times over  
7 the course of the day. I think it was the  
8 consensus of the committee that this is a concern  
9 of ours, about the long-term safety, and the fact  
10 that duration, as was raised earlier in the day, is  
11 really not stipulated right now in terms of the  
12 indication.

13 Let's take that point first, duration of  
14 use here. What would we suggest as appropriate?  
15 Dr. Pazin?

16 DR. PAZIN: People are going to use it as  
17 long as they are at risk. I don't think that there  
18 should be that much of a duration emphasis--I think  
19 till their money runs out.

20 [Laughter]

21 DR. GULICK: Well, we heard a couple of  
22 interesting scenarios earlier today. Change of  
23 relationship, should that prompt a change in drug  
24 here? Or, what if the susceptible partner does  
25 seroconvert for HSV-2?

1 DR. PAZIN: Then you don't take it  
2 anymore.

3 DR. GULICK: There you go! Maybe this is  
4 painfully obvious here.

5 Specific studies to look at long-term  
6 adverse events? Dr. Englund?

7 DR. ENGLUND: I really think we need a  
8 pregnancy registration. We are used to using  
9 acyclovir in pregnant women. I personally don't  
10 know how much Valtrex is being used in pregnant  
11 women but I think that needs to be followed up  
12 because although I think there is no good data  
13 about immunocompetent adults ever getting resistant  
14 virus, I would be concerned potentially about a  
15 baby being infected with resistant virus, on a  
16 theoretical basis not based on what I see. I think  
17 that there is an obligation to follow the use of  
18 this through registration as opposed to a study.

19 DR. GULICK: Does the sponsor have data on  
20 valacyclovir in pregnancy?

21 DR. COCCHETTO: We do have some  
22 information. In concert with CDC and others we had  
23 a pregnancy registry that was initiated in 1984  
24 with acyclovir and then subsequently expanded to  
25 include valacyclovir once that product was

1 initially approved within the United States. Dr.  
2 Alice White is the head of our epidemiology group  
3 who collaborated with Dr. Stone and others on that  
4 effort. I would be happy to ask her to comment on  
5 that briefly if you like.

6 DR. GULICK: Sure, that would be great.

7 DR. WHITE: Hi, I am Dr. Alice White, vice  
8 president of the epidemiology department at  
9 GlaxoSmithKline. As Dr. Cocchetto mentioned, we  
10 did initiate the pregnancy registry in 1984. It  
11 was really designed to look at major birth defects  
12 and compare the risk that might be observed with  
13 acyclovir used prenatally with risk of birth  
14 defects observed in the general population, through  
15 the CDC's birth defects surveillance system. As  
16 the first one approved that would be used widely in  
17 women of childbearing age, we felt it was important  
18 to look at major malformations. It was a  
19 short-term registry. Generally by the six-week  
20 visit we followed up to get birth outcome  
21 information. We have some results from that study  
22 on slides if you would like to see them. We  
23 terminated the registry in 1999 on the advice of  
24 our independent advisory committee because it was  
25 felt that the body of evidence about safety with



1 respect to major malformations was sufficient. Our  
2 enrollments had dropped off as clinicians and  
3 patients became more comfortable with use of the  
4 drug in pregnancy. So, we stopped it. Of course,  
5 at that point we weren't looking for things like  
6 resistance..

7 DR. GULICK: Would we be interested in  
8 seeing the data?

9 DR. ENGLUND: It is the acyclovir data, I  
10 don't need to see it; I have seen some of it. No.

11 DR. GULICK: Okay. Then, your other point  
12 I guess was about resistance in newborns as well.

13 DR. ENGLUND: Right. As we have said, I  
14 am not concerned about the transmission of  
15 resistant virus in the patients that we specify for  
16 use. I am concerned about the development of  
17 resistance in patients for whom this relatively low  
18 dose of drug is being used for a long period of  
19 time and who may have partners who are not who we  
20 want to give the drug to or who are themselves  
21 unrecognized as being immunocompromised. So, I  
22 would have some concerns about resistance for  
23 follow-up, not necessarily to change what we are  
24 doing today.

25 DR. GULICK: Is it fair to say--and this

1 came up earlier too--that follow-up of susceptible  
2 partners who seroconvert is pretty key, and it  
3 would have been helpful to see that in the study  
4 that was presented to know the clinical outcomes?  
5 We heard the resistance data I guess for ten of  
6 them, but also the clinical outcomes because of  
7 this concern that resistance could be a problem  
8 long-term, particularly as this gets into the  
9 population with widespread use. Dr. Wald, a  
10 comment on that?

11 DR. WALD: When we were designing the  
12 study we actually did not feel that that  
13 information to be very important because  
14 immunocompetent people heal their primary herpes  
15 whether or not they are given antiviral therapy.  
16 So, following somebody for a single episode and  
17 seeing it heal or not heal without any comparison  
18 really would not have, I believe, have provided us  
19 with any additional useful information to this  
20 point.

21 DR. GULICK: May I suggest that it would  
22 have been quite easy to put together and quite  
23 reassuring to know that the partners all had a  
24 normal course after receiving valacyclovir?

25 DR. WALD: That is correct but they didn't

1 have susceptible isolates.

2 DR. GULICK: That is helpful. Dr. Fish?

3 DR. FISH: I think that the package insert  
4 could just relate the fact of how long the trial  
5 lasted; that the experience was eight months, or  
6 whatever it is; and leave it to the provider and  
7 the patient to make the decision beyond that. I  
8 think it also adds to Dr. Mathews' point earlier  
9 about a recommendation for HIV testing because that  
10 is where we do see a not infrequent occurrence of  
11 resistance to acyclovir or the other agents that we  
12 have used historically. So, in that patient  
13 population we wouldn't necessarily want to be  
14 inadvertently treating them for suppression or  
15 otherwise and have the resistance issue come up.

16 DR. GULICK: Okay. I think we have  
17 covered that. Let's move to our last question.  
18 The primary endpoint in 3009 was the proportion of  
19 couples with clinical evidence of a first episode  
20 of genital HSV-2 in the susceptible partner. Would  
21 you recommend that primary endpoint in future  
22 studies? If not, what primary endpoint would you  
23 recommend?

24 We have really already considered this,  
25 haven't we? I guess the feeling was that because

1 of asymptomatic shedding and transmission  
2 seroconversion is a valuable endpoint, or the  
3 composite endpoint of both serological and clinical  
4 would be--I am trying to speak for everyone--it  
5 would be our consensus that that would be even a  
6 better endpoint than clinical alone. Comments?  
7 Dr. Pazin?

8 DR. PAZIN: I think they should be sort of  
9 co-equal endpoints in the sense that I am  
10 interested in how many people develop clinical  
11 disease, recognizable and documented, confirmed. I  
12 am also interested in serologic conversions. I  
13 think, you know, pretty much the way this study did  
14 it, should be sort of co-equal endpoints, that they  
15 should both be incorporated.

16 DR. GULICK: Well, co-endpoints is tough.  
17 You could have a composite including both or you  
18 could make one your primary and one your secondary.  
19 But you are saying that they both tell you  
20 important information.

21 DR. PAZIN: Yes, important information.

22 DR. GULICK: Any other thoughts about  
23 that? Yes, Dr. Englund?

24 DR. ENGLUND: I would just say I think the  
25 primary should be serologic and the secondary could

1 be the clinical.

2 DR. GULICK: It certainly would make  
3 studies easier to do.

4 DR. ENGLUND: Well, it would also give  
5 uniformity. You know, with frequent serology it  
6 would give uniformity to Australia and eastern  
7 Europe, things like that.

8 DR. GULICK: We are all for uniformity in  
9 Australia and eastern Europe. Dr. Mathews?

10 DR. MATHEWS: I had a comment on a  
11 different matter.

12 DR. GULICK: Okay. Any other comments on  
13 endpoints? We have pretty much covered that I  
14 think. So, last couple of matters to think about.

15 DR. MATHEWS: I have a concern about the  
16 dose appropriateness for this indication. Because  
17 the risk reduction, depending upon what the  
18 endpoint was, varied from 0.5 to 0.75, it is  
19 clearly not zero. If you were to restrict this  
20 analysis to people who are at high risk of  
21 transmission, namely, some of those characteristics  
22 would be recently acquired infection, relationships  
23 of short duration, very frequent intercourse and  
24 other factors, a lack of use of condoms, all of  
25 these, what do we know about how well the drug at

1 this dose works in the high risk setting? That is  
2 to say nothing about other populations, for example  
3 the dose in immunocompromised or where the  
4 predominant form of contact is not vaginal  
5 intercourse, and so on. So, I think this is an  
6 area for additional study to examine the dose and  
7 to try and identify settings in which people at  
8 high risk for transmission can be studied because,  
9 this population, really the way it was constructed  
10 to make the study feasible was skewed towards  
11 relatively lower risks of transmission I think.

12 DR. GULICK: Other comments on other  
13 issues that people would like to make? Dr.  
14 Birnkrant, how did we do?

15 DR. BIRNKRANT: Very well today. We got a  
16 lot of useful information.

17 DR. GULICK: Great! So that brings us to  
18 the end of the meeting. I would like to thank the  
19 sponsor and the agency for their presentations  
20 today, the committee for a lively, provocative and  
21 far-ranging discussion, and we will close the  
22 meeting now. Thanks.

23 [Whereupon, at 3:15 p.m., the proceedings  
24 were adjourned.]

25 - - -