

UNITED STATES OF AMERICA
SOCIAL SECURITY ADMINISTRATION

+ + + + +

OCCUPATIONAL INFORMATION AND DEVELOPMENT
ADVISORY PANEL (OIDAP)

+ + + + +

QUARTERLY MEETING

+ + + + +

WEDNESDAY
SEPTEMBER 21, 2011

+ + + + +

The Panel met in the Calvert
Ballroom, Radisson Plaza Lord Baltimore Hotel,
20 West Baltimore Street, Baltimore, Maryland,
at 9:00 a.m, Mary Barros-Bailey, PhD, Chair,
presiding.

PANEL MEMBERS PRESENT
MARY BARROS-BAILEY, PhD, Chair
JOHN CRESWELL, PhD
ROBERT FRASER, PhD
PAMELA FRUGOLI
SHANAN GWALTNEY GIBSON, PhD
THOMAS HARDY, JD
JANINE HOLLOMAN
H. ALLAN HUNT, PhD
TIMOTHY KEY, MD
DEBORAH LECHNER, PT, MS
ABIGAIL PANTER, PhD
JUAN SANCHEZ, PhD
DAVID SCHRETLEN, PhD
ANDREW WAKSHUL, JD

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

ALSO PRESENT

DAVID A. RUST, Deputy Commissioner, ORDP

RICHARD BALKUS, Associate Commissioner, OPDR

MICHAEL DUNN, Staff

DEBBIE HARKIN, Staff

SYLVIA E. KARMAN, Director, OVRD

ELIZABETH KENNEDY, Staff

CLARE RITTERHOFF, Staff

NOLAN SMITH-KAPROSY, Staff

DEBRA TIDWELL-PETERS, Social Insurance
Specialist, OVRD

MICHAEL TRAPANI, Staff

LEOLA S. BROOKS, Designated Federal Officer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

T-A-B-L-E O-F C-O-N-T-E-N-T-S

Call to Order	
Leola S. Brooks4
Overview of Today's Agenda	
Mary Barros-Bailey4
Presentation and Introduction of New OIDAP Members	
Welcome Remarks from SSA Executives	
David A. Rust8
Richard Balkus	15
OIDAP Chair's Report	
Mary Barros-Bailey	20
Office Director's Report	
Sylvia E. Karman	26
OIS Baseline Activity - Services for Development and Reporting on Strategy for Training, Certifying, and Recruiting Job Analysts	
Debra Tidwell-Peters	59
Brian Cronin	60
Call Order 0002: Business Strategy for Training, Certifying, and Recruiting Job Analysts	
Jennifer Harvey.128
Job Analysis Scaling Issues	
Deborah E. Lechner198
Shanan Gwaltney Gibson215
Juan I. Sanchez.223
OIDAP Deliberation.285
Adjournment305

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 9:00 a.m.

3 MS. BROOKS: Good morning. My name
4 is Leola Brooks. I am the designated federal
5 officer for the Occupational Information
6 Development Advisory Panel.

7 Welcome to the fourth quarterly
8 meeting of the Occupational Information
9 Development Advisory Panel. The meeting is
10 now called to order.

11 I would like to turn the meeting
12 over to the Panel Chair, Dr. Mary
13 Barros-Bailey. Thank you.

14 CHAIRPERSON BARROS-BAILEY: Thank
15 you, Leola.

16 Good morning, everybody. Thank you
17 for your attendance at the first day of the
18 fourth quarterly meeting of the OIDAP for
19 fiscal year 2011.

20 The agenda for this meeting can be
21 found in your packets if you are here in the
22 audience, or, if you are listening in, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could go to www.ssa.gov/oidap under the
2 meeting information and find the agenda.

3 We are going to be having a series
4 of PowerPoints over the next couple days and
5 those are coming up onto the Web site. If
6 those are not up there during the course of
7 the meeting and you would like a copy and
8 you're listening in on line, you can email
9 Leola Brooks at leola.brooks@ssa.gov.

10 On the Web site you'll find
11 information for past meetings, panel documents
12 such as formal correspondence and reports.
13 For those of us who have been on the panel
14 from the inception, we know it's been two
15 years since we put out our initial report this
16 month.

17 And as we indicate at the start of
18 each meeting, the charter of the Occupational
19 Information Development Advisory Panel, or
20 OIDAP, is to provide the Social Security
21 Administration with independent advice and
22 recommendations for the development of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Occupational Information System to replace the
2 Dictionary of Occupational Titles in its
3 disability adjudication. Our task is not to
4 develop the OIS itself. As our name implies,
5 it is to provide advisory recommendations to
6 SSA as it develops the OIS.

7 So if we can go through today's
8 agenda, we will take a look at what we have in
9 store. It's behind tab 2 in your folders. We
10 will have a presentation of certificates to
11 the most recent OIDAP members. We will have
12 welcome remarks for SSA executives. Then we
13 will have reports by myself and the project
14 director going onto the information in terms
15 of some baseline activities for job analysis,
16 for the training certification and recruitment
17 of job analysts. We will have some
18 presentation, but a lot of discussion, kind of
19 a working session on job analysis scaling
20 issues. We will have some time for public
21 comment and deliberation and close the day.

22 As according to the OIDAP charter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that was enacted or appointment in January of
2 this year, our panel membership was to
3 increase up to 14 or not to exceed 14 members.

4 As of the July teleconference we have been a
5 full panel. However, since this is our first
6 face-to-face meeting, and for the benefit of
7 the audience listening in, I'm going to ask
8 all the panel members to go around and
9 identify your name so as we talk through the
10 session people can know who we are.

11 I'll go ahead and start with Tim
12 Key.

13 MEMBER KEY: Tim Key.

14 MEMBER GIBSON: Shanan Gibson.

15 MEMBER SCHRETLEN: David Schretlen.

16 MEMBER PANTER: Abigail Panter.

17 MEMBER HARDY: Thomas Hardy.

18 MEMBER FRUGOLI: Pam Frugoli.

19 MEMBER SANCHEZ: Juan Sanchez.

20 MEMBER WAKSHUL: Andrew Wakshul.

21 MEMBER HOLLOMAN: Janine Holloman.

22 MEMBER HUNT: Allan Hunt.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER CRESWELL: John Creswell.

2 MEMBER LECHNER: Deborah Lechner.

3 MEMBER FRASER: Robert Fraser.

4 CHAIRPERSON BARROS-BAILEY: Thank
5 you. As we can see, the mics are set up so we
6 can't have two mics on at the same time.

7 So at this time, I would like to
8 welcome the Deputy Commissioner, David Rust
9 from the Office of Retirement and Disability
10 Policy, who will provide our new members with
11 their certificates.

12 Welcome, David.

13 Pam Frugoli and Andy Wakshul.

14 David, if you'd like to come and
15 present the certificates here. And Pam and
16 Andy.

17 (Whereupon, the certificates were
18 presented.)

19 CHAIRPERSON BARROS-BAILEY: I think
20 we are -- David, I think they're okay. Thank
21 you, David. Welcome. Welcome, David.

22 MR. RUST: Madam Chair, thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Thanks for inviting me this morning. I'm
2 pleased to be with you this morning and to
3 greet the two new members of the panel.

4 As I do every time I meet with you;
5 so I know this will be repetitious for the
6 more senior members, I want to urge you to
7 move this project forward with all deliberate
8 speed. This is an important
9 project for the Social Security
10 Administration. The purpose of the project is
11 to give SSA an occupational information system
12 for the specific use of our disability
13 programs. It is our expectation that OIS will
14 provide us with an updated and fully
15 applicable replacement for the current DOT,
16 which as we always like to point out to people
17 was never really designed for our program
18 purposes. It was always sort of adopted or
19 adapted to the needs of our disability
20 program.

21 We look forward to working with you
22 to produce this product and we hope it will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 enable us to adjudicate disability claims
2 under our current regulations. It's also our
3 expectation that the revised OIS will be a
4 platform for us to look at policy changes and
5 process changes in the future.

6 We recognize the need for
7 additional in-house expertise to support OIS.

8 First, I am pleased to tell you that we are
9 in the final stages of hiring a senior
10 research psychologist with the requisite
11 specific expertise in this area. We
12 anticipate that the individual will be
13 selected for this position and will join SSA
14 sometime in October or early November.

15 Second, while our projected and
16 current fiscal situation precludes hiring of
17 new staff; and as many of you know we've been
18 under a hiring freeze for about 14 months now,
19 we are looking for other tools to allow us to
20 expand the staff expertise to support this
21 effort. One of them is interagency personnel
22 agreements and contracts with consultants.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm pleased to announce that we recently
2 awarded contracts to Dr. Fred Mergeson and Dr.
3 Robert Harvey to assist us in the OIS research
4 and development needs for fiscal year 2012.

5 We will also continue to expand our
6 effort to collaborate with other federal
7 agencies. We are meeting with the Census
8 Bureau and BLS and we have an MOU pending with
9 the Department of Labor for approval, all of
10 which will help supplement the resources that
11 we can bring to bear on this project. We will
12 continue to identify additional resources,
13 including internal resources that will help us
14 move this project forward.

15 In closing, to facilitate the work
16 of this committee, I am recommending to the
17 Commissioner that we extend for an additional
18 year the OIDAP charter so that it will cover
19 the period from January 2012 to January 2013.

20 Once again, I want to thank all of
21 you for your hard work, for your willingness
22 to serve on this panel. We look forward to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 having the new members dive right in and
2 participate actively in the deliberations of
3 the panel. I can say to the two new members
4 you'll find this is a very active panel and
5 we're pleased to provide whatever support and
6 encouragement we can in any way we can. Thank
7 you, Madam Chair.

8 CHAIRPERSON BARROS-BAILEY: Thank
9 you, David.

10 Are there any questions from the
11 panel?

12 (No audible response.)

13 CHAIRPERSON BARROS-BAILEY: I don't
14 hear any questions.

15 I have a question in terms of the
16 staffing. I know that's a challenge and I
17 know that you've been working hard. And I
18 know another challenge in terms of having the
19 OIS plan out there is that there are some
20 timelines associated with delivery of certain
21 aspects of the plan. And would the staffing
22 projections or inability to meet some of those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 staffing projections to be able to deliver the
2 plan the way it is perceived affect the
3 timeline into the future, because I know that
4 you had started the session by talking about
5 speed. And from the meetings we had
6 yesterday, I know some of the subcommittees
7 are raring to go, and so, I know that staffing
8 may be an issue from what I just heard. So I
9 was just wondering in terms of the staffing
10 pattern into the future to support the
11 timeline.

12 MR. RUST: Well, the two contracts
13 that I just mentioned are already in place, so
14 those people are going to be available to us
15 this year. Like I say, we expect the senior
16 scientists to be on board in a matter of
17 weeks. Beyond that, what we've done
18 internally is we've looked within SSA to see
19 if there are any additional resources, or if
20 we don't move the people or bring the people
21 to bear if they can work in a collaborative
22 way. And we're doing the same thing with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other departments and agencies. We'll
2 continue to do that. But we're going to be
3 badly strapped.

4 No one knows what our FY 2012
5 budget's actually going to look like. The
6 last time I checked, a Labor ATW bill had not
7 passed either House or Senate. We assume
8 we're going to be under a continued resolution
9 for the next couple months, but beyond that we
10 don't know. We do think it will be tight. So
11 I just don't see a lot of additional hiring,
12 but we will do everything we can internally
13 and externally to see if we can identify
14 resources and bring them to bear on this
15 project. This is one of our very top priority
16 projects.

17 CHAIRPERSON BARROS-BAILEY: Thank
18 you. I understand the situation that SSA is
19 under and I think we are all pretty committed.

20 And from the way we see the project going,
21 everything's in place to make it work if SSA
22 is able to deal with issues sometimes outside

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of its control in terms of the staffing. So
2 thank you. I appreciate your time again to
3 come and present to the panel.

4 MR. RUST: As I said, you can be
5 assured we'll do whatever we can to help move
6 this project forward because this is really
7 one of the things that I think can greatly
8 improve the performance and accuracy and
9 getting to the correct decision in these
10 critical programs, these critical cases. So
11 we'll be there with you.

12 CHAIRPERSON BARROS-BAILEY: Thank
13 you. And I would also like to welcome Richard
14 Balkus to address the panel. Richard is known
15 to the panel. He's the Associate Commissioner
16 of the Office of Program Development and
17 Research.

18 Welcome, Richard.

19 MR. BALKUS: Thank you, Mary. I
20 just have a few comments this morning, and my
21 comments are in the form of thank yous and
22 acknowledgements.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 First, I would like to extend my
2 welcome to both Pam and Andy to their panel.
3 I know the expertise that you bring from your
4 respective careers and long careers in federal
5 service and I know that those careers and your
6 experience will serve the panel and our office
7 well.

8 Second, I would like to acknowledge
9 Sylvia and staff for their efforts and for the
10 contributions from the panel to produce the
11 R&D plan that we put out there in July. This
12 was a tremendous effort, took longer than we
13 expected. We know that there was a lot of
14 back and forth and reviews in terms of
15 producing that plan, but I think it's
16 important for us to have it out there and for
17 our monitoring authorities and for the public
18 to have a chance to see what this project is
19 about, and particularly the complexity that
20 this project has.

21 I'd also like to acknowledge the
22 work of ICF International. I've reviewed the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reports that Drs. Cronin and Harvey will
2 present on later this morning, on that focuses
3 on job analysis methodology and the other one
4 on the business process for training,
5 certifying and recruiting job analysts. I
6 value the comprehensiveness of the material
7 covered and I value their guidance and
8 recommendations.

9 I'd also like to thank Debra
10 Tidwell-Peters for her outstanding leadership
11 and contributions working with ICF
12 International on these two initial projects.

13 For fiscal year 2012 we have a lot
14 of challenges ahead of us. I think both David
15 and Mary's question alluded to the challenge
16 of making sure that we staff this project in a
17 way that's going to continue to move it
18 forward. I can tell you that we are looking
19 at different options in terms of outside help
20 through IPAs and possibly down the road
21 through additional consultants to help us and
22 supplement the staff that we have on board in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 moving the project forward. We are also
2 anxious to have the senior research
3 psychologists join the staff. And as David
4 pointed out, we hope that that person will be
5 on board during the month of October, early
6 November at the latest.

7 But in addition, I wanted to point
8 out that for fiscal year 2011, in putting the
9 plan out there I wanted to make sure that we
10 keep on target in terms of the deliverables
11 that we had indicated in that plan. And
12 Sylvia's going to report later this morning on
13 a lot of the base line activities that have
14 come to a close or about to come to a close
15 and that are reflected in the plan for 2011.
16 I think we're all anxious to move on to fiscal
17 year 2012 to continue with moving from the
18 disability evaluation constructs into the
19 development of the work taxonomy and into
20 instrument development. These are critical
21 activities and there are a lot of dependencies
22 here with other activities that are outlined

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 in the plan to make sure that this effort goes
2 forward in a timely way and meets the time
3 frames that we've identified in the plan.

4 So again, I'd like to thank the
5 panel for their support and for their
6 recommendations and guidance on helping us put
7 out the R&D plan and I look forward to 2012 as
8 we begin this important work. Thank you.

9 CHAIRPERSON BARROS-BAILEY: Thank
10 you, Richard.

11 Does anybody from the panel have
12 any questions for Richard?

13 (No audible response.)

14 CHAIRPERSON BARROS-BAILEY: Okay.
15 Richard, thank you for your words. I wanted
16 to comment that this project over the last
17 year has changed tremendously. It's in a
18 great shape and I think SSA should be proud of
19 the direction it's going in. And I know it
20 took a lot of work, I know it took a lot of
21 thought, and I know that OPDR, OVRD is working
22 to deliver this according to the speed that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 David reminds us about and that we're all very
2 aware of, and I think the project's in great
3 shape to do just that. So thank you for your
4 words and I wanted to acknowledge SSA for the
5 work that it's done over the last year. Thank
6 you.

7 Okay. At this point we have my
8 PowerPoint. Is the PowerPoint ready to go?

9 Okay. For those of us here in the
10 room, we have a copy of my PowerPoint. I'm
11 just going to go ahead and talk through it as
12 it's getting loaded.

13 It is in front of the second red
14 tab in our -- tab 2, and it looks like this.
15 It's the very colorful one.

16 I just wanted to basically
17 summarize the fiscal year by acknowledging, as
18 I did before, that over the last year we have
19 had 3 new panel members that bring us up --
20 excuse me, 5 new panel members that bring us
21 up to the 14. If you look at the PowerPoint,
22 the ones that are highlighted -- so John

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Creswell, Pam Frugoli, Tim Key, Juan Sanchez
2 and Andy Wakshul are the new panel members.
3 We welcome your attendance, we welcome your
4 expertise. I feel very excited to see the
5 people we have around the table working on
6 this project, and particularly all of the
7 wonderful conversations as we are heading into
8 a very full 2012.

9 We have four new subcommittees and
10 the subcommittee chairs are going to be giving
11 their reports during this meeting, so I will
12 let them go ahead and announce who is on their
13 subcommittees at that time. But we have the
14 Job Analyst Subcommittee, and that's Deb
15 Lechner, chair. We have Sampling with Allan
16 Hunt as chair. We have Taxonomy and
17 Instrumentation with Shanan Gibson as chair.
18 And User Needs and Relations with Janine
19 Holloman.

20 We also have an executive
21 subcommittee; it's not a formal subcommittee
22 in the sense that it is kind of ad hoc, and it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is a subcommittee that advises me or helps me
2 with issues that come up. They helped with
3 the subcommittee structure and definitions and
4 other things that have come up during the last
5 six months. And so I want to acknowledge Bob
6 Fraser, Shanan Gibson and Allan Hunt who have
7 served in that capacity. This is an advisory
8 group that helps me. It rotates, so every six
9 months I have new people coming into that
10 capacity. So thank you for your assistance
11 over the last several months.

12 I just wanted to very briefly
13 summarize some of the things that we have been
14 doing with SSA over the last year. It has
15 been working in terms of planning and
16 consultations for the OIS R&D plan, and a very
17 useful tool as part of that plan has been the
18 business process. It's very useful not only
19 to understand where things are in the process
20 as they get developed, but also our language.

21 So yesterday as we had subcommittee meetings
22 it was very helpful to understand, okay,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 something is coming in at Phase 1. What does
2 that mean in terms of development? So this
3 has greatly assisted the process.

4 And depending on what the activity
5 is in terms of our level of engagement, it
6 might take one panel member based on the
7 activity such as the legal standards and our
8 one famous attorney on the panel, or it might
9 be an activity that takes a whole
10 subcommittee. So it's very specific. It's
11 very directed. I think it's a very efficient
12 way to move and I see that really helping the
13 process move along quite quickly. And
14 obviously we had some input in terms of the
15 job description for the lead scientist. We're
16 very excited. That is moving along very
17 swiftly, and thank SSA for that.

18 So the other thing in terms of some
19 activities or some communication with
20 stakeholders, Sylvia Karman and I presented to
21 the National Association of Disability
22 Examiners that we believe are one of the main

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 users of this and very engaged in their
2 understanding. And also they're probably the
3 number one people that want the speed in this,
4 because they see on a daily basis very much.
5 And so that was in August, at the end of
6 August in LA. We have also been contacted,
7 and I spoke with the General Accounting Office
8 in terms of a study that they are currently
9 doing.

10 As we heard from David Rust, our
11 charter is going to be going or renewed
12 through January of 2013. I know the
13 Commissioner is supposed to leave in January
14 2013, so that month will be a very active
15 month, and so that meaning doesn't fall from
16 me.

17 In terms of future meetings, I did
18 recommend somewhat of a change over the next
19 year, how we go about our work. We have, at
20 the very beginning of this panel, had four
21 quarterly face-to-face meetings. As things
22 have changed over time, and particularly as we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 project into the next year, I recommended to
2 SSA that we have two face-to-face meetings,
3 two teleconferences. That would give the
4 opportunity if there are issues that need to
5 be addressed at the subcommittee status for
6 smaller groups to be able to work with the
7 project. We understand that SSA is like a lot
8 of federal agencies, under a lot of fiscal
9 constraint as well. And for the next year I
10 believe that it's a good way to move forward.
11 And so we will be having kind of a different
12 structure. Our next teleconference is
13 December 7. We do have the teleconferences
14 set. The face-to-face meetings, we're still
15 finalizing those particular dates.

16 In terms of stakeholder input,
17 obviously that's one of the three main reasons
18 for our existence, or attendance under FACA is
19 our transparency and our ability to receive
20 comment and also make the public aware of our
21 activities. So we always welcome, always
22 welcome the public to be involved at our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 meetings, whether telephonically or here live.

2 We have been invited to present at a variety
3 of conferences. I know that we have three
4 conference presentations coming up. And there
5 are other ways that the public can contribute
6 and we welcome your input into this process at
7 any point.

8 So are there any questions from the
9 panel at all in terms of general outlook or
10 activities?

11 (No audible response.)

12 CHAIRPERSON BARROS-BAILEY: Okay.
13 Thank you all.

14 At this time I'd like to welcome
15 the project director to deliver her report.
16 And her PowerPoint was right behind mine in
17 the three- ring binder.

18 MS. KARMAN: Good morning,
19 everyone. We've covered a lot of ground since
20 we last met with you in May. We've been
21 looking forward to this meeting for a couple
22 weeks now, particularly the presentations that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we're going to hear later today and tomorrow.

2 And also I'm happy to report on some of the
3 progress that we've had over the last few
4 months.

5 So I've divided my report or
6 presentation into two segments; one to cover
7 the status and reporting, the completion of a
8 number of activities for fiscal year 2011.
9 And the second half covers the activity plan
10 for fiscal year 2012, some of which we have
11 already begun, and we initiated some of that
12 discussion yesterday with the panel in
13 subcommittees. This segment also includes a
14 few words about the presentations that we're
15 going to be hearing today, presentations to
16 the panel, because of the significance of
17 these topics for us in fiscal year 2012.

18 So as you know, SSA has published
19 the fiscal year 2011 OIS R&D plan this July.
20 It is available on our most recently initiated
21 project Web site. The Web site address; I
22 think it's going to be on the last slide, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for those of you who are listening, the
2 address is
3 www.ssa.gov/disabilityresearch/occupational_
4 [info_systems](http://www.ssa.gov/disabilityresearch/occupational_). We intend to publish an update
5 of the plan every fiscal year and largely
6 because the plan provides a window on to the
7 R&D phase, research and development phase of
8 the OIS project.

9 The first few sections of the plan
10 basically cover -- they outline OIS
11 objectives; the R&D objectives, that is, the
12 organizations that are involved directly and
13 indirectly with the project, and also the OIS
14 business process. As Richard had mentioned,
15 because the audience of this plan is largely
16 the public, also our monitoring authorities,
17 it is written at a higher level so that it
18 doesn't provide, you know, an enormous amount
19 of detail or else it would be quite long, but
20 also just sort of gives the public a sense of
21 where we're headed, what we're planning to do
22 in a given year. And of course, in our effort

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 to update that every single year, some of
2 these things are going to change.

3 So one of the things that I think
4 is going to be of great focus for us in the
5 next year certainly is if people were to look
6 at -- Section IV covers the research and
7 design activities required before full
8 national stage data collection can begin. And
9 you will note that the plan and the timeline
10 are really quite aggressive. So we have a lot
11 of work to cover, a lot of ground to cover in
12 2012. And already with the discussions that
13 we've been having with panel members, I think
14 we're going to be well able to really get that
15 underway.

16 Some other activities that you will
17 find in Section IV of the R&D plan refers to
18 the baseline activities. We are completing a
19 final report. That would be in our vernacular
20 a Phase 4 document for the OIS International
21 and Domestic Investigation. And we are on
22 schedule to have the Phase 4 draft to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 panel and the OIS Development Work Group in a
2 couple weeks for consultation and review. One
3 of the outcomes of the OIS investigation I
4 find most useful is the extent to which we
5 have established contacts both internationally
6 and domestically with officials, particularly
7 those of the U.S. federal agencies. I think
8 it's going to be very helpful to us in terms
9 of finding out, you know, who has the
10 historical background and understanding for
11 the processes, the design features that are in
12 effect for a number of the classifications
13 that we reviewed. So I think that that is
14 going to be very helpful for us moving
15 forward.

16 We have also completed the reviews
17 of the appellate cases for the Occupational
18 Medical- Vocational Study. The R&D plan
19 timeline shows that the -- what we call the
20 Occ Med-Voc Study to be completed in early
21 fiscal year 2012. Our staff is now conducting
22 a quality assurance process with some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 assistance from two or three reviewers from
2 other offices within the Office of Retirement
3 and Disability Policy.

4 Also, as shown as baseline
5 activities in the plan is contracted work that
6 we have with ICF International. ICF has
7 completed final reports for what we call Calls
8 1 and 2, one for benchmarking job analysis
9 methods and the other benchmarking recruitment
10 training and certification processes for job
11 analysts. And of course as you know ICF will
12 be presenting to you all later this morning
13 and this afternoon.

14 Another set of activities that we
15 undertook this fiscal year is to identify the
16 OIS standards. We have three areas that we
17 are interested in establishing those
18 standards, or recognizing, identifying
19 standards that have already been established.

20 For legal standards we have
21 completed the final report. Again, in our
22 business process we refer to that as a Phase 4

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 document. And that is currently under review
2 with Social Security's Office of General
3 Counsel. Their comments are due to us I think
4 by early next week, if not the end of this
5 week, so we hope to have their input shortly.

6 And then at that point our management will
7 also review the plan -- I mean, the final
8 report and we'll be sharing that with the OIS
9 Development Work Group, as well as the panel.

10

11 For our scientific standards, we
12 again have completed a draft, a final document
13 or a Phase 4 document. Our management is
14 reviewing it, and that includes me, so I need
15 to do that when we finish today. We also plan
16 to have the finals of the legal and scientific
17 Phase 4 documents completed within the next
18 two weeks, so I think that will be something
19 that we can then have already identified. And
20 in those two cases we are really identifying
21 standards that exist.

22

In the case of useability, we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 completed a Phase 1 and we've met with the OIS
2 Development Work Group with the SSA's
3 useability center to inform our work moving
4 forward in that area. I also know that the
5 subcommittee, User Needs and Relations
6 Subcommittee met to talk with our team
7 yesterday about our useability work. The R&D
8 plan timeline shows this activity is being
9 completed in FY 2012, rather than 2011.

10 Our next steps involve
11 consultations with the EOIS Development Work
12 Group and of course with User Needs and
13 Relations Subcommittee and other members of
14 the panel. We anticipate the need to map out
15 all of the decision points that adjudicators
16 may need to make throughout step 4 and step 5
17 of the sequential evaluation process. I think
18 that will really enable us to get a better
19 sense of the specifics that we will need in
20 order to develop the taxonomy and, you know,
21 move into instrument development so that we're
22 sure that we're creating an instrument that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 will garner the kind of information, the kind
2 of data our adjudicators really do need.

3 So the useability portion of the
4 standards is requiring us to do a bit more
5 work with other aspects of our project, so it
6 was not possible for us to be completing that
7 at this moment since other things need to also
8 happen concurrently.

9 So I think both Deputy Commissioner
10 Rust and Richard Balkus did speak to the issue
11 of where we are in terms of bringing
12 additional staff and expertise that does not
13 currently exist within Social Security to the
14 project. And regarding our need to build on
15 SSA's expertise to conduct the OIS research
16 and development, we have conducted the
17 interviews for the senior research
18 psychologist position and we plan to complete
19 the selection process in the next two weeks.
20 And we anticipate that the individual will be
21 joining our project in October or early
22 November, as both has indicated.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Also in July we awarded contracts
2 to I/O, industrial/organizational,
3 consultants, Dr. Fred Mergeson and Dr. Robert
4 Harvey. Each are preparing his proposal
5 regarding the next steps that we need to take
6 to complete the work taxonomy, which each in
7 turn will critique the other's proposal before
8 they submit them to us. And from these
9 proposals we will consult with the panel,
10 specifically the Taxonomy and Instrumentation
11 Subcommittee to develop our methodology or
12 what we term in our business process to be a
13 Phase 2 document to develop the work taxonomy.

14 So we really need to be moving as quickly and
15 deliberately as we can. We anticipate having
16 both proposals from the consultants within the
17 next three weeks.

18 As for the additional SSA staff for
19 OVRD, we do have the ability to hire any other
20 staff as the agency continues to be under a
21 hiring moratorium, which I know you've heard
22 this morning. So we are certainly looking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 forward to having the senior research
2 psychologist on board with us. That will be
3 of enormous assistance to us and I, you know,
4 continue to note that the need for additional
5 full time staff is, you know, well known
6 dependency for the work that we're doing while
7 we do pursue other options though looking for
8 interagency personnel agreements if the
9 funding is available.

10 Also, with regard to some of our
11 external activities, the Government
12 Accountability Office is conducting an audit
13 of SSA's activity to modernize the disability
14 programs and in that effort it has also
15 identified the OIS project as a subject of
16 this review. The GAO staff has met with us a
17 couple times over the last few months; once in
18 May and again September 1, to ask us some
19 questions about the project. We understand
20 the GAO intends to produce a report in spring
21 of committee 2012.

22 And while we have not be traveling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as frequently as we did in fiscal year 2010,
2 both because of budget concerns and also
3 because at this point with our project well
4 underway it just is simply necessary for us to
5 be, you know, in the office doing that work.
6 However, I did present with Mary at the
7 National Association of Disability Examiners
8 National Training Conference at the end of
9 August. She also had mentioned that. And I
10 am scheduled to present on the OIS project at
11 a preconference for the Vocational Evaluation
12 Work Adjustment Association and National
13 Rehabilitation Association in mid-October. And
14 finally, Mary and I are presenting on a panel
15 and project activity to the International
16 Association of Rehabilitation Professionals in
17 early November.

18 I mention these organizations and
19 our ability, when funding and our schedules
20 allow and when we've been invited, to speak
21 with these organizations because it's been
22 very enlightening for us in terms of how our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 project is moving forward, how others see it,
2 where in fact our work can be of most value.
3 Certainly when we presented to the National
4 Association of Disability Examiners it is very
5 evident that the work that we are undertaking
6 is of enormous importance to the adjudicators
7 who are, you know, at the head of the line,
8 first in line to get the initial claims for
9 disability. So it's a good reminder for us
10 about what the purpose is, so I very much
11 appreciate the invitations to these
12 organizations.

13 So let's talk a little bit about
14 where we're going in fiscal year 2012. We
15 have already launched a number of activities.

16 And as our baseline in standards work winds
17 down and completes in the next couple weeks,
18 we have begun to move forward with several
19 other key initiatives. We are developing a
20 matrix of the key OIS design elements. We
21 have design decisions that we need to address
22 throughout the research and development phase

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 of the project. That is also reflected in the
2 table of contents in the plan. Particularly,
3 we have begun consulting with the Taxonomy and
4 Instrumentation Subcommittee regarding the
5 design decisions that are most urgent and that
6 these questions are the ones that we must
7 address in order to complete the work taxonomy
8 and the instrument. The
9 industrial/organizational consultants will
10 also provide their input to these questions.

11 Following the award of the two
12 industrial/organizational consultants, the
13 staff drew up a series of questions that
14 comprised the consultants' first tasks. And I
15 mentioned this a few slides ago about
16 developing proposals on how to complete the
17 work taxonomy the panel recommended in 2009,
18 and that would be based on the agency's
19 disability evaluation needs.

20 The I/O consultants proposals will
21 help us take the next steps to complete the
22 prototype work taxonomy and that, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 combination with other work that we will be
2 doing under our business purchase agreement
3 with ICF, will need to be coming together at
4 the end of February. So we have a lot of work
5 to do in a short amount of time.

6 Also, staff is completing a final
7 draft of a paper documenting our literature
8 survey regarding work analysis. And again,
9 this is for our use for our staff to be able
10 to have sort of an overview, an sense of what
11 the research and the work has been in the area
12 of work analysis. And all of this lays the
13 groundwork for our instrument development
14 which we are scheduled to take up toward the
15 end of 2012. We also plan to begin developing
16 the requirements for a data management plan to
17 house the OIS data as well as the protocol for
18 analyzing it. So I think that that will be an
19 important part of the instrument development
20 certainly.

21 Some other things that we're
22 looking forward to in 2012: We have drafted a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Phase 1, which in our business process is
2 really a conceptual outline for developing a
3 prototype sampling plan. We met yesterday
4 with the Sampling Subcommittee and we held
5 that initial consultation, recognizing that we
6 already know we very much have a need to hear
7 from a number of other experts in this area to
8 I think help us not only, you know, look at
9 the questions that we've already developed,
10 but help us formulate an approach to
11 addressing those and perhaps even identifying
12 other options and creative ways of getting at
13 this. Very unique problem, because Social
14 Security is looking at gathering labor market
15 information, but not quite in the same way
16 that other statistical agencies have done so
17 up until now. So, you know, we have as usual
18 something that's not quite the same as what
19 others have. So we really do need to take a
20 creative look at what can be done there.

21 We are also preparing to begin
22 another task under the business purchase

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 agreement; I just mentioned that a little
2 while ago, that Social Security has with ICF
3 International involving data collection
4 processes and protocols. And so that subject
5 may come up toward the end of the
6 presentations that they have.

7 Finally, we will need to initiate
8 the title taxonomy so that we have a
9 classification structure in mind to begin with
10 when we conduct the prototype pilot as
11 scheduled for 2013. Also, there are some
12 questions that are related with regard to the
13 development of the taxonomy and instrument in
14 that regard.

15 So another piece of what we're
16 looking forward to in 2012 involves the
17 presentations that we're going to hear from
18 today and tomorrow. First I just want to
19 mention that a couple of the panel members are
20 going to be discussing scales and measures,
21 which I think is going to be really helpful to
22 us as we are heading into this fall into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 taxonomy development and then preparing the
2 requirements for the instrument.

3 So to support that work that we're
4 going to undertake in 2012, we did work with
5 the panel to schedule these presentations for
6 the September 2011 meeting. So as I mentioned
7 earlier, I think Deb Lechner and Juan Sanchez
8 will discuss the relevant scales and measures
9 for physical work demands and I think that
10 information of course will be really critical
11 to our taxonomic development. To assist the
12 panel its deliberations and SSA in its
13 development of data collection and job analyst
14 business process, we are also pleased to have
15 presenters from ICF International, you know,
16 discussing the results of their final reports
17 on both calls 1 and 2.

18 And also Pam Frugoli, our new panel
19 member, and team leader in the Department of
20 Labor employment Training Administration is
21 presenting on the points of contact within the
22 O*NET Center process for collecting data for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 O*NET. And Michael Weiler from Census Bureau
2 will be presenting on the data collection
3 process that Census has in place for a number
4 of federal surveys that it conducts to produce
5 information that the public has come to rely
6 on and probably does not even realize where it
7 comes from.

8 So I do thank all of the
9 presenters. We have a great deal to learn
10 from you and we will continue to meet with
11 experts and officials from other federal
12 agencies who can provide us with their insight
13 and valuable lessons such as we well know the
14 sampling approaches that we heard from both
15 Department of Labor and Census Bureau in May
16 of 2011, and now the data collection
17 processes.

18 So my final screen there does show
19 the Web site and contact information. I guess
20 this would be a good time for me to find out
21 if there are any questions.

22 MEMBER SCHRETLEN: Thank you,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Sylvia. I guess I also want to second what
2 Mary said, that it seemed after this panel
3 promulgated our report that there was a kind
4 of lull of activity as Social Security got
5 reorganized to really get started, and it's
6 very exciting to see how much progress has
7 been made over this past year toward that end.

8 You talked about the consultants,
9 Drs. Mergeson and Harvey, and they're
10 consulting to SSA. This panel of course
11 consults to SSA. And I'm just wondering can
12 you talk a little bit about how we might wind
13 up interacting with those consultants? Do you
14 anticipate them attending any of these
15 meetings? Will we have direct contact? I
16 know we're going to be reviewing some of their
17 -- you know, what they write, but will there
18 be just dialogue between this panel and those
19 consultants?

20 MS. KARMAN: Good question, David.
21 We have established, as we do with our
22 contractors, that the contracting office or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 technical representative and the manager
2 overseeing the project are really the two
3 individuals that will have ongoing continued
4 contact with the contractors. There are a
5 number of reasons for that. One is so that
6 because, you know, they are the most familiar
7 with the tenets of the contract and also with
8 where we would want to be spending their time,
9 since in this case time literally is money.
10 And we only have so many hours that we can
11 allot in a consultant contract to the
12 contract, so we want to try to keep tabs on
13 where the time is spent.

14 So we had a lot of discussion in
15 setting up the contracts before they were
16 awarded, about where might we be wanting to
17 have consultants spending time? You know,
18 would we want them attending meetings and that
19 kind of thing? So there may be opportunity
20 where it would make sense for us to, for
21 example, have a round table with several
22 members of the panel and then the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 industrial/organizational psychologists
2 attending that as well, you know, to address a
3 specific issue with, you know, a 4-square
4 document and, you know, the questions laid
5 out. Or, perhaps there may come a point where
6 it may be valuable to have them come in and do
7 a presentation as we have asked ICF to do so
8 today.

9 And so the interaction that we
10 anticipate the panel having with the
11 consultants will be largely through our staff.

12 But when we think that it would be valuable
13 either on the part of the -- you know, one of
14 the subcommittees or in terms of some of the
15 activities that we're initiating, you know, it
16 may make sense to bring one or both of the
17 consultants in to, you know, participate in a
18 formal meeting. So that's pretty much how we
19 have it set up, because I think that way we
20 can better manager the work load that we give
21 them, since again it's the way the contract is
22 set up. We pay by an hourly basis and we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a limit under the contract how many hours we
2 can put forward to that.

3 MEMBER SCHRETLEN: And then the
4 same question about the senior scientist.

5 MS. KARMAN: Okay. So the way in
6 which we've set up the senior scientist
7 position is, first of all, that individual is
8 going to be in the front office for the Office
9 of Vocational Resources Development. Person
10 would report to me and they would serve as a
11 senior advisor not only to me but to my
12 managers and to my staff, as well as my
13 management. So what we did was that position
14 is not -- we did not encumber that position
15 with management responsibilities because we
16 felt that that would really distract that
17 individual from some of the very, you know,
18 much needed focus that we're going to need.

19 So I say that to start the
20 conversation in the line of, well, you know,
21 this person will be there to roll their
22 sleeves up and actually work one-on-one with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 me, with our managers and staff, frequently
2 having to mentor staff that are more new to
3 this work. And since most of us are new to
4 this work, I think a number of us will be
5 mentored in that regard.

6 But also I think the way that
7 that individual will interact with the panel
8 will be through our business process, just as
9 we're doing currently with, you know, our
10 current staff and our current setup, you know,
11 when there are particular activities that
12 we're focusing on that we need to bring to,
13 you know, one or more panel members, and
14 sometimes it's to an entire subcommittee for
15 consultation either at Phase 1 or Phase 2, and
16 then again at Phase 4 when we've completed the
17 work. During phrase 3 we'll undoubtedly be
18 meeting with not only, you know, one of our
19 managers and staff, but also with the senior
20 research psychologist. So you're going to
21 have that one-on-one time with that individual
22 just as you would under our business process

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with one of us.

2 CHAIRPERSON BARROS-BAILEY: Tom?

3 MEMBER HARDY: I just had a quick
4 procedural question. The reports coming in
5 from the consulting contractors that are going
6 to taxonomy, will they also go to the rest of
7 the panel, or can I request that so I could
8 take a look at the, too?

9 MS. KARMAN: Yes, I think we
10 absolutely will be sending that to the rest of
11 the panel. What we may want to do in the case
12 of these two proposals is our staff will be
13 preparing a Phase 2 document for taxonomy
14 development, which is really like in a way our
15 reaction to what we will be reading from the
16 two proposals. And I'm sharing that with the
17 taxonomy staff to -- I mean, Taxonomy
18 Subcommittee to get their input and
19 consultation. So but I think that absolutely,
20 given the significance of what this entails in
21 terms of, you know, how seminal and what a
22 foundation the taxonomy is for all of the OIS,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 I do think that we would want the panel to
2 take a look at it, as we will also want to
3 invite the OIS Development Work Group to have
4 an opportunity to review it.

5 CHAIRPERSON BARROS-BAILEY: Thank
6 you for the question. I just want a reminder
7 that when we get documents like that, as SGE
8 is the pre-decisional, and so they have to
9 stay within the panel and not go any further
10 than the panel until SSA is at a point of
11 releasing those.

12 So are there any other questions
13 from the panel?

14 MEMBER HUNT: Maybe this is unfair
15 to ask in front of your bosses, but you have
16 an extended plan which has been promulgated
17 now. I presume that it was drawn up in
18 anticipation a constant level of staffing. I
19 know this sounds like blue sky by and by, but
20 is there any chance that if staffing were to
21 be increased in any of the ways that you've
22 describe some of those deadlines could be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 moved up, or do you think this is mostly a
2 conceptual-level problem which is just going
3 to take a certain amount of time to solve?

4 MS. KARMAN: Thank you, Allan. I
5 think it's a little of both. And one of the
6 things I think we're going to find as we map
7 out our Microsoft project timelines, which
8 we've already begun, and we're, you know,
9 entering in information about our
10 dependencies, I think as we uncover and get
11 into more detail some things may, you know,
12 require a greater amount of investigation;
13 I'm thinking of sampling right now, than we
14 might have thought originally; maybe not, but
15 I mean, because we did give that, you know, a
16 fair amount of time, so would if we were
17 bringing on more staff, would that enable us
18 to do more more quickly?

19 There is a limit in terms of how
20 long it takes a federal agency to get through
21 the contracting. There's a contracting cycle.
22 We will need to get in line over at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Office of Management and Budget to get
2 approval for our sampling and data collection
3 plans, and there's a certain amount of time
4 that goes with that. And those things were
5 thought of. I mean, they were built into the
6 plan. So even though some of our work could
7 be moved forward and some things could
8 possibly happen more quickly with additional
9 staff, some things just simply wouldn't
10 because they take what they take and they're
11 out of our hands.

12 But that said, I do think that, you
13 know, we've been trying to do more with less
14 for quite some time and I think it's time to
15 do more with more. But, you know, we will,
16 you know, avail ourselves to the best of our
17 ability to look into, you know, other options
18 in terms of IPAs and consultant contracts,
19 although I must say that, you know, bringing
20 in IPAs and consultant contracts, that really
21 means that you need staff to monitor it. I
22 mean, somebody has to physically do it and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that's not a small thing either. So, you
2 know, all around that would be an issue.

3 But I do think that what you're
4 seeing in the plan at this point is probably
5 about as tight as it's going to get. I don't
6 think that given the budget and acquisition
7 cycle and the types of clearances that we will
8 need in order to move this forward that it
9 could possibly happen sooner.

10 CHAIRPERSON BARROS-BAILEY: Tim?

11 MEMBER KEY: Kind of a follow up to
12 Allan's question on that: Sylvia, do you
13 anticipate that the GAO audit will be helpful
14 in that sense of if they feel that the plan is
15 moving forward with this project is important
16 that they would be a positive for you?

17 MS. KARMAN: We certainly would
18 hope so, but I guess that remains to be seen
19 what the final focus will be for the GAO with
20 regard to the overall audit that they're
21 conducting. You know, so it isn't really
22 completely clear to me how our project might

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 necessarily map onto what the total formal
2 statement is for the GAO audit, so I'm not
3 really sure. But I do think that any light
4 that either monitoring authorities or anybody
5 can shed on how complex this project is and
6 how important it is for the disability
7 programs, you know, can only help us. So in
8 that regard I do see that people taking note
9 of it and recognizing that, yes, well, we're
10 not perfect, but we do work hard and we have
11 been working very well with you all and with
12 others to, you know, glean as much as we can
13 about something that the agency heretofore has
14 never done. So I think it could be helpful.

15 CHAIRPERSON BARROS-BAILEY: Other
16 questions?

17 (No audible response.)

18 CHAIRPERSON BARROS-BAILEY: Okay.
19 I have a question, Sylvia. I was going
20 through as you were describing how many of the
21 projects are Phase 4. And we have a new Web
22 site up there for OVRD. And so my question,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because as you know, from the very beginning,
2 starting with our report in 2009 through to
3 today, one of the constants; and it's
4 recommendation No. 7 as well from our report,
5 is the transparency of this. And so are these
6 Phase 4 documents as they get finalized by SSA
7 going to be available to the public on the
8 OVRD site?

9 MS. KARMAN: I do think that when
10 we have completed a Phase 4 document and it's
11 information that would not impede our ability
12 to, for example, prepare requirements and put
13 a request for proposal on the street, you
14 know, I mean, if it would not undermine our
15 ability to do something along those lines with
16 regard to contracting, things like that, I see
17 that once our management has had an
18 opportunity to review it that we would want to
19 make our documents available to the public so
20 they can see frankly where we're going, what
21 the results have been. So they may be hearing
22 us talking about these activities and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 seeing, well, not necessarily the results,
2 because sometimes the report is nothing more
3 than just reporting on activity, and so it
4 again forms a window onto an activity, but at
5 least you can have a sense of where the
6 project is and what's going on. So I do think
7 that that's a very important piece to our
8 whole communication strategy, is to help
9 people understand what we're doing, what we're
10 not doing and, you know, have an opportunity
11 to read it for themselves.

12 I just want to mention that this
13 table is getting longer and longer. I'm like
14 really far away from the Chair. It used to be
15 closer together, but now as we've expanded the
16 number of people on the panel, it's quite a
17 long time. It's very good to see. Thank you.

18 CHAIRPERSON BARROS-BAILEY: I think
19 part of that is because you used to sit closer
20 when you were on the panel. And I just want
21 to also say I'm looking at the Gantt chart,
22 page 53, on the project OIS plan and it's very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stark between 2011 and 2012. I mean, it's
2 very obvious there are a lot of things
3 finishing and a lot of things starting, a lot
4 of very important things starting. So we look
5 forward to fiscal year 2012. Should be an
6 exciting year. So thank you for all your
7 work.

8 We're running a little early, so
9 let's go ahead and take a break and come back
10 at 10:30, because I have a feeling that the
11 next few presentations we're going to be very
12 intent and had a lot of questions. So let's
13 take a 20-minute break. Thank you.

14 (Whereupon, at 10:10 a.m. off the
15 record until 10:32 a.m.)

16 CHAIRPERSON BARROS-BAILEY: Thank
17 you. We're back on the meeting and the next
18 presentation on the agenda is the OIS baseline
19 activity. The services for development and
20 reporting on strategy for training,
21 certifying, and recruiting job analysts.

22 Behind the third tab, or the third

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 red tab on the second numerical tab you will
2 see some bios for the presenters. We have
3 Debra Tidwell-Peters, social insurance
4 specialist. From the Office of Vocational
5 Resources Development, we have Brian Cronin,
6 Ph.D., the senior manager; welcome, from ICF
7 International. And there's somebody else
8 sitting there. Lance Anderson, Vice-
9 President, ICF International.

10 So their bios are in your binders.

11 Welcome.

12 MS. TIDWELL-PETERS: Good morning,
13 Mary, and thank you very much.

14 I am Debra Tidwell-Peters. I am
15 the contracting officer's technical
16 representative for a blanket purchase
17 agreement awarded in September of 2010 to ICF
18 International. This work is listed in the OIS
19 R&D plan under baseline activities, those that
20 are intended to explore and document
21 background information on various project
22 activities.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 To date we have executed two call
2 orders under the BPA: Call order 1 is for
3 services to provide and investigate and
4 benchmark job analysis methods and procedures;
5 and Call Order 2 for services to provide input
6 into the development of a business process to
7 recruit, train and certify job analysts. We
8 recommend and we should keep in mind that this
9 work has occurred prior to the development and
10 delivery of the OIS work taxonomy and
11 instrument and all findings and
12 recommendations are made with that in mind and
13 will be revisited and refined once we have
14 those two vital pieces in hand. Once
15 finalized, the final reports for calls 1 and 2
16 will be available on the OIS project Web site.

17 Now I would like to introduce Dr.
18 Brian Cronin, the ICF lead on Call 1, the
19 investigation into job analysis methodologies.

20 Brian?

21 DR. CRONIN: Thank you, Debra.
22 It's a pleasure to be here, everyone. Thank

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you for your attention this morning. As Debra
2 mentioned, my name is Brian Cronin. I work
3 for ICF. I was the project manager for Call 1
4 and I'm here with Lance Anderson. He's the
5 project director for the entire BPA over all
6 the call order.

7 I have an agenda here up on the
8 screen. I'm going to be moving pretty quickly
9 through my slides, and there are actually a
10 couple of tweaks on the overheads, but if you
11 have a handout in front of you, it's mostly
12 the same. But I do want to hit on a few key
13 points this morning. First, I want to
14 introduce our team at SSA, key team members,
15 ICF members and our subcontractors. Then I'd
16 like to look at our project and its purpose.
17 And then the project methodology, our
18 recommendations related to job analysis
19 procedures and then our recommendations
20 related to some of the job analysis models
21 that we looked at. And then I'll finish with
22 our overarching recommendations and potential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 next steps under the BPA.

2 Before I go on, are there any
3 questions or things that you'd like to discuss
4 off the bat?

5 (No audible response.)

6 DR. CRONIN: Okay. Great. So in
7 terms of introductions, here's an overview of
8 our team. On the SSA side, the team was led
9 by Debra Tidwell-Peters. The team also
10 included David Blitz, Michael Dunn, Elizabeth
11 Kennedy and Mark Trapani. They were all
12 instrumental in getting us to where we are
13 today. Their direction and guidance was
14 extremely important to us.

15 On our team, that included myself,
16 Lance, Beth Heinen, Jessica Jenkins, Allison
17 Cook and Daniel Fien-Helfman. And all of
18 these folks really had significant roles on
19 the project. All of these folks have
20 backgrounds in I/O psychology.

21 And then we had three expert
22 subcontractors. Paul Davis, who is an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exercise physiologist and recognized authority
2 on the subject of fitness standards; Dr. Kelly
3 Day, who is an occupational therapist; and Dr.
4 Len Mattheson, who is a leading scholar and
5 practitioner in the area of industrial
6 rehabilitation. So all of these folks
7 contributed our ultimate project results.

8 Okay. Moving on to an introduction
9 to our project and its purpose, we've talked
10 about this some this morning already, and I'm
11 sure you're well aware, SSA is in the process
12 of developing its new occupational information
13 system tailored specifically to SSA's
14 disability programs. And the OIS will replace
15 the DOT and its companion volumes, the SCO and
16 the RHAJ.

17 To develop the OIS, SSA needs a
18 detailed methodology and strategy for analysts
19 who perform job analysis throughout the U.S.
20 labor market. And so the purpose of Call 1
21 was to perform the research needed to identify
22 the useful features of existing practices that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 might support the development of SSA's
2 ultimate job analysis methodology.

3 Now looking at our project
4 methodology, it's just one slide here. We
5 cover it rather quickly, but it was actually
6 about eight months of work. So it all boiled
7 down to the three major tasks. The first one
8 was our meetings and discussions with SSA. It
9 was extremely important, given the importance
10 of the project, that we stayed in close
11 contact with SSA and that we met with them
12 weekly or biweekly, gave them monthly reports
13 and addressed challenges as they came up to
14 keep us on track and meet our timelines.

15 The second task was really the core
16 of the entire project. It was the review and
17 evaluation of job analysis practices. And
18 that involved three sub-tasks. The first was
19 our documentation of our detailed project
20 methodology. We wanted to have a record of
21 all of the steps that we took to collect our
22 data so that it could be referenced in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 future. The second sub-task was our lit
2 review and background research. We looked at
3 about 200 different articles across a variety
4 of disciplines. And then the third sub-task
5 was the conduct of our focus groups, which
6 included exports from a variety of fields
7 including vocational rehabilitation, human
8 resources, I/O psychology, ergonomics and
9 occupational health. So we had a really good
10 mix of people participate in those groups and
11 they really helped to inform some of our
12 results.

13 And then the final task was
14 reporting our job analysis practice results.
15 And we had an enormous amount of information,
16 so what we determined was we would look at the
17 procedures, the practices and models that had
18 the most support in the literature, focus on
19 those major practices and dedicate a full
20 chapter to each one of those.

21 And what I want to do is point you
22 to the structure of that template. I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know if you have a handout that looks
2 something like this. You guys have that? It
3 should be in your folder.

4 MS. TIDWELL-PETERS: It has the
5 chapter 20 Threshold Trait Analysis handout.
6 Does everyone have one?

7 CHAIRPERSON BARROS-BAILEY: I don't
8 see it in our folders. I do have the whole
9 report, so it's in the whole report. Page
10 20-1.

11 DR. CRONIN: So if you flip through
12 really any of the chapters, and the chapters
13 in the back, they all follow the same
14 structure. And so I just wanted to cover the
15 structure, so as I'm going through my slides
16 I'm going to dedicate one slide to each
17 chapter, but you'll know there's a lot more
18 information back in the report.

19 And so really the way these are
20 structured is on the front page you get an
21 overview of the practice and then some key
22 highlights Underneath that there's a bar that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 goes across and it shows which disciplines use
2 that particular practice or what we saw in the
3 literature about disciplines that use that
4 practice. Underneath that we report our
5 quality and data considerations related to
6 that practice which includes things like level
7 of detail and data collected, job performance
8 measured, security of data, validity,
9 reliability, things of that sort. And those
10 are just a report of what we found in the
11 literature, what we heard in our focus groups.

12 Going onto the next page, which
13 would be 20- 3, you can see we have our data
14 sources listed. So when you use this
15 practice, where do you get the information
16 from? And in this case it's incumbents,
17 occupational materials, direct supervisors.
18 Below that, target data collection procedures.

19 Which ones are used within this particular
20 practice, within this model? And in this
21 case, review of written materials, job
22 observation survey, etcetera, etcetera. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then underneath that for this practice what
2 types of data are collected? And you can see
3 here for the TTA, personality characteristics,
4 environmental conditions, importance and
5 frequency of activities, so on and so forth.

6 And then if you flip on to 20-4, we
7 provide information that we found in
8 literature, we heard in our focus groups about
9 the resources needed to administer this model
10 or to develop this particular practice. So
11 you have length of time to develop, monetary
12 costs to develop, length of time to administer
13 and monetary cost to administer. And then
14 below that data documenting resources. This
15 one uses a job analysis instrument, an off-
16 the-shelf instrument. And then what
17 additional resources are needed for this
18 practice? And in this case access to the work
19 place, meeting space, and then a computer,
20 access to organizational materials.

21 On 20-5, you can see for each
22 template we end it with a listing of kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 overarching pros and cons. And then in the
2 final section we have our expert evaluation,
3 which was basically ratings provided by our
4 ICF team and our subcontractors related to the
5 validity of the information collected, the
6 reliability of data, likelihood an analyst
7 could be successfully trained to use this
8 practice, and that sort of thing.

9 So as I mentioned, for each of the
10 major practices that we identified, there were
11 6 procedures and 10 models. We dedicated a
12 chapter for those. Now of course there are a
13 lot of other techniques out there, and those
14 are represented in our supplemental chapters,
15 and there are two of those in the report which
16 provide sort of a paragraph or a two-paragraph
17 overview of that particular technique.

18 So that's an introduction to the
19 report. I want to emphasize again that the
20 report was basically to set the table. It was
21 to sort of be a benchmarking study of
22 available job analysis practices that exist.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We wanted to document all of that information
2 and then when the taxonomy, when the content
3 model are finalized, we can go back to that
4 and see which procedures might work best and
5 which combination of procedures might work
6 best together to conduct SSA's job analysis.

7 Okay. Moving onto some Call Order
8 1 terminology. We collected all this
9 information, and it was across a variety of
10 sources, and we realized that there were five
11 times that we were using frequently and
12 sometimes interchangeably. So we decided for
13 at least the purposes of Call 1 we would
14 define these terms and try to use them
15 consistently throughout the project. And so
16 they are as follows:

17 Definitely in the report we've been
18 consistent. I'm going to try to do the same
19 in my presentation, but when we refer to
20 "project methodology," that refers to the
21 steps taken to conduct Call 1. When we refer
22 to "job analysis methodology," that refers to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 SSA's ultimate data collection process that
2 will be developed to address the OIS needs.
3 When we say "practice," that includes all job
4 analysis approaches, techniques, models and
5 procedures that we reviewed. When we say
6 "procedure," that refers to a general data
7 collection technique identified for this call,
8 like a focus group or a survey, review of
9 written materials, that sort of thing. And
10 then the last one is "model." And that refers
11 to an established off-the-shelf job analysis
12 approached identified for this call order.

13 The reason we looked at the models;
14 and I'll talk more about this a little later,
15 is we wanted to see how procedures could be
16 combined and how they work in different
17 contexts. We know that SSA is not going to
18 adopt one particular model and use that for
19 the purposes of the OIS. We may be able to
20 learn a lot from the way procedures are used
21 within that model and use that to develop the
22 ultimate methodology.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Okay. So next I want to take a
2 look at our recommendations related to job
3 analysis procedures. As I mentioned, there
4 are six of these, and the first one I want to
5 look at is the review of written materials,
6 but I want to emphasize -- I'm going to go
7 through these six. We understand that no one
8 procedure will fully meet SSA's needs, so I'll
9 talk about them individually, but our
10 recommendation is that we'll need a
11 combination of procedures to develop the job
12 analysis methodology. So each one of these
13 has some pros and cons that I'll talk through,
14 but in the end they'll need to be combined in
15 some way to develop the ultimate job analysis
16 methodology.

17 Okay. So the first one is review
18 of written materials. You're probably all
19 familiar with this. It's looking through
20 position descriptions, training curriculum,
21 materials that exist within the organization
22 that might give some information about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 job. It's an effective and cost-efficient
2 starting part for almost all job analyses and
3 we feel that it should be included in SSA's
4 job analysis methodology. It allows the
5 analyst to become more familiar with the
6 target job and to get some I understanding
7 before they go into other procedures.

8 The challenge is it cannot be the
9 only procedure of course because it's
10 dependent upon what documents exist within the
11 organization. So if we're looking at a job
12 that is just emerging, those documents may not
13 be there. If we're looking at one that's a
14 job that's been around for years, the
15 documents may not have been updated. So it's
16 really a starting point to get a baseline and
17 then the knowledge gained can inform the
18 development of other data collection
19 procedures that might follow.

20 Okay. The second procedure is job
21 observation, and this involves the analyst
22 going to shadow incumbents on their job for a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specific amount of time. It's really nice for
2 jobs that include more manual labor and less
3 cognitive tasks and we feel that it should be
4 included in the SSA's final data collection
5 methodology. It gives detailed information
6 about the job tasks. It can provide
7 information about equipment and materials used
8 which can add some context. For instance,
9 someone may say over the phone or you might
10 see in a document that they use a printer.
11 Well, a printer for an office job sits at a
12 desk. A printer for a magazine factory could
13 take up this whole room. So it's good for the
14 analyst to go in person and see exactly what
15 the equipment looks like. And then of course
16 it provides information about the work
17 environment. And the nice thing is it does
18 not rely solely on the testimony of incumbents
19 like a survey might or an interview, so you
20 can get some first-hand information.

21 The challenge is it can be costly
22 and time consuming and then it may not be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 appropriate for all jobs, so we feel it should
2 be used in combination with another data
3 collection procedure. And it may be something
4 that's used for part of the sample so the
5 analyst can get an understanding of what the
6 job is and then another procedure is used for
7 the full sample.

8 Okay. Now looking at the next
9 procedure, survey, a survey of course is a
10 very effective and efficient way to gather
11 data. It's particular useful when you're
12 trying to get information from geographically
13 dispersed incumbents. And because it's
14 efficient, because it's effective, we feel
15 like it should be considered for inclusion,
16 but we're not ready to give it a full
17 recommendation yet. And the reason is is
18 because although you can reach a lot of people
19 quickly and give you information about work
20 activities, the drawbacks include there are
21 threats to the validity because incumbents may
22 inflate ratings, supervisors may inflate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ratings, maybe not intentionally. Maybe they
2 just don't have the understanding of how their
3 job fits into the economy. There also could
4 be a lack of understanding of rating elements.

5 And then finally, it's just tough to get
6 people to respond to surveys. So we're
7 currently on the fence with the survey and we
8 want to see what happens with the taxonomy,
9 the content law before we go forward on that.
10 And if it were used, as I've said with other
11 procedures, we think that it should be
12 supplemented to make sure that the information
13 collected can be validated.

14 Okay. The next procedure is the
15 structured interview. It's creating a
16 structured protocol to ask the same questions
17 across a variety of data collection events.
18 It's really nice when you have more complex
19 jobs that need additional clarification or
20 when comparison is needed across jobs in
21 different locations. And we think it should
22 be incorporated into SSA's job analysis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 methodology. What we like about it is it
2 allows analysts to get information through
3 direct questioning of incumbents and/or
4 supervisors and to drill down if something's
5 not clear which you might not get in a survey
6 or some of the other procedures. If this is
7 used, of course the analyst would need to be
8 trained in interviewing techniques and there
9 would need to be multiple interviews conducted
10 to gain the full value of this procedure.

11 The next procedure we reviewed is
12 focus groups, and we've all participated in
13 focus groups before, but the essence is it's a
14 lot like a structured interview except there
15 are more people in the data collection event.

16 So it can be time efficient and cost
17 efficient, however, you introduce group
18 dynamics. And one of the drawbacks of focus
19 groups is that if you get someone who's
20 particularly boisterous in the group, they may
21 dominate the conversation and then you miss
22 the insights of the other people that attended

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and it's sometimes difficult to get five
2 people on the phone or in a room at the same
3 time. So we prefer structured interviews over
4 focus groups. We think you get more detailed
5 information in structured interviews and
6 they're just more reliable. So that's our
7 preference, although the focus groups are
8 still of course an effective procedure. We
9 just lean towards the structured interviews.

10 Okay. And then the last procedure
11 I'll cover in this section is the instrument
12 measurement of physical demands. This
13 involves using things like a stopwatch, a
14 scale to measure how heavy an item is, a tape
15 measure, a video recorder. So it gives you a
16 precise measurement of what the incumbent is
17 doing on the job. It's really nice for jobs
18 requiring manual labor, given the disability
19 determinations that SSA must make. But
20 although you have high reliability, high
21 validity and the data's easy to aggregate, we
22 recommended using this sparingly. So only use

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it when it's absolutely necessary because it's
2 time consuming, there may be special trainings
3 that are needed on the part of the analyst and
4 you can get at some of these physical
5 abilities through some of the other collection
6 techniques like interviews or surveys. So use
7 it when it's appropriate, when the construct
8 requires it, but don't overuse it, I guess is
9 the message here.

10 Okay. So that was the review of
11 the job analysis procedures that we covered.
12 Again those are the general practices. Next
13 I'm going to talk a little bit about the job
14 analysis models, but I wanted to pause for a
15 second and see if there are any questions
16 about the procedures.

17 (No audible response.)

18 CHAIRPERSON BARROS-BAILEY: I think
19 we're okay.

20 DR. CRONIN: Great. Then we'll
21 move into the recommendation on the job
22 analysis models.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 What I want to emphasize here is in
2 terms of the job analysis models, again we are
3 not in any way recommending that SSA use one
4 of these models to collect all of their data.

5 What we are saying is that the models have
6 taken the procedures and combined them in a
7 certain way and used them over and over again,
8 so you can look at the models and see what
9 features might be effective for creating SSA's
10 ultimate methodologies. So you can take bits
11 and pieces of those and use them to develop a
12 methodology.

13 So I'm going to talk about ten
14 models, one per slide, but I'm not going to
15 focus on the model itself as much, but on the
16 features that we thought worthy of further
17 consideration down the road.

18 Okay. So the first model is the
19 AET and it involves conducting observations at
20 interviews to complete an ergonomic
21 questionnaire. Things that we really liked
22 about this was the use of the structured

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 observation interviews together along with the
2 completion of a standardized tool by the
3 analysts. So the analysts observing, asking
4 structured interview questions and then
5 completing a tool which we thought was a nice
6 format. We also liked the use of descriptors
7 that isolate specific types of physical
8 effort, descriptors that measure work context
9 and the use of scale that focused on things
10 like frequency, duration and significance. So
11 those are the positives.

12 On the negative, you know, we were
13 look at the AET and building on some of the
14 items that they have. It's good to keep in
15 mind this was developed in Germany, and so
16 there was a context of the German culture and
17 language. And the other thing is that
18 although the coding, the anchors that are used
19 are very nice, they would need to be updated
20 so that they stay consistent with the changing
21 nature of work over the years. That's going
22 to be true in any case, but that was one of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the things that we noticed here.

2 Okay. The next model we looked at
3 was the CMQ, and the CMQ collects data via a
4 survey administered directly to incumbents and
5 their immediate supervisors. Some of the
6 things that we really like are the matrix
7 structure of the question. It's a very
8 comprehensive questionnaire. It collects
9 information over 2,000 data points. And what
10 it does it lists the generalized work
11 activities in the rows and then it has your
12 rating scales in the columns. It collects
13 detail on things like interpersonal
14 activities, physical activities, decision
15 making activities. So it's very
16 comprehensive. It uses behavioral and
17 observable descriptors that are easy to rate.
18 And it measure work context in a variety of
19 descriptors involving data, people things. So
20 very nice model.

21 On the downside, again you have the
22 issue of administering this directly to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 incumbents and their supervisors, so you run
2 the risk of inflated ratings. So, you know,
3 this sort of technique would need to be
4 bolstered by other procedures. And in
5 addition, it would be nice to have a set of
6 descriptors that are at the broad level so
7 that you could compare jobs across settings
8 and see how they are related. And then the
9 other thing is that some respondents may not
10 have computer access, especially in manual
11 jobs.

12 Yes?

13 MEMBER GIBSON: Hi, Brian. This is
14 Shanan Gibson and I have a quick comment here,
15 because this is an instrument I'm actually
16 familiar with, and I would say that I
17 completely disagree with your assessment that
18 it does not include a comprehensive set of
19 descriptors at a broad level. It was actually
20 designed for that purpose. It does include
21 them and they're gathered at a level where
22 they can then be combined into broader

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 categories if you so desire.

2 Now we did look at the CMQ as part
3 of the Taxonomy Subcommittee and determined
4 very early on that it wouldn't meet the needs
5 of SSA at this time, but that's simply not
6 correct.

7 DR. CRONIN: Okay. I'll go back
8 and look at our report and make sure that what
9 we represent is correct. So I may touch base
10 with you to make sure that we've addressed
11 that appropriately. Thanks.

12 Okay. Moving onto the next model,
13 cognitive task analysis, this is an approach
14 that involves using a variety of data
15 collection procedures to ultimately identify
16 the cognitive processes underlying a job with
17 a particular focus on the processes that would
18 distinguish an expert from a novice. Again
19 here, we like the use of structured
20 observation and interviews together. We also
21 like the identification of the various types
22 of knowledge needed to do the job. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 obviously both are very important.

2 On the downside, this particular
3 model by itself lacks the detailed information
4 that SSA would need on various physical
5 abilities and there would be a large training
6 requirements for analysts, because again
7 you're looking at things that are not
8 necessarily observable.

9 Okay. Moving onto the Fleischman's
10 Job Ability Requirement Scales, this data
11 collection procedure involves administration
12 of ability requirement scales to collect data
13 on 52 types of abilities, and they're
14 organized to four categories: cognitive;
15 psychomotor; physical; and sensory. We really
16 liked the use of an instrument that focuses on
17 generalizable person requirements, skills and
18 abilities and provides data for cross-job
19 comparisons on the aspects of the job that are
20 really most directly affected by disabilities,
21 and we like the use of the scales with
22 observable anchors.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 On the downside, it tends to
2 provide details on variations of jobs at the
3 high end of many abilities; so distinguishing
4 between an athlete and an astronaut versus a
5 parking attendant and a cashier, so you can
6 see the big differences but maybe not have the
7 detail that you need to make the fine
8 distinctions.

9 Okay. Moving onto functional job
10 analysis. This was originally developed by
11 the Department of Labor and it gathers a
12 variety of different types of job analysis
13 data through interviews and observations, but
14 it may also include some other data collection
15 procedures. Some of the nice features, it
16 includes measures of work context and worker
17 environment variables. It has a set of
18 procedures that are easily trained. It's the
19 foundation of the RHAJ. And the procedures
20 build validity through the use of multiple
21 methods, structure framework and structure
22 protocol, so there are multiple checks on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether or not the data is correct that's
2 coming in, and the focus is really on what the
3 worker does.

4 In terms of the model, one of the
5 drawbacks is is that it lacks the
6 standardization on important issues that we'll
7 need to address in developing the final
8 methodology in terms of how jobs are sampled,
9 how interviews are conducted and then how many
10 interviews should be conducted, things of that
11 sort. And then the DOT could also be improved
12 by adding detail in cognitive abilities,
13 interpersonal skills, which depending on the
14 content model might be important.

15 Okay. Looking at the job elements
16 model, this focuses on the human attributes
17 required for superior performance on the job
18 and collects data via focus groups, interviews
19 and surveys. It's very useful for identifying
20 the critical KSAs associated with the jobs and
21 the elements that are identified include a
22 range of behaviors related to intellectual,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 motor and work behaviors.

2 Again, it's a good model, but the
3 drawback here is that it focuses on the high
4 end of the scale, on high performance rather
5 than obtaining data on minimally necessary
6 abilities. So that could be adjusted, but
7 because it focuses on superior performance the
8 elements may not be appropriate for the job
9 analysis methodology.

10 Okay. The next model is O*NET and
11 obviously, you know, this is I think a model
12 that we can learn a lot from in terms of the
13 way that it's arranged, the hierarchical
14 arrangement and the use of content domain. It
15 has a nationwide database of job information
16 representing the full U.S. economy. So a lot
17 of things about the process, the data
18 collection that we can learn from, it's not
19 something we could adopt for SSA's purposes by
20 any means. However, there are things about
21 the way it was formed and the way that the
22 data collection was conducted that will be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 informative for this project.

2 On the downside, some of the items
3 will likely have low reliability relative to
4 other instruments because the constructs are
5 not always observable. And again, it focuses
6 on some of the high-tech jobs as opposed to
7 the low-skill jobs that are in the economy.

8 Okay. Three more. The next one is
9 the position analysis questionnaire. The PAQ
10 is an off-the-shelf standardized job analysis
11 instrument that includes 195 items. It's
12 typically completed by a job analyst and it
13 includes observations, interviews and maybe
14 even focus groups. The nice thing is it gives
15 you data on a variety of different variables
16 including the work environment, physical
17 abilities, the tasks that are performed and it
18 usually focuses on observable behaviors which
19 ensure greater verifiability of findings.

20 On the downside, the constructs are
21 at a level of distraction that may not provide
22 a clear enough picture of the job when we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 talking about disability determination. So
2 you get a nice picture of the job, but it's
3 not quite detailed enough for what SSA will
4 probably need.

5 The next model is the task
6 inventory, and this is a little different than
7 some of the off-the-shelf models, more of a
8 technique that's used across a variety of
9 spectrums, but it involves collecting data
10 through procedures such as review of written
11 materials, job observation, interview and
12 surveys to develop a list of task
13 descriptions, and something many of us
14 probably see in the task lists at the
15 beginning of a position description are
16 usually informed by this sort of task
17 inventory.

18 We like the way that these
19 procedures are combined to get this
20 information, but on the downside there are no
21 generalizable constructs or scales that will
22 allow for cross-job comparison. It's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 basically just getting a task description of
2 the job.

3 Okay. And then the last model is
4 the threshold traits analysis. It collects
5 information on worker traits, job demand and
6 job functions using review of written
7 materials, observations, interviews and
8 surveys. And this slide is the one that is
9 related to the chapter that we looked at
10 earlier. So you can see the level of detail
11 that's behind each one of these. But in terms
12 of the TTA, it has 33 traits which makes for a
13 nice parsimonious arrangement of job
14 information. It has a simply-worded set of
15 constructs and it provides some perspective on
16 how to sort and locate jobs.

17 On the downside, SSA will more than
18 likely need a lot more detail than the 33
19 traits that are represented in the TTA, and
20 therefore it's probably not something that
21 could be used, but it is an interesting model
22 and the way they put traits and demands and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 functions together works rather nicely.

2 Okay. So that concludes the
3 overview of the practices. We talked about
4 the six procedures and then the ten models.
5 There's a ton more information in the report.

6 I have a few overarching
7 recommendations that I'd like to talk about,
8 but I'll stop a minute and see if there are
9 any questions.

10 MEMBER GIBSON: I'd like you to
11 just quickly clarify one other statement
12 that's on a slide. When you were discussing
13 the effective features of the Fleischman Job
14 Ability Requirement Scales one of the things
15 you said that you thought was effective was
16 the use of level scales anchored with
17 observable behaviors. Do you not have any
18 concerns about the fact that those anchors are
19 frequently irrelevant to the jobs being rated,
20 are difficult anchors for individuals to
21 understand and apply to their jobs, the
22 integral properties of those scales, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 things that had been brought up in the
2 research literature frequently regarding
3 problems with the Fleischman scales and why
4 they might be difficult or lack validity?

5 DR. CRONIN: Yes, I'd point out
6 that we like the use of those observable
7 scales, but we're not necessarily supporting
8 the ones that are used in that model.

9 MEMBER GIBSON: Observable scales,
10 but not their scales?

11 DR. CRONIN: Yes, exactly.
12 Exactly.

13 Questions?

14 (No audible response.)

15 DR. CRONIN: Okay. So I'll move
16 into our overarching recommendations, and
17 there are really just a few of these, but I
18 think they're important to mention.

19 The first is the identification and
20 finalization of the work taxonomy and the
21 constructs to be measured. Once those two
22 pieces are in place, we'll be able to go back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the report and say, okay, we have our
2 benchmarking of all the procedures and
3 practices that are represented in the job
4 analysis literature. Which ones could be used
5 best and in which combination to measure the
6 constructs that we're after? So that will be
7 obviously a very important step and I think
8 the work that we did on Call 1 will set that
9 up, that follow-up step very nicely.

10 Next recommendation is that we
11 recommend that the data be collected and
12 stored using a computerized system or an
13 online application tool. This will provide a
14 standardized way to transmit the data from
15 different parts of the country back to a
16 centralized location and minimize potential
17 security issues and concerns. So that may be
18 something that the analyst does to get the
19 information back and they'll be trained on how
20 to enter that detail.

21 The third recommendation really
22 goes hand in glove with the first one. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 involves determining the factors that are most
2 important and then considering the job
3 analysis practices accordingly. So we talked
4 about a lot of different practices, and they
5 all may have merit in different situations,
6 but once we finalize the taxonomy and the
7 constructs, then we can go back and say, okay,
8 in this situation which ones have the most
9 merit and then we can create a job analysis
10 methodology that is as efficient as possible
11 and uses the best procedures possible for the
12 different constructs.

13 The next recommendation discusses
14 developing multiple prototypes of the
15 occupation analysis system, which is basically
16 the stem to stern analysis. You know, how do
17 you contact the organization? How do you
18 figure out if you have the right person in the
19 organization, if they're performing the right
20 job? How do you collect the data? How do you
21 transmit it back? And looking at multiple
22 prototypes side by side, probably the best

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ideas that we have and then the next best set
2 of ideas, because in one prototype you'll have
3 what we feel is the best approach. And in the
4 second one you'll have some of the trade-offs,
5 you know, in some of the things that -- the
6 procedures that may offer a different look at
7 the job than the other ones.

8 So we recommend putting those
9 prototypes side by side, designing them,
10 getting the prototypes on paper and then
11 evaluating them and then refining. So we
12 might have our best choice and then the second
13 best choice. You might take some pieces from
14 the second best and insert it into the main
15 prototype and then finalize it before we get
16 too far down the road. So we think this
17 prototype and pilot testing is important.

18 On the next slide, something I
19 mentioned a little bit earlier, the full
20 methodology must include a comprehensive set
21 of procedures that include guidelines for
22 maintaining data security and confidentiality.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 That's some of the things that we're going to
2 be looking at in Call 3. How do you get OMB
3 clearance? What's the best way to sample?
4 How do you verify jobs? Things of that sort.

5 So that's an immediate next step. And what's
6 nice about that is those are some things that
7 can be sorted out in advance of the taxonomy
8 and content model. We can look at how to get
9 OMB clearance and, you know, how you verify a
10 job is the right job in the organization.

11 The next one is something I've been
12 mentioning throughout. Once the taxonomy and
13 the content model are finalized, go back and
14 look at some of the models and the procedures
15 that we identified and then look at how those
16 features might be adapted to meet SSA's
17 specific purposes. So we don't think that any
18 of these could be taken wholesale, but you
19 could look at these effective features and
20 say, okay, this might work well for getting at
21 these constructs. How do we adapt it and make
22 it fit into the final methodology? And then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 as I've mentioned, the final thing is pilot
2 testing to ensure the methodology meets SSA
3 objectives before the full methodology is
4 launched.

5 Okay. So that concludes our
6 slides. Any questions or things people want
7 to discuss?

8 CHAIRPERSON BARROS-BAILEY: Go
9 ahead, Dave.

10 MEMBER SCHRETLEN: Thank you. One
11 of the things that this panel has heard from
12 claims examiners, from vocational experts,
13 from adjudicators, from many sources is how
14 important it is that the new OIS better
15 capture the cognitive and mental demands of
16 jobs. And in your review of the literature on
17 these models, one of them clearly explicitly
18 focuses on cognitive demands of work, and that
19 is the cognitive task analysis model. So I
20 have a couple of questions.

21 One is do these other models that
22 you've described, even though they don't have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the word "cognitive" in them, also capture
2 substantial cognitive demands of work?

3 And the second question is in the
4 CTA model, in the available literature on that
5 model, how reliably and validly are those
6 demands of work captured?

7 DR. CRONIN: It's a good question.

8 I think the first part is there are other
9 models and procedures that can reliably and
10 validly assess cognitive processes that are on
11 the job, but there is the one with "cognitive"
12 in its title, so that one sticks out.

13 I think the ultimate constructs
14 that are measured will be more defined by the
15 content model and the ultimate taxonomy and
16 then we'll use the procedures to measure those
17 particular constructs. So some of the models
18 here may measure some cognitive abilities, but
19 we were more looking at how to measure them
20 rather than what they abilities themselves
21 are, if that makes sense.

22 MEMBER SCHRETLEN: Yes, so my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question is, given that's the methodology
2 that's used in the cognitive task analysis,
3 how well does it do it, regardless of what
4 they are? I'm just wondering how well do they
5 get at those characteristics or demands of
6 work?

7 DR. CRONIN: In my view, and what
8 we found in the literature, is very well. You
9 know, once the constructs are defined, there
10 are a lot of different procedures and
11 approaches to measure those constructs
12 accurately.

13 DR. ANDERSON: But specifically
14 with cognitive task analysis, I think it's
15 true; and, Brian, you can let me know if I'm
16 correct on this or not, but with cognitive
17 task analysis there are a lot of different
18 ways to do it. It's a very flexible system
19 and it's hard to assess how that method
20 specifically does it because there's just so
21 many different ways of implementing cognitive
22 task analysis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. CRONIN: That's a good point,
2 yes.

3 MEMBER FRASER: And at this point
4 do you think that we'd be using some type of
5 hybrid approach to each job, or would be using
6 a different job analysis approach depending on
7 how physical the job is versus how cognitive
8 it is, etcetera?

9 DR. CRONIN: That's a good
10 question. That's something that we discussed
11 yesterday. Of course there are different ways
12 to look at it. We had talked yesterday about
13 it; and nothing's final; we need to do more
14 research on this, but having maybe a decision
15 tree that the analysts walk through so they do
16 some initial data collections, review of
17 written materials, observation and then maybe
18 even a structured interview. And that part's
19 standard, but if there are some physical
20 abilities that come up in that first part of
21 the process that need to be further measured
22 by maybe an instrument, then they would take

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that road, whereas if those physical demands
2 don't pop up, then they might conclude the
3 data collection or move into -- or some other
4 procedure. So, yes, definitely a combination
5 of procedures and maybe some hybrid depending
6 on how the jobs are defined and what the data
7 shows while it's being collected.

8 CHAIRPERSON BARROS-BAILEY: Allan?

9 MEMBER HUNT: So that confuses me a
10 little bit. I was going to ask -- I mean,
11 you've got 10 models here and each of them is
12 sort of an attempt at capturing the world of
13 work. Maybe I'm slow, but I don't see how you
14 would combine models. And now what I hear you
15 say in response to the last question sounds
16 like you're -- it's more like, you know, take
17 one off the shelf for the purpose that you
18 perceive after you've done a little
19 preliminary investigation. So could you kind
20 of address both of those?

21 DR. CRONIN: Sure. In my
22 estimation it's going to be the generalized

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 procedures that we talked about initially that
2 will comprise the ultimate methodology that
3 SSA uses. So it would not be the case for
4 taking a model off the shelf to use it. And
5 the procedures will be laid out in a
6 standardized fashion. And as you walk through
7 the procedures, there may be some decision
8 points in there where you realize, okay, now I
9 need to do some instrument measure of physical
10 demands, or it's just not necessary in this
11 particular job. Like an office job might not
12 involve carrying things or walking.

13 So the process will be focused on
14 the procedures. And then we looked at the
15 models to say, oh, I see, this model used
16 observation, instruction, interviews to get a
17 pretty well -- you know, what is the ratio
18 that they used or how did that sample, that
19 sort of thing. So, yes, the process will be
20 standard, but there may be some decisions
21 toward the end of it to drill down if needed.

22 CHAIRPERSON BARROS-BAILEY:

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Abigail?

2 MEMBER PANTER: This just reminds
3 me that that's a tailored kind of approach and
4 adaptive, some little essence of adaptive
5 approach that would be in there. So, and I
6 see that needs to be an important --

7 CHAIRPERSON BARROS-BAILEY: It
8 sounds like they didn't hear your comment.

9 MEMBER PANTER: This reminds me of
10 a tailored kind of approach or an adaptive
11 kind of approach. It can be useful in some
12 situations, but I don't know in the form that
13 we've been discussing, but I can see some kind
14 of adaptive approach.

15 MEMBER WAKSHUL: How cooperative
16 are employers in allowing data collection?
17 And a lot of these models are going to involve
18 somebody going to the workplace and maybe
19 they're strapped to meet their goals for the
20 month and you're going to take somebody off of
21 the line or off of a task for awhile. How
22 easy is it to get reliable information as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 opposed to say get these feds off my case so I
2 can meet my goals for this month?

3 DR. CRONIN: And that really
4 depends. I've had a lot of experience
5 conducting job analysis and there are some
6 organizations that welcome you and are happy
7 to participate. Sometimes there's an
8 incentive that goes along with that to get
9 them on board. And then there are others that
10 there's some resistance. So I think the
11 process and the analyst training will have to
12 allow for that, but there may be some
13 resistance. And when there is, we need to
14 adapt to it and identify a parallel job in
15 another organization if that's the case.

16 DR. ANDERSON: Yes, that's a really
17 good question because I know of other data
18 collection efforts where it's really a
19 challenge, particularly when it's not a
20 mandate coming from the employer. It's a
21 mandate coming from the program and the
22 employer really has no particular reason to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 respond. It's quite a challenge and it is
2 going to be something that we're really going
3 to have to think really hard about, and
4 hopefully we'll learn a lot from what they're
5 doing with O*NET and some other tools. But
6 it's going to be a challenge.

7 MEMBER SCHRETLEN: As someone who
8 just isn't familiar with the world of job
9 analysis, can you help me get a better sense
10 of what does it mean when you are observing a
11 person on the job? I mean, I get what it
12 means to interview a person, but is
13 observation strictly an unobtrusive kind of
14 thing, or is it a combination of observing and
15 talking, interacting with the person?

16 DR. CRONIN: In my experience it's
17 a combination of both. So you'd go in with a
18 structured observation protocol, and there
19 might be 10 questions on it, you know, and the
20 first one might be what are the main
21 activities of the job? You know, the second
22 one might -- what skills do you see the person

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 using? What equipment are they using? What
2 tools? And sometimes that's real clear to see
3 and then other times you need to ask
4 clarification questions to say I see you doing
5 this or I see you interacting with that
6 person? Are you making some decision there,
7 or is that routine, is that scripted for you?
8 So it's typically an observation with some
9 questioning involved in it, unless it's
10 something where it's dangerous for the analyst
11 to interfere. Then they might just stand back
12 and watch and ask the questions in a
13 structured interview afterwards.

14 CHAIRPERSON BARROS-BAILEY: Go
15 ahead, John.

16 MEMBER CRESWELL: Thanks for your
17 presentation. I just have a couple of
18 questions as I'm thinking about this. First
19 of all, in your detail chapters you may have
20 some of this information that I was looking
21 for, but as you talked about the limitations
22 did you find any scholarly reviews of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 models in the literature?

2 And then also, how old are these
3 models? I see this threshold is 1970, so that
4 goes back 40 years. Are some of them more
5 recent and more widely used in the job
6 analysis research right now?

7 DR. CRONIN: Yes, we did find a lot
8 of research about the models that we describe,
9 and the chapters are really a result of the
10 research that we uncovered. I really just
11 scratched the surface on the slides. A much
12 better summary is in the executive summary.
13 That's going to give you about a half page
14 table about each one of these practices, which
15 is very nice. And then of course in the
16 chapters themselves you can get a lot more
17 detail.

18 In terms of the age of the models,
19 some of them are older than others. All of
20 the ones that had a full chapter dedicated to
21 them are still in use and they're still being
22 used by HR departments, things of that sort.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Did that get at your --

2 MEMBER CRESWELL: Yes. And then I
3 was wondering, too, as I looked through your
4 limitations, most of these limitations
5 addressed what I'd call construct validity
6 issues and some reliability issues. I didn't
7 see anything; and maybe this wasn't within
8 your call, your charge here -- I didn't see
9 anything on data analysis. Are some of these
10 instruments more complex to analyze than --
11 and models, or are some easier? I mean, was
12 that a factor that you considered and thought
13 about as you looked through these different
14 models?

15 DR. CRONIN: That wasn't a part of
16 our charge in general to look at the data
17 analysis. We were looking at the data
18 collection. However, we couldn't help but
19 notice it when we were reviewing the articles
20 because it was there. And so what we did is
21 in the chapters there's a section on data
22 consideration and you'll see there's a rating.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 It's information about ease of data
2 aggregation. So an instrument like the PAQ
3 where you have a lot of Likert scale items.
4 It's very easy to aggregate and make that
5 assessment. In some of the other models it's
6 not quite as easy.

7 CHAIRPERSON BARROS-BAILEY: Other
8 questions? Tom looks like he has a question.

9 MEMBER HARDY: Not so much a
10 question as just a thought and a reminder. As
11 I'm looking through this, it makes perfect
12 sense to me, and that's why I don't have a
13 question. everything's very well done. But
14 as you were talking about combining these
15 models and at some point making a decision
16 tree, the thought that occurs to me is down
17 the line when I as an attorney representing a
18 client -- I'm going to come back to you and
19 say, well, for my client's occupation you
20 chose not to do blank. So as you make those
21 decision trees, I'd encourage you to keep in
22 mind that those decision points need to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very clearly defined and very defensible
2 because that would be my first question to you
3 is why did you not do this when you did it for
4 the other jobs? It's just a reminder.

5 DR. CRONIN: Yes, I think that
6 makes a lot of sense, and any sort of process
7 that was developed along those lines would err
8 on the side of inclusion. You know, if
9 there's any reason that we should take this
10 extra step, we will. It would have to be an
11 absolutely -- definitely there is no reason to
12 do it, to opt out, but for the most part I
13 think you're right. Inclusion's much more
14 important here.

15 DR. ANDERSON: And just to clarify
16 a point: What we're recommending is taking
17 the procedures and looking at them together
18 and figuring out how they need to be combined
19 as opposed to the off-the-shelf models. We
20 viewed looking at the off-the-shelf models as
21 having value because we could see how
22 different procedures were combined and how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 things worked in a complete model. But we're
2 not really recommending taking one of these
3 off-the-shelf models and saying, hey, let's
4 bring that in and use at SSA.

5 MEMBER LECHNER: In your critique
6 of the models, the examples that you show in
7 chapter 20; and I'm noticing the writings of
8 general, moderate, precise and minimal,
9 average, maximum, did you have specific
10 objective criteria on which those ratings were
11 based that were established before you did the
12 ratings?

13 DR. CRONIN: Yes, we did, and those
14 are laid out in I think chapter 2 or 3. We
15 have an introductory chapter and then a method
16 chapter. And I think it's provided -- in the
17 method are definitions for each one of those
18 scales. It may be in chapter 3 though where
19 we talk about the results template, but yes,
20 it is definitely in there.

21 CHAIRPERSON BARROS-BAILEY: Juan?

22 MEMBER SANCHEZ: Yes, along the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 same lines on the ratings that were provided
2 for each methodology, if I remember the report
3 correctly, I understand that these ratings
4 were based on a sample of eight experts, the
5 folks that you mentioned plus a few people
6 from your own team, right? And I was going to
7 ask you your opinion how representative do you
8 think this average gradings of these eight
9 people are from I guess the experts in
10 general. I guess the reason I'm asking this
11 is because I noticed for example that the CMQ
12 got relatively low ratings across the board
13 and I noticed that O*NET got relatively high
14 ratings across the board. And I know that
15 Lance was involved in O*NET. So what I'm
16 assuming is that perhaps methodologies with
17 which those eight people were more familiar
18 with may have received higher ratings that may
19 not be reflective of the quality of the
20 methodology but more of the familiarity that
21 you may have with those.

22 DR. CRONIN: That's a very good

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question, and I think what he's referring to
2 is at the end of each chapter there are 10 or
3 11 expert rating questions, and we had the
4 members of our team; that included the ICF
5 folks and our subcontractors, go through and
6 rate each one of the practices along these 10
7 questions. It is not representative of the
8 full community of bio-psychologists and
9 ergonomic experts. But what we tried to do is
10 just give us -- while we were in the midst of
11 Call 1 and we were all looking at this
12 information, stop and do some ratings about
13 how we think that each one of these practices
14 would meet SSA's long-term objectives. And
15 that gives us something to go back to and
16 refer to. Now, they will need further
17 consideration once we know the constructs, and
18 it may turn out that the ratings need to be
19 adjusted based on the constructs and the
20 taxonomy, but they give us something to refer
21 back to in Call 1.

22 I also want to point out that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information above the expert ratings in each
2 chapter is from the literature, and we tried
3 to report in black and white what we saw in
4 the articles and some of it we heard in the
5 focus groups, but mainly the literature.

6 MEMBER GIBSON: Could I just ask a
7 follow-up then on what Juan stated, because I
8 think familiarity with instruments is
9 obviously an issue and people provide their
10 expert opinion on them. Was some effort made
11 to provide all eight experts with some
12 baseline of knowledge, or were they even
13 asked, you know, to what degree are you
14 familiar with the CMQ, the O*NET, the F-JAS,
15 etcetera, so that we knew what their
16 familiarity and their expertise was as it
17 related to the instruments that they were
18 making judgments on?

19 DR. CRONIN: Very good question.
20 So the folks that participated in that rating
21 activity were all folks who worked on the
22 project and made significant contributions to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the chapters that overview each one of the
2 practices. And so, because they worked on the
3 chapters they had some knowledge of all of the
4 practices that we laid out. And part of the
5 activity was for everyone to read through the
6 chapters again and sort of compare them side-
7 by-side. So we feel like they did have a
8 significant amount of familiarity. Whether or
9 not they were the developers or expert on that
10 particular model, no, but they did have
11 knowledge of all the practices they were
12 rating for sure.

13 DR. ANDERSON: I wanted to
14 encourage you to not focus too much on those
15 ratings. Really, as I was saying earlier,
16 what we found valuable in looking at those --
17 the reason we looked at the models really was
18 to understand how to best combine different
19 practices. So those ratings aren't really --
20 the quantitative ratings I think really aren't
21 the thing that's most valuable in this report.
22 Really I think it's the qualitative write ups

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that we've done and the descriptions. And I
2 would encourage you to focus on what we wrote
3 about the different practices.

4 MEMBER FRUGOLI: I just wanted to
5 make sure: So we will have an opportunity
6 though to submit our comments to the panel and
7 when we review the report, right, in detail?
8 Is there a procedure for that?

9 CHAIRPERSON BARROS-BAILEY: Any
10 feedback should go to Debra, yes.

11 MEMBER CRESWELL: I just have to
12 ask this question, because one of my
13 specialties is qualitative research. In what
14 you've outlined here are structured
15 close-ended approaches to observation, to
16 interviewing. Well, what about some
17 open-ended questions during an observation to
18 find out about job analysis? Is anyone
19 pursuing that within the work analysis
20 literature? Anyone talking about that being a
21 valuable adjunct procedure to more structured
22 ways of gathering data? I mean, the whole

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 world out there is moving rapidly into this
2 more open-ended approach of really talking to
3 people, getting their views. Could you just
4 comment on that as you've looked at the
5 literature?

6 DR. ANDERSON: Yes, I think that
7 actually a lot of the practices that are out
8 there use open-ended questioning at the
9 beginning. Generally the way a lot of them
10 work are, for example, task inventory method.

11 You ask a lot of open-ended questions about
12 what you do on your job, how does your day
13 start, asking questions about things like
14 what's something that would be a big problem
15 on the job, how do you differentiate really
16 good employees from really bad employees,
17 things like that, really open-ended questions
18 that then help the researcher to generate some
19 more specific task statements that are then
20 validated in a survey. That's how the task
21 inventory works. And a lot of the techniques
22 have used that method also.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER CRESWELL: Now, there's a
2 mixed method design for that. It's called an
3 explanatory sequential design where you first
4 start -- or exploratory sequential design
5 where you first explore qualitatively in order
6 to develop an instrument. There's a lot of
7 literature out there that speaks to that
8 model, which is something to consider here for
9 our task at hand as well, I would think.

10 CHAIRPERSON BARROS-BAILEY: Other
11 questions? I think we heard from almost
12 everybody on the panel. Janine? Tim? Any
13 questions or comments?

14 MEMBER HOLLOMAN: Just more of a
15 comment, and again as we're looking, as you're
16 saying the descriptions of these jobs need to
17 represent the real work that our claimants are
18 doing. And one of the thoughts that occurred
19 to me that I was thinking about; but maybe
20 it's too early in the process, is you were
21 saying that if there is an instrument and
22 you've done the portion of the instrument that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 everybody's doing, and then there is some, for
2 lack of a better word, room to roam for
3 additional information -- and again, I'll
4 defer to the scientists on the panel, the more
5 leeway you give the analyst, isn't that giving
6 it more of a possibility that that particular
7 job analysis isn't going to be valid, that
8 you're not going to get -- I mean, here's my
9 dilemma here, because I do job analysis on
10 occasion: I realize there's not going to be a
11 one-size-fits-all job analysis for every job
12 in the general economy, because you're going
13 from very unskilled jobs to very skilled jobs,
14 but if you allow for that by the analyst
15 taking more liberties with the instrument, are
16 we then not giving valid information back if
17 you're allowed to add things, take things
18 away? I guess something that will have to be
19 dealt with down the road, but maybe not
20 anything that we can even answer right now.

21 DR. CRONIN: It's a good point. I
22 don't think we can answer it right now. And I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was speculating earlier when I said there
2 might be multiple options towards the end of
3 the instrument. Because you're right; it does
4 introduce judgment on the part of the analyst
5 and that requires a variety of other things
6 like additional trainings, and it opens us up
7 to speculation that we could have gone
8 further. So I was really just -- I had a
9 hypothetical earlier when I said there might
10 be a decision tree. That's not the decision
11 quite yet, but it's something -- a bridge
12 we'll have to cross as we finalize this and
13 it's something to consider for sure in future
14 calls.

15 CHAIRPERSON BARROS-BAILEY: Okay.
16 Any other questions? Juan?

17 MEMBER SANCHEZ: Just more of a
18 general comment along the same idea that
19 Janine just voiced, the fact that issues of
20 cost effectiveness are important. Because we
21 could talk about such and such procedure is
22 better to collect data for this type of job

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and it has the strengths and weaknesses, but
2 we don't really have the luxury. We are faced
3 with the task of analyzing every single job
4 whether or not we like it, right? So we need
5 to find some common ground, some common
6 methodology that may not be ideal, but that
7 is, you know, pretty good for most jobs in
8 most cases. And it also has to be cost
9 effective. So, you know, it's not just asking
10 ourselves how good or how bad this is, but how
11 cost effective and feasible it is and all
12 those --

13 MEMBER LECHNER: I had a question
14 on your criteria for example on validity of
15 the different methodologies. Is there any
16 reason that you didn't cite the literature on
17 the reliability and validity of each procedure
18 rather than just have a rating by the panel?

19 DR. CRONIN: Actually, if you look
20 on what's usually the second page of the
21 template, we report from the literature what
22 we found in terms of validity of data or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reliability of data. And so that's what we
2 found in the literature. And then those
3 expert panel ratings at the end were more in
4 the context of this project. But what we
5 found in the literature was reported in the
6 quality and data consideration section of the
7 template. So you will see some slides there.

8 CHAIRPERSON BARROS-BAILEY: Okay.
9 Any other questions?

10 MEMBER SANCHEZ: Yes, I guess this
11 is another take at an answer to Deb's
12 question. But the literature on job analysis,
13 when looking at the psychometric qualities of
14 job analysis, most of the data we have has
15 looked at the types of data so that a couple
16 of meta- analysis that look at the reliability
17 of work activity ratings, then look at the
18 reliability of worker attributes. We do have
19 already two meta-analysis that I could recall
20 and there's another one in the works by
21 somebody else. We don't have any
22 meta-analysis on the reliabilities of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 methodologies because these methodologies
2 combine lots of different types of data. And
3 sometimes they combine lots of different
4 sources, so it's difficult to isolate exactly
5 -- I find the -- you know, I think this
6 question may have been better framed along the
7 lines of let's look at the reliability of the
8 types of data and let's look at the strengths
9 and weaknesses of gathering different types of
10 data from different types of sources rather
11 than focusing on methodologies. But that's
12 just my opinion.

13 CHAIRPERSON BARROS-BAILEY: Okay.
14 I have a question. The AET, you said it was a
15 German? So did your literature compose
16 English or other non-English instruments, or
17 this reported in the English literature
18 language?

19 DR. CRONIN: What we cited was
20 research cited in English literature. So it's
21 been translated and used in English-speaking
22 situations, and we cited that literature.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON BARROS-BAILEY: Sounds
2 like we're out of questions. Go ahead.

3 DR. CRONIN: I just want to make
4 one final comment. It really is a pleasure to
5 be here. Lance, Jen and I, and then the folks
6 at ICF are really excited about this project
7 and to be a part of it. And I appreciate your
8 questions today and your feedback on some of
9 the things that we have done throughout the
10 project and look forward to working with you
11 in the future, because this is really exciting
12 stuff for us. So appreciate all your efforts.

13 CHAIRPERSON BARROS-BAILEY: Thank
14 you for your efforts. I know that there have
15 been some panel members involved in some of
16 the calls, for Call 1 and Call 2, so this is
17 exciting to be at this point.

18 We are 15 minutes to the hour. We
19 are ready to break for lunch. So I would like
20 to turn the meeting over to Leola who will
21 formally adjourn for lunch. And then we will
22 come back from lunch at 1:15.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, Leola?

2 MS. BROOKS: If there are no
3 objections, the meeting will adjourn.

4 (No audible response.)

5 MS. BROOKS: Hearing no objections,
6 we are adjourned until after lunch at 1:15.
7 Thank you.

8 (Whereupon, the hearing was
9 recessed at 11:46 a.m. to reconvene at 1:15
10 p.m. this same day.)

11

12

13

14

15

16

17

18

19

20

21

22

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 1:17 p.m.

2 MS. BROOKS: Good afternoon. I am
3 Leola Brooks, the designated federal officer,
4 and would like to call the meeting to order.

5 I would now like to turn the
6 meeting over to the panel chair, Dr. Mary
7 Barros-Bailey. Thank you.

8 CHAIRPERSON BARROS-BAILEY: Good
9 afternoon. Thank you, Leola.

10 This afternoon we're going to have
11 additional presentations from ICF. Welcome.
12 And I'm going to point the panel to again
13 behind tab 2 in your 3-ring binder, and it is
14 the second to the last red sub-tab, and the
15 Call 2, or Call Order No. 2, Business Strategy
16 for Training, Certifying and Recruiting Job
17 Analysts.

18 We have Jennifer Harvey, a
19 technical specialist with ICF. And her bio is
20 at the front of that red tab. And with her we
21 have Lance Anderson again.

22 Welcome. Good afternoon. Thank

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you for being here.

2 DR. HARVEY: Good afternoon.
3 Welcome back, everyone. I hope you had a good
4 lunch and I hope to keep you engaged here this
5 afternoon.

6 As Mary said, my name's Jennifer
7 Harvey and I was the project manager for Call
8 Order 2, so I'm here to present the results on
9 Call Order 2 this afternoon.

10 Okay. Our agenda for this
11 presentation is kind of similar to Call Order
12 1's agenda. We'll briefly introduce the team
13 that worked on Call Order 2. Then we'll
14 briefly talk about the project and its
15 purpose. We'll overview the methodology that
16 was used on Call Order 2. But the bulk of the
17 presentation will be focused on the
18 conclusions and recommendations around
19 training, certification and recruitment.
20 We'll wrap up the discussion with some of the
21 strategic decisions that SSA needs to consider
22 and potential resource needs that they may

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have.

2 So for the team that worked on Call
3 Order 2, on the SSA side it was the exact same
4 team that worked on Call Order 1. So we had
5 Debra Tidwell-Peters, David Blitz, Michael
6 Dunn, Elizabeth Kennedy and Mark Trapani.
7 Again, it was very invaluable to have them and
8 their feedback throughout the project.

9 From the ICF side we had a number
10 of individuals working on Call Order 2.
11 Actually Dr. Candace Cronin there was the one
12 who started off the project as project
13 manager. I stepped in when she went on
14 maternity leave and have continued. Dr.
15 Anderson serving again as the overall project
16 director on both calls. And then Allison
17 Cook, Jessica Jenkins, Chris Riches, Dr. Beth
18 Heinen, Katina Gracien and Daniel
19 Fien-Helfman. And as you can see, there's
20 quite a bit of overlap in the staff that
21 worked on both Call 1 and Call 2 and we felt
22 like this was really advantageous for both of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 these calls.

2 And in addition to our ICF team, we
3 also had the support and assistance of our
4 subcontractor Dr. Joan Knapp, who is the CEO
5 and founder of Knapp & Associates
6 International. She has over 35 years of
7 experience developing, validating and
8 evaluating credentialling programs, so she was
9 a very valuable resource for the project.

10 The overall purpose of Call Order
11 2, again of course the overall purpose of why
12 we're all here is the development of this
13 large occupational information system. And to
14 do that, SSA is going to need to recruit,
15 train and certify job analysts and they're
16 going to need a business process and standards
17 to do that effectively. So the purpose of
18 Call Order 2 really was to review the
19 training, credentialling and recruitment
20 practices for job analysts. And it's really
21 important that SSA have this comprehensive
22 review of existing practices so that they can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 evaluate current techniques and really make
2 informed decisions of existing practices that
3 might be useful to their needs.

4 Given the complexity of the OIS
5 project, the number of moving parts, the
6 interdependence of all of those parts; and
7 this is especially impactful for Call Order 2
8 we think, we thought it would be helpful to
9 kind of try to graphically lay out how Call 2
10 fits in the larger OIS project. And I admit
11 and confess here that you cannot see or read
12 anything on this slide, so hopefully you can
13 see it in your handouts.

14 But on the left-hand side of this
15 graphic we have really just -- I will
16 certainly walk you through this. On the
17 left-hand side of the graphic we have
18 displayed the two key decisions of the OIS
19 project that are really going to direct all of
20 the remaining pieces. And that first decision
21 is the content model and what will be included
22 in it. And then the second decision, which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the work taxonomy, which is really going to
2 serve as that organizing framework for all of
3 the job information that's to be collected.
4 And the input driving these two decisions is
5 the vision of the end state of the OIS and
6 what decisions are going to be made using the
7 OIS.

8 And then once these two decisions
9 are finalized and determined, they will result
10 in the development of that work analysis
11 instrument. And then all of that information
12 feeds then into the three decisions on the
13 right-hand side of this graphic, and those are
14 three decisions really related to our BPA, the
15 first being the determination of the SSA job
16 analysis, which you talked about this morning
17 on Call Order 1. So the input from Call Order
18 1 will feed into that decision as well as
19 future calls.

20 The selected SSA job analysis
21 method will then drive what knowledge, skills
22 and abilities are needed of job analysts and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 will impact the identification of training
2 factors. And those KSAs will also impact the
3 identification of training factors. And
4 training though is just one of the components
5 of the on-boarding process.

6 So if you go to the next slide, we
7 tried to graphically portray here the
8 interrelationships between the three different
9 components of training, credentialling and
10 recruiting job analysts. So to the left side
11 of this graph we have those three key
12 decisions of identifying training factors,
13 determining a certificate program strategy and
14 determining the recruitment strategy. And
15 each of those decisions is going to be
16 informed obviously from these results that I'm
17 presenting to you this afternoon.

18 And as you can see from the arrows
19 going to each of these decisions, each of
20 these components affects the other two
21 components. So while we have graphically
22 displayed them here in a vertical fashion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which might suggest -- it's a linear
2 step-by-step development process, really these
3 components need to be worked on concurrently
4 to the extent possible with consideration of
5 how decisions for one component will affect
6 the other components.

7 And then to the right-hand side of
8 this graph are some additional decisions that
9 are going to impact the overall business
10 strategy for training, certifying and
11 recruiting. And SSA needs to consider these
12 decisions and some of these need to be made
13 before we can really have a final overall
14 strategy. And we'll discuss some of these
15 decisions later on at the end of the
16 presentation.

17 So really the take-away points from
18 these two slides are just the complexity of
19 this project, the number of decisions that
20 have to be made and the interdependence of all
21 of those decisions.

22 I just want to give a brief

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 overview of the methodology for Call Order 2.

2 Call Order 2 consisted of just two main
3 tasks, the first task being again meeting and
4 discussing the project on a fairly regular
5 basis with the SSA team. And then the second
6 task, the overarching task there of looking at
7 a business strategy for training, certifying
8 and recruiting job analysts. And that was
9 broken down then into several smaller
10 sub-tasks, the first of those being that we
11 documented our plan methodology for completing
12 all of the other sub-tasks.

13 The next sub-task there was the
14 research we conducted on training. For this
15 task we first conducted a literature review
16 and then we also conducted focus groups with
17 job analysis professionals across a wide
18 variety of disciplines. And this was done in
19 conjunction with Call Order 1. And in those
20 focus groups we asked questions about the KSAs
21 and the minimum qualifications needed of job
22 analysts and about existing job analysis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trainings.

2 Next we conducted for that sub-task
3 individual interviews with training experts
4 and trainers of job analysis to get more
5 specific information about training design,
6 training features and training logistics.

7 The next sub-task involved
8 conducting research on credentialling
9 practices. And for that we began by
10 identifying the different types of
11 credentialling and determined that the most
12 appropriate for SSA would be an
13 assessment-based certificate program, and
14 we'll kind of discuss that conclusion a little
15 bit later in the presentation.

16 From there we researched and
17 identified different credentialling
18 organizations and standards, and we reviewed
19 those organizations to assess what are the
20 different credentialling requirements in the
21 different disciplines that are relevant here
22 and the different processes and procedures

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 involved in developing a certificate program.

2 The fourth sub-task was the
3 research conducted on recruitment strategies.

4 And for this we identified several
5 benchmarking partners who have faced similar
6 workforce challenges that we anticipate SSA
7 will face as they go to recruit for these job
8 analyst positions. So for example, recruiting
9 for on demand or temporary work, for doing
10 large-scale national recruiting. We
11 interviewed each of these benchmarking
12 partners and gathered detailed information on
13 their recruitment practices and then we
14 developed case studies and identified lessons
15 learned for SSA.

16 And then our last main sub-task on
17 Call 2 was to develop a candidate tracking
18 database. For this step we first identified
19 all the potential purposes of uses SSA may
20 have for a candidate tracking database. And
21 then once they reviewed those, we developed a
22 Microsoft Access database in which you can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 enter and store candidate information along
2 with tracking training progress, certification
3 and then eventually also job assignments.

4 As I said, the bulk of the
5 presentation will focus on our
6 recommendations. Does anybody have any
7 questions at this point on our methodology?

8 CHAIRPERSON BARROS-BAILEY: Go
9 ahead, Tom. And before you start, if I could
10 just make an announcement.

11 I know there are about 30 people
12 listening in. If you go to our Web site,
13 ssa.gov/oidap, and you go to the meeting
14 information and click on our agenda, and all
15 the PowerPoints that are being delivered today
16 and tomorrow are on that agenda and you can
17 follow along remotely. So I just wanted to
18 get that in.

19 Go ahead, Tom.

20 MEMBER HARDY: That's great, Mary.
21 I'm glad to hear that, too.

22 I did not get to read all the way

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 through the entire document, so if my
2 question's answered, just tell me to read.

3 You spoke about focus groups at one
4 point. Is the constitution of those focus
5 groups described in here, how you found this
6 focus group, who the people were, that kind of
7 stuff? Is that in here?

8 DR. HARVEY: Yes, it is. And as I
9 said, those were done in conjunction with Call
10 Order 1. So if you read through Call Order 1
11 and read about their focus groups, it was the
12 same focus groups.

13 Okay. With that, I'd like to move
14 forward then and focus first here on the
15 recommendations and findings from our research
16 on training strategy.

17 Part of our task here was to
18 identify the knowledge, skills and abilities
19 and minimum qualifications needed of job
20 analysts. So through those focus groups that
21 Tom was just asking about we talked to the job
22 analysis experts about different knowledge,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 skills and abilities and minimum
2 qualifications needed and we developed an
3 initial draft list. But of course that list
4 really needs to be revisited and reexamined
5 once SSA has the final job analysis
6 methodology and work analysis instrument.

7 Now the minimum qualifications
8 here, they really should serve as an initial
9 hurdle to screen out individuals that will
10 absolutely not be able to successfully perform
11 as job analysts. So based on our
12 recommendations, we suggest using MQs that
13 include specific and relevant course work or
14 experience. We did gather some initial data
15 from the experts about the KSAs and KSA
16 ratings that would indicate three KSAs as
17 potential criteria for minimum qualifications,
18 and those included ability to perceive
19 objects, people and environments, the ability
20 to understand written materials and the
21 ability to adapt to situational circumstances.
22 And then we also developed some specific MQ

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 statements for each of those KSAs, but again
2 we want to revisit that once the job analysis
3 methodology and work analysis instrument are
4 finalized.

5 Okay. We gathered information on
6 11 different job analysis trainings and we
7 found that the typical characteristics of
8 these trainings are that they are
9 classroom-based. And typically anyone can
10 participate, so there are no prerequisites.
11 The content of the trainings tends to focus on
12 the data collection skills that are particular
13 to that job analysis method and the trainings
14 include a lot of opportunities for practice.

15 Our recommendation around the
16 existing job analysis trainings is really
17 again to reexamine the trainings once the job
18 analysis methodology is finalized so that SSA
19 could explore the possibility of perhaps maybe
20 using some of the methods or materials that
21 align with their methodology.

22 Based on our interviews with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 training experts and our training literature
2 review we identified a number of
3 recommendations around the design of training.

4 First we recommend that all of the training
5 activities be linked to learning objectives
6 and that those learning objectives be
7 specific, measurable and observable. We also
8 recommend considering the background and
9 experience level of participants as the
10 training is designed.

11 We recommend that the training
12 start with concepts to create a general
13 understanding of the material and then allow
14 participants the opportunity to perform the
15 relevant behaviors. We also recommend keeping
16 the training sessions relatively short and
17 including review questions and interactive
18 components so that it keeps participants
19 engaged throughout the training.

20 And we talked with the training
21 experts as well about budgetary concerns, and
22 there was strong agreement there that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 budgetary concerns should not at all dictate
2 training material or content, that that really
3 should only impact the sophistication of the
4 materials and the range of activities that can
5 be offered.

6 And then lastly here, they also
7 recommended using the instructional system
8 design model referred to as ADDIE, which
9 stands for Analysis Design, Development,
10 Implementation and Evaluation. And they
11 recommended this model because it has a
12 flexible set of guidelines and it focuses on
13 evaluation at every stage.

14 Some of the features that were
15 recommended from the training experts that
16 should be include were the following: lecture
17 to provide information on content; discussion
18 to provide an opportunity for clarification
19 and reflection; practice so that participants
20 have the opportunity to build their skills,
21 feedback so that they can gain information on
22 their progress and help them improve; and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 resource materials so that they have something
2 to refer to once the training is complete.

3 We also talked to the training
4 experts about what would help increase
5 participant engagement during the training and
6 then transfer of training after the training.

7 Their recommendations for increasing
8 participant engagement include having a
9 variety of activities, communicating the
10 importance of the training and then
11 highlighting how the training can benefit them
12 beyond the SSA contact. So for example,
13 highlighting how it can build their résumé or
14 give them opportunities to gather information
15 that they might not typically capture in the
16 way they do their job analysis.

17 And then to increase training
18 transfer, they suggested emphasizing
19 throughout the training the expectation that
20 they need to strictly adhere to the process
21 providing significant time to practice in the
22 training, providing feedback and using

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 knowledgeable instructors, and then having a
2 network of peer support, which we thought was
3 a really good suggestion.

4 Regarding the actual training
5 content, of course our first recommendation
6 here is to reevaluate the KSAs that need to be
7 focused on during the training once that job
8 analysis methodology and instrument are
9 developed, but we did gather some initial
10 information on the KSAs that might be most
11 difficult to learn, thinking that that would
12 be what needed to be focused on during the
13 training.

14 Some of those KSAs included the
15 ability to judge or make decisions, the
16 ability to recognize ambiguous conflicting or
17 incomplete information, the ability to discern
18 KSAs that are needed to perform a task, skill
19 in observing a job in order to gather
20 information about the job, and an ability to
21 estimate the value or worth of something. So
22 these KSAs were rated as most difficult, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so they are ones that will probably need to be
2 focused on during the training.

3 The training experts also
4 recommended that the main component of the
5 training be focused on the data collection
6 procedures that are going to be used in the
7 methodology and to emphasize the importance of
8 ensuring quality data.

9 We also talked to the training
10 experts about how to train for data security
11 concerns, knowing that this would be an
12 important issue for SSA. Their
13 recommendations around that included having
14 discussions with the participants about data
15 security, emphasizing the consequences of
16 failing to adhere to data security
17 requirements and including practice scenarios
18 or case studies that highlight data security
19 concerns.

20 Then as we've mentioned repeatedly,
21 it was recommended and suggested the
22 importance of comprehensive practice here, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that would include practice with any of the
2 materials or instruments that they'll use on
3 site conducting their job analysis.

4 The last set of recommendations
5 around training here cover several different
6 topics. So first, regarding the training
7 assessment, assessments can be used to assess
8 participant learning throughout the training;
9 and those are typically referred to as
10 formative assessments, and then at the end of
11 the training. And so those are referred to as
12 summative assessments. And those assessments
13 can really take any form, but whatever
14 training assessment is developed, it needs to
15 be developed and integrated with the
16 certificate program, as we'll discuss in a
17 moment. And our recommendation here is of
18 course to tie the assessments to the specific
19 training or learning objectives and also to
20 include a knowledge component as well as a
21 behavioral component in the assessment.

22 Regarding training delivery, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommend using a blended delivery approach,
2 so it would include an online component and a
3 classroom- based component. While online
4 training would be very cost-effective for a
5 national geographically-dispersed training, it
6 doesn't allow participants the opportunity to
7 practice or to get the appropriate amount of
8 feedback. So having an online training that
9 would perhaps serve as a first hurdle would be
10 very beneficial because it could reduce
11 administration costs and help participants
12 come to the classroom-based portion with a
13 really strong knowledge and then they could
14 just focus on building their skills. So of
15 course having a blended approach will probably
16 involve more effort. We feel like that effort
17 will -- the benefits will outweigh the costs.

18 Since we are recommending a
19 classroom-based portion to the training we
20 also recommend developing and administering
21 train-the-trainer sessions to ensure
22 consistency and standardization of training.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And then lastly we recommend
2 evaluating training at three of the four
3 levels of Kirkpatrick's training evaluation
4 criterion. That's in reference to Donald
5 Kirkpatrick's famous model of training
6 evaluation. And the three levels would be
7 reactions, learning and behavior. So that
8 would include assessing participants'
9 reactions to the training, assessing their
10 actual learning of the training material and
11 then assessing their behavior once out into
12 the field.

13 So those are our recommendations
14 regarding training. Does anyone have any
15 questions on those before I move onto the
16 recommendations on credentialling?

17 (No audible response.)

18 DR. HARVEY: Okay. Great. There
19 are three different types of credentials that
20 we looked at, the first being a certificate of
21 attendance or participation, and that
22 credential just really attests to the fact

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that a participant attended and/or
2 participated in a given training. The next
3 type is an assessment-based certificate
4 program, and in this type individuals attend a
5 specific training and then are assessed on
6 their learning of the training. And then the
7 third type is a professional certification and
8 that attests that candidates have met some
9 predetermined level of competency in a
10 particular discipline or area of study.

11 Now the assessment-based
12 certificate program is the one that really we
13 feel is most suitable to SSA's needs. It's
14 the only type of credentialling that attests
15 to both the fact that someone did attend a
16 specific training, that they did learn the
17 training material and that they have met some
18 predetermined level of competency. So that is
19 the one that we have recommended to SSA.

20 And then given the legal scrutiny
21 that SSA is likely going to face, we really
22 feel that they need a credentialling process

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that is of the highest quality and can be
2 recognized by an outside third party as valid
3 and rigorous. And we've also found that
4 accreditation and third-party validation has
5 had significant value to other agencies that
6 are similar to SSA and that the public and the
7 Federal Government are becoming more and more
8 familiar with certificate programs and program
9 standards and are increasingly requesting
10 third-party validation.

11 So as such, we have recommended to
12 SSA that they follow one of the two approved
13 industry standards for an assessment-based
14 certificate program and that they seek
15 accreditation. The two approved industry
16 standards: One comes from ANSI, which stands
17 for the American National Standards Institute;
18 and the other is from ICE, which stands for
19 the Institute for Credentialling Excellence.
20 As you'll see in our report, we have reviewed
21 the requirements of both of those standards
22 very thoroughly and that there are a number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 requirements in each of those standards.

2 We've also recommended to SSA that
3 they follow up with either ANSI or two
4 agencies that we interviewed, the FBI or the
5 FDA. The FBI and the FDA have sought
6 accreditation for their certificate programs
7 and we talked to them about the benefits and
8 the process of that and provided some
9 information, but if SSA has any additional
10 information or questions, we suggest that they
11 follow up with them. We also suggest even if
12 accreditation is not desired or feasible that
13 you still build the program to comply with the
14 standards, because the standards really
15 represent best practices.

16 We did also look at the
17 credentialling practices of existing job
18 analysis methods and found that most methods
19 do not really have a credentialling associated
20 with them. Of the ones that do the process
21 typically involves a participant taking a
22 specific training and then completing an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assessment that's usually either a multiple
2 choice test or a work sample and then having
3 to, you know, meet some passing score on that
4 assessment. But in the information that we
5 have gathered we just have not found that any
6 of those practices would really meet SSA's
7 needs, nor would they fully comply with either
8 one of the standards, so we really recommend
9 that SSA seek to develop their own certificate
10 program.

11 As I said, the two standards; the
12 ANSI and the ICE standards, really have a
13 number of requirements in them, and we go
14 through them in detail in the report. Here I
15 just wanted to highlight a couple of the
16 overarching ones or key ones, and the first
17 involves developing clear policies and
18 procedures for program operation and
19 administration. And this also includes
20 information about how the program can be
21 communicated to stakeholders, because that's
22 important. The training that's associated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with the certificate program should be based
2 on the learning objectives and should follow
3 generally accepted training guidelines, which
4 we just discussed in the training
5 recommendations.

6 Now the requirements of an
7 assessment-based certificate program are
8 really quite extensive, as I said, and could
9 be really difficult for someone unfamiliar
10 with them to implement, so we've also
11 recommended involving an assessment or
12 credentialing specialist and involving that
13 specialist early on in the process even when
14 learning objectives are being developed. Also
15 to meet the standards SSA will need to conduct
16 a quality control check or an evaluation of
17 the program on annual basis, will need to
18 maintain records on participant and on how the
19 program was developed and administered, and
20 also need to have a designated authority to
21 oversee and manage the program.

22 And lastly, in regards to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 credentialling we talked on numerous occasions
2 with SSA about data entry and the criticality
3 of data entry here and the numerous concerns
4 that could interfere with quality data entry
5 such as the delays between assignments the job
6 analysis might have or the fact that job
7 analysis might have experience with other
8 methods and that might impact how they enter
9 the data.

10 And so given those concerns we
11 looked specifically into the training and
12 credentialling practices for data entry and
13 for some of the large national databases like
14 the Traumatic Brain Injury Database, and we
15 found that these existing large scale
16 databases use a combination of having training
17 for data entry personnel and providing very
18 detailed resources along with having preset
19 limits in the databases to help control
20 quality of data entry. So we've recommended
21 both of these two suggestions here to SSA,
22 that they develop software that includes data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 checks on data entry and to provide a detailed
2 data entry handbook or guidebook to job
3 analysts.

4 Another check on data quality is to
5 include an audit process, and audits could be
6 conducted on a predetermined schedule or they
7 could be conducted if a problem in data
8 quality has been identified, or if certain
9 triggers have met. For example, if it's a new
10 analyst or if the analyst hasn't performed an
11 analysis in a number of months or for a long
12 time period. And so we recommend that SSA
13 develop an audit process and develop policies
14 and procedures on how that process will occur,
15 how often it will occur, who would be audited
16 and what measures would be used to do that.

17 That concludes our recommendations
18 on credentialling. Again I'll pause here to
19 see if there's any questions on those.

20 MEMBER HARDY: I had one quick
21 question. Earlier when you were looking at a
22 certification program you said something about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it, that it was under two years. What led you
2 to address this program lasting less than two
3 years? Why did that become an issue?

4 DR. HARVEY: It's my understanding
5 that to seek accreditation a program needs to
6 have two year's worth of data. So SSA will
7 need to evaluate how long their certificate
8 program will be in place. If it's only going
9 to be in place for a year or two years, it
10 might not be worth seeking accreditation. If
11 it's going to be in place much longer than
12 that and will get that two year's worth of
13 data, then it makes sense to seek that
14 accreditation. Does that answer the question?

15 MEMBER FRASER: I was just
16 wondering whether you sought any input from
17 the existing SSA VE group, VE constituency in
18 terms of this type of work activity.

19 DR. HARVEY: No, we did not.

20 MEMBER FRASER: Yes, it's just that
21 it is a large group, over 1,000, I think, 11
22 or 1,200, and they're used to doing contract

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 work. And although they're steep in the DOT,
2 I think they could be retooled for some of
3 this work activity.

4 DR. HARVEY: Thank you for bringing
5 that to our attention.

6 CHAIRPERSON BARROS-BAILEY: I think
7 there were VEs involved in the process in
8 terms of the focus groups.

9 Debra, were you going to say
10 something?

11 MS. TIDWELL-PETERS: What I was
12 going to say in answer to Bob's question is
13 that as we continue our recruitment efforts we
14 will be reaching out to certain stakeholder
15 groups.

16 CHAIRPERSON BARROS-BAILEY: And I
17 had a question on the credentialling. This is
18 an area I'm familiar with because of my
19 previous involvement in this area with the
20 Commission on Rehab Counselor Certification,
21 so I was really curious that you included ANSI
22 and ICE. CRCC is accredited by ICE and so are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 a variety of others on their Web site; the
2 health care providers and the addictive
3 disorders practitioners, nurse practitioners,
4 wound management, medical assistants, the
5 certification for orthotics, prosthetics and a
6 variety of health-related organization that
7 credential. But ANSI -- I'm a bike rider and
8 my helmet is accredited by ANSI. So when I'm
9 on the ANSI Web site, they talk about
10 accrediting construction materials, products,
11 that kind of thing. So I was very curious why
12 you included both and if there was a
13 preference one way or another because of the
14 task associated here with one credentialling
15 standard over the other.

16 DR. HARVEY: We included both.
17 They are the only two in existence for the
18 certificate programs. And ANSI has a number
19 of different standards and certificate
20 credentialling programs, and so we were just
21 focused on their assessment-based certificate
22 program standard. And, no, we do not at this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 point have any preference or bias towards one
2 or the other. We just feel like it would be
3 important to either follow one or both. I
4 mean, if you follow both, then you're
5 comprehensive and you could seek accreditation
6 with either place.

7 MEMBER FRUGOLI: I have some
8 familiarity with ANSI from my work, so I just
9 thought I'd share that they do product
10 certification, but they also do accreditation
11 of personnel certification. So you have to go
12 the right part of their -- and it's completely
13 different people and process. And also, ANSI
14 uses often international standards, ISO
15 standards and I don't believe that ICE -- I
16 think they use national standards. So that's
17 one of the differences.

18 CHAIRPERSON BARROS-BAILEY: Thank
19 you. Juan?

20 MEMBER SANCHEZ: I guess we now are
21 certifying job analysts, so the assumption is
22 this project is going to have job analysts and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's going to have quite a few of them. I'm
2 not sure that -- I haven't been on the panel
3 for such a long time, but I haven't really
4 heard that, that a decision has been made to
5 have job analysts, because for simple if you
6 use survey methodology, then you don't need
7 job analysts and O*NET is ran without any job
8 -- I mean, there are analysts that make some
9 gradings looking at, you know, paper
10 descriptions of jobs, but there are no job
11 analysts that go talk to incumbents or anybody
12 else. So it's more of a general comment that
13 I think the nature of this training, it's
14 going to depend on the nature of what the
15 analysts do, assuming that we do have
16 analysts.

17 CHAIRPERSON BARROS-BAILEY: Yes,
18 job analysts are one form of data collection
19 and I think that is an area that is being
20 looked at.

21 Any other questions?

22 (No audible response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON BARROS-BAILEY: Okay.

2 DR. HARVEY: Great. Then I'll
3 continue on to move to our recommendations
4 regarding recruitment.

5 As I said our research here
6 involved identifying benchmarking partners who
7 we interviewed about their recruiting
8 practices. And we talked to them about a
9 number of different topic areas, and one such
10 topic area was the potential source of
11 candidates. We found that our partners
12 typically recruited graduate-level
13 professionals in fields such as vocational
14 rehab, physical and occupational therapy and
15 I/O psychology. But they also did mention
16 sometimes using graduate students as they can
17 be a less costly alternative but still have
18 the knowledge needed, however, they would need
19 more careful supervision and more training.

20 Some of our partners also
21 recommended or gave the suggestion to use
22 retired professionals, but it's unclear at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this point whether there would be enough
2 candidates within either of those sources to
3 meet SSA's needs, so we are really
4 recommending that SSA focus recruiting on
5 candidates with some type of training or
6 experience in job analysis and then to conduct
7 additional research to determine the numbers
8 and interests of people in these different
9 potential source groups to work with SSA.

10 Our interviews with the
11 benchmarking partners also talked about
12 different recruiting frameworks that SSA could
13 use, one such framework being hiring for
14 internal staff. This option would provide the
15 greatest control over the work, but of course
16 would result in a lot of work for SSA and a
17 huge workforce at the end of the project that
18 they may no longer need long term.

19 Another option could be recruiting
20 independent consultants or practitioners.
21 This would provide a lot of flexibility for
22 SSA, but also probably requires a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 management, control and quality control.

2 And then a third option would be
3 perhaps having SSA contract with organizations
4 that already have a network of providers.
5 This would be a very simple approach then and
6 really reduce the burden for SSA, but it's
7 really going to require some further analysis
8 to determine how many organizations are out
9 there that would have such a network, whether
10 those network of professionals would have the
11 skills that are needed and what the cost would
12 be involved in that. So we recommend
13 conducting a cost benefit analysis of these
14 different recruiting frameworks.

15 And then regarding recruitment
16 logistics, given that SSA needs to have
17 occupational data collected throughout the
18 country to ensure that their disability
19 determinations are justifiable nationwide,
20 we've recommended here the consideration of
21 establishing a few temporary regional offices
22 for that initial wave of recruitment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Then we also recommend of course
2 developing a recruitment and hiring process
3 that is efficient and moves candidates through
4 the process as quickly as possible. I'm sure
5 we all know that the longer that process
6 takes, the more likely candidates will be to
7 drop out and move onto something else.

8 One of the key elements that we
9 heard from several of the benchmarking
10 partners regarding successful high-volume
11 quick turn around hiring was to have a
12 competitive pay, and that competitive pay will
13 vary based on education, experience, even the
14 discipline and the location of the analysts.
15 And while we did gather information from each
16 of our benchmarking partners on pay and
17 compensation, it really is not enough
18 information to provide a really accurate and
19 concrete recommendation on a competitive rate.

20 So what we recommend really is that
21 SSA conduct a more comprehensive compensation
22 study to really get more definitive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information on what might be a competitive
2 rate. However, we have recommended that SSA
3 provide that compensation as an hourly rate.
4 We feel that this would most accurately
5 compensate analysts for their time spent, but
6 we also recommend putting a cap on that, on
7 the total number of hours that can be spent
8 and maybe setting those caps by different job
9 categories, so that would guard against any
10 abuse.

11 Information gathered from the
12 benchmarking partners on candidate tracking
13 suggested that by logging candidate
14 information along with screening and selection
15 data and integrating that with any performance
16 auditing would be the most useful. And so as
17 I said earlier, the candidate tracking
18 database that we've developed does include all
19 of those components.

20 And then we also asked the
21 benchmarking partners about training for
22 rotational or temporary staff and they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 suggested including modular training when the
2 experience or education levels of participants
3 would vary. We were talking with SSA
4 yesterday about that recommendation and it
5 sounds like that would probably be very
6 beneficial here for them.

7 That concludes our recommendations
8 on recruitment. Any questions on those before
9 we talk about some of the more overall
10 strategic decisions that are needed?

11 (No audible response.)

12 DR. HARVEY: Okay. Great. As I
13 mentioned at the beginning of the
14 presentation, there are several decisions that
15 lead up to or are going to impact the overall
16 business strategy for training, certifying and
17 recruiting job analysts. So in the next slide
18 I wanted to call out just some of those
19 decisions. And then after that, I want to
20 mention some of the potential resources SSA
21 may need to assist them.

22 Of course that first decision,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 again as I've said repeatedly throughout this
2 presentation, is the need to identify the work
3 analysis instrument and the method for job
4 analysis. That's going to be the driving
5 force behind all of these components; the
6 training, certifying and recruiting of
7 analysts.

8 Then next we need to establish
9 those learning objectives. The first step in
10 designing a job analysis training is going to
11 be identifying clear, specific, observable
12 objectives.

13 Next would be to determine the size
14 of the candidate pool. The size of the
15 candidate pool is obviously going to impact
16 the type of candidates that SSA needs to
17 target and the type of candidates then impacts
18 the training and how much training is needed
19 and the level of training needed. Of course
20 whatever candidates are selected, they at
21 least need to have those minimum
22 qualifications that are identified.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We also recommend that SSA consider
2 the amount of time available to train
3 analysts. The amount of time that's available
4 to train analysts is really going to impact
5 how the training is implemented, so while we
6 recommended a blended training approach, the
7 amount of time that SSA has for that training
8 is really going to impact how that's
9 implemented, whether it's a two-month window,
10 a six-month window, a year.

11 And then we need to identify the
12 length of time over which SSA will recruit and
13 train job analysts. And this goes back to the
14 question that was asked earlier. That will
15 impact whether accreditation can or cannot be
16 sought.

17 And then we need to estimate the
18 expected tenure and experience level of
19 analysts, because this will impact that audit
20 process. If you are recruiting and hiring
21 less experienced analysts, like a graduate
22 student, you're probably going to want to have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a more thorough or rigorous audit process than
2 if you're using more experienced
3 professionals.

4 And then another one of the key I
5 think strategic decisions that needs to be
6 made is to determine the deployment strategy,
7 and that speaks to how many job analyses will
8 be conducted, when those job analyses will be
9 conducted, the location of the job analyses,
10 the frequency of assignments, because this is
11 really going to impact recruiting.

12 And then articulating a selection
13 strategy kind of goes hand in hand with that.

14 So identifying how many analysts will be
15 needed and the regions where those candidates
16 will be needed.

17 And then identifying the employment
18 status for analysts, like we talked about,
19 whether they'll be internal staff or whether
20 they'll be contractors, things like that,
21 because that will impact the attractiveness of
22 the position for different candidates.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And then once we have the work
2 analysis instrument, the methodology and the
3 KSAs finalized, then we can focus on
4 specifying a selection test batter to be used
5 to select candidates into the training
6 program. And then lastly, selected a
7 compensation approach.

8 Some potential resource needs that
9 would either help SSA with some of these
10 strategic decisions or with some of the
11 recommendations that we've made are listed
12 here on this next slide, the first being a
13 contractor with occupational or job analysis
14 experience.

15 Then an assessment specialist, as I
16 mentioned already in the presentation, who has
17 experience developing assessment-based
18 certificate programs.

19 A contractor with training design
20 expertise. I think we can see how all of
21 these fit with our recommendations.

22 But the next one, the headhunter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 agencies, professional organizations or
2 societies, or credentialing organizations,
3 these could be important for SSA not only to
4 help them find people, but help to get the
5 word out about the positions.

6 Existing networks or providers or
7 organizations like BTE and BTE Technologies is
8 one of the selected benchmarking partner that
9 we spoke with. Again, they can also help get
10 the word about these SSA positions.

11 Unemployment agencies or temporary
12 staffing agencies. Again, they could help
13 find and recruit. They could also perhaps be
14 part of the management of these analysts and
15 perhaps help with things like payroll and
16 things like that.

17 A technology vendor who can provide
18 information on information technology services
19 regarding that database and developing a data
20 entry database that has those data checks.

21 A contractor with knowledge of
22 existing audit software packages that SSA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could evaluate for their needs, software
2 compatible with the OIS database that could be
3 used for quality control.

4 Auditors who can implement that
5 audit process that we've recommended.

6 And then a contractor with
7 expertise and experience in testing.

8 So that concludes the results and
9 our recommendations from Call Order 2. Any
10 questions?

11 CHAIRPERSON BARROS-BAILEY: Go
12 ahead, John.

13 MEMBER CRESWELL: Thanks for your
14 presentation. I'm going to build on Juan's
15 question earlier and ask it a little bit
16 differently. How does Call Order 1 relate to
17 Call Order 2, and have you given thought to
18 that, or is that within the scope of your work
19 to think about that relationship? In other
20 words, are there some models in Call Order 1
21 that lean in the direction of having the job
22 analyst and then going through the training

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and recruiting process you suggested?

2 DR. ANDERSON: Yes, I think the
3 assumption with both of those going in was
4 that -- and not our assumption, but the
5 assumption that was provided to us, that we
6 would at some point be needing to use job
7 analysts. We aren't really sure how we're
8 going to be using them. One of the things I
9 think -- for Jen one of the frustrating things
10 about doing this presentation is she has to
11 continue to say, well, we're not sure. We
12 can't really settle on a firm recommendation
13 because we don't' really know exactly what
14 analysts are going to be doing. So, yes, the
15 two really are dependent on one another. And
16 also, both of them are dependent on what
17 happens with the taxonomy and other decisions
18 that are going to be made later this year.

19 MEMBER CRESWELL: So is it fair to
20 say that the models that lean in the direction
21 of using observational data procedures might
22 require then job analysts? Is that a fair

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assumption?

2 DR. ANDERSON: Yes, I think that's
3 the assumption that we went with, that
4 probably that we'd have to be using analysts
5 for that. If you noticed, as we we're talking
6 about Call 1 we didn't completely rule out the
7 possibility of using a survey to reach
8 incumbents. There's a potential role there.

9 CHAIRPERSON BARROS-BAILEY: Juan,
10 go ahead.

11 MEMBER SANCHEZ: Yes, I thought
12 that your presentation was very complete, very
13 comprehensive. I guess my only concern is;
14 and I understand this is not your fault
15 because the assignment was broad, like you
16 said, some of these recommendations on the
17 PowerPoints, for example, link training
18 activities to specific measurable and
19 observable learning objectives, consider
20 background and experience levels of
21 participants, I mean, I agree with this, but
22 they're very generic and we'd probably pull

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this out from any training book, right? So I
2 guess I'm not blaming you because this is the
3 assignment you were given. I'm just
4 questioning the utility of some of the
5 assignment itself.

6 MS. TIDWELL-PETERS: You know,
7 Juan, indeed some of the utility of the
8 assignment is that not only do we have to
9 think out loud about some of these issues, but
10 we do have to provide documentation that we
11 have strategically looked at them. And that
12 is a large purpose of this project, to provide
13 that documentation that we have done the
14 legwork, we've done the background work and
15 we've done the benchmarking on some of these
16 issues.

17 DR. HARVEY: I'd also like to add
18 that as we talked with the training experts I
19 think they also wanted to be able to provide
20 more detail or more information but were
21 unable to when we did not have that content
22 model or the job analysis methodology or the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 work analysis instrument. Because when they
2 didn't know what the content would be, they
3 couldn't really speak to any more specific
4 types of recommendations.

5 MEMBER SANCHEZ: I guess what I'm
6 questioning is I don't think -- do I need an
7 external consultant to tell me that training
8 activities need to be linked to objectives and
9 to be told that I need to consider the
10 background and experience of the participants?

11 Could I just pull it out from a training
12 book, and undergraduate textbook perhaps?
13 But, you know, just a thought.

14 CHAIRPERSON BARROS-BAILEY: Pam and
15 then Shanan.

16 MEMBER FRUGOLI: I wanted to ask,
17 you mentioned graduate students as a possible
18 source, but did you look at what majors those
19 would be from, like would they have to be in
20 I/O psychology, or could they just be in
21 psychology, or economics? You know, which
22 fields in particular was that looked at?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HARVEY: Yes, our benchmarking
2 partners included organizations that
3 represented various different fields, not just
4 I/O psychology, but vocational rehab,
5 occupational therapy. So I think it would be
6 grad students in any one of those fields. But
7 we haven't really focused in on the
8 combination of experience and what education
9 levels at this point, so we would need to do
10 more research on that. And again, as I think
11 my fall back statement is, it's really going
12 to depend on the methodology and the
13 instrument and what kinds of procedures are
14 involved and skills are needed.

15 MEMBER GIBSON: My pseudo-question;
16 I'm going to try to frame it as a question for
17 Debra, I guess, in response to Juan's comment
18 is that while any professor in I/O psychology
19 or business school probably can pick up 10
20 different handbooks on training design and put
21 together this, I would ask if the resources
22 within OVRD allow you to have an expert on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hand to design a training program for you or
2 to even do due diligence in developing
3 background on what are the practical best
4 practices in training. And if you do not have
5 those resources, then having someone like IFC
6 come in and provide that due diligence for
7 you, is that helpful?

8 MS. TIDWELL-PETERS: Yes.

9 MEMBER HARDY: I recognize a lot of
10 this is vague and has to be vague, and as a
11 lawyer that offends me deeply, but I'm okay
12 with that. But there is something in here
13 under the minimum qualifications that was
14 vague that I didn't understand. So you have
15 down here "participated in personal activity
16 for six months where visual cues had to be
17 gathered and interpreted." What does that
18 mean?

19 DR. HARVEY: Again, that relates to
20 the KSA of meaning to be able to visually
21 perceive people, objects and environments.
22 And what we were trying to do again is make MQ

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 statements that are tied to specific types of
2 experience or course work. That one's pretty
3 broad. It's really the KSA of being able to
4 see, yes. So we were trying to make it broad
5 enough to encompass anything that anyone might
6 do, but yet tie it to something specific, to,
7 you know, work experience. So that's the
8 statement we've come up with at this point.
9 But again, we really want to revisit those.

10 MEMBER SANCHEZ: I guess this is a
11 question for you guys. In general will you
12 have felt more comfortable if you knew the
13 content of the training, if you had more
14 guidelines in terms of we're going to job
15 analysts? Had you had more information from
16 us in terms or from -- as to say in terms of
17 who was going to be trained and for what,
18 would your task may have been easier?

19 DR. HARVEY: Certainly the more
20 information -- it would have been helpful to
21 have more information, but I still think this
22 was a very valuable process that we went

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 through. I think that helped to give us some
2 initial starting part and helped to clarify
3 and identify some of these other decisions and
4 considerations that SSA needs to make in order
5 to develop that overall business strategy.

6 MEMBER HUNT: Taking for granted
7 that the challenge was there because the
8 subject is unclear; maybe this is a question
9 for SSA, but is the status of this report such
10 that it will be revisited when we have the
11 specifications, or at least an instrument
12 maybe?

13 MS. TIDWELL-PETERS: We definitely
14 intend to go back and look at the
15 recommendations that ICF has made once we have
16 the instrument, the draft work taxonomy
17 prototype. I mean, this is the beginning of
18 the conversation. This is not the end. This
19 is the opening of the box. It's not the
20 closing. And so, we will go back when we can
21 give them more specificity about who, what,
22 when, where and how we're going to do the data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 collection.

2 CHAIRPERSON BARROS-BAILEY: That
3 reminds me of two years ago when our report
4 came out and people thought it was the end and
5 we kept on saying it was the beginning. So
6 you're talking about the same thing.

7 MEMBER LECHNER: I have some
8 questions related to your comments on the
9 disadvantages of eLearning, and I've had the
10 opportunity to look pretty closely at your
11 executive summary, but I haven't had a chance
12 to read the full report, so forgive me if it's
13 already in here. But my question is how did
14 you arrive at your conclusions regarding the
15 disadvantages of eLearning and do you have
16 someone on your team or that is a consultant,
17 a subcontractor to your group that has
18 expertise in eLearning?

19 DR. HARVEY: Yes, we do. We have
20 someone that's a specialist in instructional
21 system design and we have developed at ICF a
22 number of eLearning types of trainings. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 we spoke with several of them for this project
2 in regards to this specifically and a lot of
3 those disadvantages that we document there
4 came from our interviews with the training
5 experts.

6 MEMBER LECHNER: You know, I think
7 that I don't know the research in this field
8 of eLearning, but it occurs to me that
9 possibly, like the comment that participants
10 receive limited feedback -- I think in my
11 experience with eLearning the feedback is
12 through a different medium, but it may not be
13 limited. It may be even -- depending on how
14 the feedback is provided, it could be even
15 more immediate than say in a classroom setting
16 where you can't ask a question until the
17 lecture is finished. So I think I would
18 encourage you and SSA to sort of revisit this
19 whole idea of eLearning and, you know, what
20 does the literature show about learning, the
21 effectiveness of, you know, teaching the
22 material taught. Is there any significant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 difference in different mediums? And just
2 because I think that there is so much of a
3 trend towards eLearning and there are so many
4 advantages in terms of cost effectiveness and
5 reaching people in a variety of geographic
6 locations that can be achieved, I think some
7 of the perceived disadvantages may or may not
8 be present.

9 MEMBER GIBSON: To follow up with
10 what Deb is talking about, I have another
11 question, too. Your ultimate recommendation
12 is eLearning kind of combined classroom
13 learning to help handle the distinctions
14 there. When you talk about eLearning, were
15 you specifically referring to wholly
16 asynchronous eLearning, because there is
17 synchronous eLearning which frequently mimics
18 the very same aspects of classroom-based
19 learning. And so a program that's eLearning
20 could be synchronous and asynchronous. So
21 what was the breakdown there in terms of the
22 pros and cons when they were doing that? Were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they only talking of purely asynchronous
2 eLearning?

3 DR. HARVEY: I think we were
4 probably thinking more asynchronous, and
5 again, thinking about cost and ease for the
6 participant to take it at their own pace. But
7 you're exactly right; we can re-look at that
8 and think about the synchronous eLearning.
9 And I know we talked with SSA yesterday and it
10 sounds like they have a lot of capabilities in
11 that area to do synchronous online learning.
12 So we can explore that a little bit more and
13 maybe address some of the concerns that have
14 just been raised.

15 In regards to the feedback, we can
16 certainly re-look at that, but I think perhaps
17 what we were thinking when we were talking
18 about the limited feedback was the limited
19 feedback on practice and then being able to
20 practice in front of an instructor and get
21 that hands-on feedback.

22 MEMBER LECHNER: And I think some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the research that I've been involved with
2 in the past we were able to do and provide
3 that practice by using some videotapes and
4 then feedback of the analysts that we were
5 training watching the videotapes. I think the
6 hardest thing to teach, whether you're doing
7 it live or doing eLearning, is just the
8 structured interview component that requires
9 the analyst to ask a question and then ask the
10 question behind the question and teaching that
11 logic and getting practice in that logic. And
12 so, if there's anything that's difficult to
13 teach, period, whether it's online. or
14 Web-based, or synchronous, or asynchronous, or
15 live, I think it's that rationale and that
16 questioning to do the structured interview.

17 MEMBER PANTER: I view this report
18 as a big to-do list and I think that some of
19 the issues that are coming up, including this
20 last issue about what should the format be of
21 the training, are dependent on these design
22 decisions, and that is a design decision. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think some of them have to be viewed that
2 way and removed and thought of as design
3 decisions. And so we need some kind of
4 mechanism so that we are literally revisiting
5 each aspect of this, because there are
6 specifics that need to be put into each one
7 and it's premature at this point to close
8 anything because we don't have a design.

9 MEMBER KEY: One of the things as
10 an occupational physician, we wrestle with
11 issues of merit badges. We get certification
12 in medical review officer so that we can do
13 drug screen testing. We get certification now
14 in doing CDL examinations and so on. Now what
15 that does is it frees up the federal agencies
16 that are involved in that from having to be
17 involved in training. They have outside
18 sources that provide -- not that they're
19 accredited by the federal agency or anything,
20 but they've met either ANSI or ICE standards
21 of training and certification. So is that an
22 approach that would likely work for SSA in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this endeavor having outside sources such as
2 the occupational therapist, the physical
3 therapist, the I/O people, their groups or
4 accrediting groups doing this type of
5 certification for them as a kind of merit
6 badge?

7 DR. HARVEY: Yes, we certainly
8 looked at the credentialing requirements of
9 different disciplines. I think that's
10 definitely a good thought. I think the
11 concern there is that this certifications that
12 would be out there would be at the overall
13 hierarchical discipline area and are not bound
14 specific to the job analysis of that
15 discipline. So they're not getting
16 certification in job analysis, but they're
17 getting certification in voc rehab, or they're
18 getting certification in occupational therapy.

19 And so they're getting certified at having
20 this board knowledge of how to do voc rehab or
21 occupational therapy and you wouldn't I don't
22 think have the level of assurance that you are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looking for that SSA needs that they can
2 actually do job analysis the way -- or have
3 the level of competency that's needed to do
4 job analysis as SSA needs.

5 MEMBER KEY: Well, as a follow up
6 to that, I guess what I was getting at is that
7 like our professional organization has
8 organized these training programs for its
9 constituents and that helps the federal
10 agencies that are needing these services
11 because they don't have to be involved in the
12 training. They don't have to hire people to
13 do the training or even be involved in it.
14 There's an overriding accrediting group that
15 certifies that these are applicable training
16 programs. I mean, sure, an occupational
17 therapist and a physical therapist would have
18 a different approach than an I/O, but if they
19 had common standards of certification, then
20 this additional certification would seem like
21 it would meet SSA requirements for this
22 individual work analyst.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HARVEY: Yes, I would agree.
2 We've done the research. There is no
3 certification out there, you know, currently
4 on job analysis. And I would say in response,
5 too, that the certification requirements or
6 credentialling requirements across the
7 different disciplines are not equivalent or
8 similar. So I think those will be some of the
9 concerns that you would need to look into and
10 consider, thinking about something like that.

11 MEMBER WAKSHUL: I don't have a
12 question, but I have an observation that in
13 looking at your recommendations it seems to be
14 very resource intensive in terms of money and
15 in terms of people. And we live in an age of
16 shrinking budgets and shrinking government
17 and, you know, it's shrunk some and it looks
18 like it's going to continue to shrink, and how
19 these two conflicting interests play out
20 should be very interesting. We may have to
21 have another set of recommendations for the
22 poverty of the future.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON BARROS-BAILEY: Tom?

2 MEMBER HARDY: This kind of falls
3 back into another kind of caveat as I had for
4 the other call. As I was reading this under
5 compensation there's a line here that says
6 "compensation hourly rate that caps on the
7 total hours that could be spent based on
8 various categories of jobs." Conceptually I
9 understand what you're saying is different
10 methods may be utilized for different
11 categories of jobs which will have a different
12 cost impact. I'd be careful with language as
13 to how you get there and how you describe the
14 process because for me sitting here I can also
15 look at that and say you're placing a
16 different dollar value on different
17 categories. Is that what you're doing? Are
18 you allocating resources to one type of job
19 over another? So I would just as a warning
20 say be careful how you arrive at that and make
21 sure your justification is very clear.

22 DR. HARVEY: I appreciate that and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I want to follow up with your comment. I
2 agree that that's going to be struggle and
3 it's going to be a challenge to try and
4 balance cost with doing something that's
5 really rigorous and valid. So thank you for
6 both of those comments.

7 MEMBER SANCHEZ: Yes, I guess I'd
8 also comment. I've been involved on a couple
9 of cases that I've been involved in. The
10 qualifications of the assessors and the job
11 analysts were challenged, right? And issues
12 that came up with those challenges were for
13 example the employers' inability to identify
14 who were the assessors or who were the job
15 analysts, where can we find them, right? Who
16 are they? And I think that has to do with
17 turnover. So this is an issue that will have
18 to be kept in mind, the fact that these people
19 cannot be a revolving door kind of format,
20 because then you have lots of trouble. And
21 also, you know, oftentimes we say -- like
22 Andrew, for example, was saying, well, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looks like this proposal is kind of like an
2 ideal world, right? You know, in an ideal
3 world how would you do this.

4 And I would say that's what happens
5 when you ask psychologists, right? They give
6 you the psychological version. If you want
7 the cost- effective angle, then you should
8 probably ask an economist. But along those
9 lines I have also seen that often assessors
10 are questioned in terms of who are they? They
11 might be a psychologist, they might be
12 industrial/organizational psychologists, but
13 what do they know about this job, right? So
14 the fact that somebody has a given degree,
15 that doesn't mean that they are qualified who
16 is going to be the best police officer or the
17 best fire fighter.

18 Sometimes what counts in court is
19 how much contact did they have with
20 incumbents. How many times did they talk to
21 them? Did they actually talk to them, because
22 oftentimes they don't, right? These things

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are done. It's called arm chair job analysis,
2 right? You see it in your office and you do
3 it from there. So those are the things that
4 come up in court.

5 CHAIRPERSON BARROS-BAILEY: Any
6 other questions or comments?

7 (No audible response.)

8 CHAIRPERSON BARROS-BAILEY: Okay.
9 I have a question. I know we've talked a lot
10 this afternoon about that to move forward
11 there's a big contingency, but are there
12 things that with everything you've looked at,
13 all the people you've talked to that you think
14 SSA can be doing in this regard while those
15 other contingencies, the instrument, some of
16 the design decisions are being done, some
17 immediate things, and how would you prioritize
18 them?

19 DR. HARVEY: Yes, we have thought
20 about that and we kind of looked at those
21 strategic decisions that I went through at the
22 end of the presentation, kind of looking at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the order of those. And course right up there
2 was the instrument and the method as being one
3 of the most important things to work on first.

4 But that being said, I think there are other
5 things, and looking at the deployment strategy
6 would be the next probably step that I would
7 recommend. We could go ahead and start work
8 on looking at that compensation study.
9 Without detailed information that study might
10 be a broader endeavor, but I still think it
11 could be valuable to begin looking at
12 compensation, because that would key in about
13 costs as well and help identify different
14 costs for different types of candidates that
15 might be targeted.

16 I think from there looking at again
17 selection strategy would be one of the other
18 things that we could start thinking about and
19 really identifying and that then would dictate
20 the size of the candidate pool. That will
21 also help with the recruitment strategy and
22 identifying the right source of candidates

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there. So I think that there are some things
2 that we can start working on in the meantime.

3 CHAIRPERSON BARROS-BAILEY: Thank
4 you. Any other questions from the panel?
5 Thoughts or comments? Go ahead, Pam.

6 MEMBER FRUGOLI: I just have one
7 more comment. You know, you're talking about
8 the cost and the quality, is that there are
9 literally thousands of personnel
10 certifications out there and a very small
11 percentage of them are actually accredited,
12 usually in cases like health where there are
13 some safety issues, there are liabilities
14 implications. So I can see, you know, because
15 this information is used in court that
16 there's a recommendation for accreditation,
17 but it is actually fairly rare because of the
18 requirements.

19 CHAIRPERSON BARROS-BAILEY: Thank
20 you. Any other comments or questions?

21 (No audible response.)

22 CHAIRPERSON BARROS-BAILEY: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Thank you for your time. We appreciate it.
2 We learned a lot and thank you again.

3 We have next on the agenda JA
4 scaling question. I let this session go over
5 specifically because we don't have any public
6 comment this afternoon, so we have some time
7 to work with.

8 So I will ask the panel, do we want
9 to take a break now and come back and do
10 scaling and then go into deliberation, or do
11 you want to go ahead and go with JA scaling?

12 I'm getting nods that we should go
13 into a break, so let's take a 15-minute break
14 and come back at, what is that, 2:47. Thank
15 you.

16 (Whereupon, at 2:23 p.m. off the
17 record until 2:47 p.m.)

18 CHAIRPERSON BARROS-BAILEY: Okay.
19 We are back on the agenda. And for those
20 listening in, if you would like to follow
21 along, again if you go to ssa.gov/oidap, and
22 you go to meeting information, the first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 meeting listed on there is quarterly meeting
2 for September 21 and 22. The second bullet
3 you will find is our agenda. If you click on
4 that, you will see not only our agenda, but
5 hot links to the PowerPoints that are being
6 discussed today and tomorrow.

7 So a little bit of an introduction
8 to this session. At the last meeting Dave had
9 posed a question about JA scaling issues, and
10 what we wanted to do was again to maybe start
11 discussion on this topic. So we're going to
12 have Deb do a short presentation in terms of
13 some scaling issues from her perspective,
14 mostly from the physical demands and also in
15 our three-ring binders we have something that
16 Shanan put together called types of items that
17 look at scaling from a work analysis
18 perspective. And so these become kind of
19 stimuli to start talking about these issues as
20 they apply to the project.

21 So I will turn the presentation
22 over to Deb Lechner.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER LECHNER: Thanks, Mary.
2 Appreciate the introduction.

3 And I want to start my presentation
4 with a little bit of a disclaimer here by
5 saying that my discussion today is really
6 focused on some scaling possibilities and
7 should not at all be perceived as my
8 recommendations or the subcommittee's
9 recommendations or the panel's recommendations
10 on scaling. Just sort of sat down after our
11 request from the last meeting to kick off a
12 discussion and just put down some ideas. So
13 it's not based on a literature review of all
14 the possibilities or suggestions, just some
15 thoughts for us to think about. My comments
16 are going to be directed primarily to the
17 physical demands, and then I'm going to turn
18 it over to Juan. He may have a few comments
19 about the cognitive or behavioral demands.
20 And then Shanan may have some additional
21 comments as well. So just want to preface my
22 remarks.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And just also to say that any final
2 scaling recommendations or scaling procedures
3 would really be predicated upon a variety of
4 things, some of which would probably be
5 certainly the variables that Social Security
6 chooses for their taxonomy, the purposes for
7 which they will use the data, the data
8 collection methods that they choose, and
9 certainly SSA has plans to study the
10 reliability and validity of their methods.
11 And so that would certainly drive the ultimate
12 scales that will be used. We can all sit
13 around the table and speculate about the best
14 scales to use, but if we get out there, or if
15 SSA gets out there and pilot studies and sees
16 that our ideal scale that we thought would be
17 perfect isn't very reliable from writer to
18 writer, then we've got a problem. We've got
19 to back up. We've got to change directions.
20 And certainly cost effectiveness will affect
21 not only the methods of data collection that
22 we use, but it will affect the scaling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 properties that are used. You know, what is
2 going to be the most cost effective way to
3 collect and utilize this data?

4 In the whole area of physical
5 demands the type of scales tend to vary by the
6 kind of data that's being collected and the
7 different categories of data in the physical
8 demands area. There's a variety of ways to
9 conceptualize it, so this isn't the only way,
10 but we tend to think about manual materials
11 handling, which is the lifting, the carrying,
12 the pushing and pulling.

13 We also tend to think about the
14 position tolerance demands of work. So, you
15 know, what kinds of static positions do people
16 have to obtain or achieve and how long do they
17 have to sustain those positions or postures?
18 It's things like sitting, standing, stooping,
19 crouching, reaching and kneeling. And again,
20 those are the DOT terminologies. When the SSA
21 creates its taxonomy, it may not use those
22 same terms.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Mobility and movement and
2 repetitive motions tend to get sort of grouped
3 together as a category or a dimension of
4 physical demands. And it's things that allow
5 the worker to move from point A to point B,
6 like walking, crawling, climbing. And then
7 you'll notice; and I highlighted the terms,
8 the stooping, crouching, reaching again,
9 because those things can occur either
10 statically or they can occur on a repetitive
11 basis. And the physiological demands are
12 different depending on whether it's a
13 positional requirement or a repetitive
14 requirement.

15 And then hand function is typically
16 looked at in terms of not only the duration or
17 how long you can utilize your hands and
18 fingers, but it's also typically looked at in
19 terms of skill and force. So if you have to
20 do forceful gripping, for example, how much
21 force can you exert? Or if the job requires
22 forceful gripping, how much force is required.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And the balance, typically most
2 classification systems that look at physical
3 demands also have some component of balancing,
4 and balance can be operationalized a variety
5 of different ways; static, dynamic balance are
6 traditional ways that balance has been
7 evaluated.

8 And then most taxonomies of
9 physical demands look at some sort of
10 coordination; bilateral, eye-hand-foot
11 coordination, eye-hand coordination, eye-foot
12 coordination are all possible combinations
13 that have been reported.

14 And so the scaling varies
15 significantly according to the type of
16 physical demand that is being evaluated. And
17 so for the manual materials handling
18 component, we're typically looking at the
19 weight that's handled or the forces that are
20 exerted. Some classification systems, job
21 analysis systems look at the size and shape of
22 the object that's handled, whether or not the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 object has -- what kind of hand coupling is
2 required. So does the object have handles?
3 Does it not have handles, because that does
4 affect the level of difficulty of the
5 materials handling. And then is it a
6 one-handed or a two-handed activity? And
7 that's one of the things that in the testimony
8 that we heard from SSA very early on in the
9 process was this issue of is there a job or
10 are there job activities that can be done that
11 can be done one handed? And I guess that's a
12 question that comes up fairly frequently for
13 this organization.

14 And the oftentimes documenting the
15 vertical height of the material that's moved,
16 so how far up or how far low down do you have
17 to lift it? And then if it's a horizontal
18 movement, what distance, over what distance is
19 the material moved? And so all of those
20 things are possible scales and again not
21 advocating that all of these be measured, or
22 any of these be measured, but just saying this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is sort of the possibilities that are out
2 there.

3 And then the other challenging
4 piece with manual materials handling is that
5 it can occur in a variety of positions. So
6 the job can require lifting and lowering a
7 load and it can happen while the person's
8 standing, it can happen while the person's
9 kneeling or squatting or stooping or while
10 they're in a rotated position. And so then
11 the analysis can become very complex if all of
12 those factors are included. And I think one
13 of the challenges for Social Security is
14 trying to decide again, as we've had many
15 discussions among panel members, how complex
16 does this need to be in order to fairly
17 adjudicate these cases in order to determine
18 whether claimants can perform the physical
19 demands of work, either previous work or any
20 work in the economy.

21 So and forces can be exerted while
22 someone is moving or walking as well. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pushing can occur, pulling can occur while
2 someone's walking or it can occur while a
3 person is standing still. And all of these
4 things can typically occur one handed or two
5 handed. So those are just some of the
6 complexities that drive the scaling.

7 For the non-materials handling
8 demands, physical demands of work there are
9 typically some comments about the duration of
10 the activity, and the duration can be
11 described as cumulative throughout the entire
12 work schedule, or it can be described in terms
13 of continuous duration. And I think at least
14 in the field of rehabilitation and disability
15 a lot of the discussions and battles tend to
16 occur around how much continuous, how long
17 continuously can this person sustain work or
18 this particular activity. So I think we'll
19 have to give some thought or SSA will have to
20 give some thought about a measure of total
21 duration and a measure of continuous duration.

22 And then beyond duration there's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the intensity. So if I have to squat for this
2 job, do I have to go to a full squat? Can it
3 be a modified squat? And there are all kinds
4 of scales of intensity ranging from very
5 specific degrees of range of motion to general
6 ordinal classifications like minimal,
7 moderate, severe. So there are decisions to
8 be made regarding scaling in that regard as
9 well.

10 And this whole idea of the
11 non-materials handling demands, is it a
12 balanced activity? Is it symmetrical or is it
13 asymmetrical, because being asymmetrical puts
14 a different demand on the body and
15 requirements on the individual.

16 With mobility the mobility or the
17 ambulatory tasks, physical demands you have
18 the issue of distance. How far do they have
19 to move from point A to point B to get the job
20 done or the occupation performed? How many
21 repetitions do they have to do within a given
22 amount of time? How fast does this activity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have to occur? What's the duration? And
2 there's been some discussion among the group
3 about percent of day versus absolute hours
4 and, you know, I think as long as the percent
5 of day is tied to some sort of absolute value
6 so that it's a percent of how long the day is
7 or is it a percent of the job, I think you
8 have to be pretty specific about that in order
9 to be able to combine the data from across
10 jobs into a single occupational
11 classification.

12 The repetitive movements get into
13 scaling that can include repetitions,
14 frequency, cycle time, percent of day,
15 continuous duration, intensity and then again
16 the balance in symmetry. So you can see some
17 of the same scaling properties for both the
18 repetitive movements and the position and
19 tolerance movements.

20 The balance can be, as I've already
21 mentioned, the dynamic versus static, the
22 duration or percent of job that balancing is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 required. And many of the balance scales get
2 into how sophisticated does your balance have
3 to be or how skilled does your balance have to
4 be? Is it even surface, uneven surfaces? Is
5 it climbing ladders, stairs, poles? Is it for
6 beam and scaffolding work? So all of those
7 are different types of balance and require
8 different levels of balance. And then surface
9 conditions, if you're climbing a ladder and
10 that ladder is wet versus dry, if there's ice
11 on it, ice or snow on it, if there's oil on
12 it, that changes the level of balance that's
13 required. So those can all be work conditions
14 that would affect the level of balance
15 required.

16 The scales for hand function again
17 include the duration, the repetition, the
18 cycle time, the grip strength required. Is it
19 whole hand versus individual finger motion?
20 Is it one handed versus two handed? And what
21 level of dexterity, coordination or speed is
22 required?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And then again going back to the
2 fact that you can have combined postures
3 versus individual joints further complicates
4 the issue. And frequently SSA has raised the
5 issue about ability to change postures and
6 positions during work. If this person for
7 example has to sit for driving long distances,
8 what's the possibility for pausing and
9 altering that position? If they're working at
10 a computer work station, is there opportunity
11 for rest breaks and how much flexibility is
12 there within the work schedule for that?

13 And then one of the challenges with
14 making these determinations or utilizing these
15 skills accurately is when the job analyst goes
16 on site to make these observations they're
17 observing things that happen simultaneously.
18 So you've got a whole body position typically
19 while you've got things happening with the
20 arms, and there's a variety of things can be
21 happening with each arm movement. A variety
22 of hand motions can occur.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And so one of the things that I've
2 had some experience with in training job
3 analysts is teaching them not only what scales
4 to look at, but how to assess things that are
5 occurring simultaneously and giving them a
6 structured process for doing that, that
7 simultaneous assessment piece. So I think
8 that's an important piece to recognize as we
9 think about any kind of observation
10 methodologies and the scales that will be
11 used.

12 And then one of the things that
13 becomes important to employers is correlating
14 what I've been talking about are these
15 physical demands, correlating them to the
16 tasks. And this gets a little bit away from
17 the scaling piece, but I think it's important
18 to think about particularly as we heard folks
19 this morning discussing the fact that, you
20 know, what kind of incentive does an employer
21 have for allowing SSA to come in and do an
22 in-depth job analysis that will certainly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 distract their employees from their main work
2 activities and create some inefficiencies in
3 their system.

4 And I think possibly the answer to
5 that question could lie in the fact that if
6 the employer gets a very useful functional job
7 description as a result of participating in
8 SSA's research then that might be an incentive
9 piece that could drive employer participation.

10 And one of the things that employers want to
11 know are not only what are the physical
12 demands and are they scaled in ways that are
13 meaningful to me as an employer. So if the
14 person has to lift 60 pounds from the floor up
15 to 50 inches, where does that occur, what task
16 is that, what part of the job is that
17 correlated to? You know, if we're talking
18 about let's say a housekeeping position, is
19 that correlated with wet mopping the floor or
20 does that occur when they have to clean the
21 patient rooms after discharge? And so those
22 are the kinds of things that become maybe not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so important to Social Security
2 Administration, but just thinking globally
3 about how employers will perceive this project
4 and is this useful information for them, it
5 might be worth considering?

6 And then, you know, just the whole
7 idea of how much detail we provide, and we've
8 had numerous discussions about that as a
9 panel. It really boils down to, you know,
10 balancing that level of detail versus the
11 feasibility of data collection and what does
12 SSA really need in order to make its decision
13 process? And I think SSA is doing some very
14 important work currently that helps identify
15 what it is they need in order to make good
16 decisions. So I think that will be something
17 that drives the decision making process and
18 that will help SSA make these determinations.

19 Just to give you some examples of
20 what's in; and most of us in this room are
21 very familiar with, the current DOT manual
22 materials handling, it's classified according

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to a range of weights lifted in a given
2 category ranging from sedentary to very heavy
3 and how often or what part of the day the
4 person is handling that weight. And then the
5 non-materials handling demands are typically
6 focused on what percent of the day that
7 activity is performed.

8 So again, this is not saying that
9 we recommend that SSA continue to use this
10 scaling. It's just here's what we're doing,
11 here's what's being done currently. In the
12 dexterity and coordination area there's a
13 rating system, an ordinal rating system that's
14 based on analysts' expectation of what the
15 population could do. Strength is currently
16 not addressed in the DOT, and keyboarding is
17 not addressed. So those have been two big
18 areas that I think we've heard from SSA in the
19 past that were important for assessing hand
20 function given our current work environments.

21 And then the handout that Shanana
22 had prepared, I'll let her speak to this in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 minute, because I think she's got some insight
2 into the different types of items. And again,
3 the whole idea is the type of item and how
4 it's defined is going to drive the scaling to
5 a great extent. And so that one of the things
6 that we all agree on universally is that we
7 need to classify and scale the variables that
8 we are measuring or that SSA is measuring in
9 job analysis has to be cross-job relative. In
10 other words, you know, you have to be able to
11 combine job A and job B and job C to get a
12 profile of the occupation as a whole. So it's
13 very important to create scales and define the
14 scales in ways that data can be combined.

15 So I can turn it over now either to
16 Shanan and let you go ahead since the slide is
17 up and make some comments, and then we can
18 move it onto Juan, if you'd like.

19 MEMBER GIBSON: My comments will be
20 very brief. When I put this together I was
21 really just trying to think back to what I had
22 told David I would speak to when he asked his

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question about the scaling issues that we were
2 likely to encounter as we moved forward to the
3 last minute. And this actually hearkens back
4 for people on the panel to put -- a lot of
5 this was covered in our educational session
6 yesterday, so I'd actually put this together
7 before that.

8 But I just want to point out
9 something that's interesting to me because
10 we've had this discussion before. Flipping
11 back through Deb's slides to the current DOT
12 MMH scalings; slide No 13, Deb, one of the
13 things we've talked a lot about or what we
14 talked about in our within-panel training
15 yesterday was that the nature of scales is
16 either being cross-job relative and absolute
17 or within-job relative and only applicable to
18 said job. And sometimes people ask about,
19 well, why -- we don't get it much, but why not
20 the DOT, or what are some of the issues with
21 the DOT and the scales there, or the scales in
22 any existing, pre-existing work analysis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 instrument?

2 And I think this is a very good
3 example. When you look at their scaling here
4 what they've done is they've taken and created
5 a compound scale that includes absolute items
6 in terms of weight and crossed it with a
7 within- job relative scale of frequency
8 thereby basically making the scale within-job
9 relative only. Because although we are
10 interested in the weight and the weights are
11 absolute, when you ask someone what percentage
12 of their time is spent, they've changed the
13 nature of the scale and how it can be used
14 completely through creating this complex
15 measure, or this compound measure. And you
16 see this in a lot of places.

17 So it's very important that as we
18 move forward one of the things we think about
19 is consistently -- you know, we're very
20 comfortable with the occasional, frequent,
21 constant because that's what people have been
22 using, but when it's done this way instead of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in terms of absolute minutes or hours, we
2 compromise the degree to which this allows us
3 to make cross-job comparisons, and I think
4 that's problematic. The duration scaling is
5 inherently -- I was sitting here trying to
6 decide the next slide, on the duration is that
7 a relative or is that a within -- or is it
8 cross-job relative? And I thought, well,
9 depends on what your day is.

10
11 Is two-thirds to the full day
12 meaning six to eight hours of a day? And if
13 it's six to eight hours, then that's absolute.

14 But again you have to make certain when
15 you're utilizing an instrument that whoever is
16 administering the instrument or completing the
17 instrument very much understands the
18 definition of the terminology, which kind of
19 goes back to one of the reasons many of us
20 have conceived for a very long time that we'll
21 probably have to use analysts for whatever
22 instrument is developed because incumbents may

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 literally interpret this as two-thirds of
2 their day and their day may only be a
3 four-hour working day, or they may have two
4 part-time jobs. And so the training and the
5 definitional issues in scaling are going to be
6 very important as well.

7 So those two slides just to me
8 helped illustrate some of the things we have
9 to be thinking about or SSA has to be thinking
10 about and we think about as we make
11 recommendations and give them advice.

12 The two slides that Deb inserted
13 for mine were really just designed to help us
14 once again think about by providing examples.

15 I broke it down into first types of items and
16 then types of scales.

17 So this first one just refers to
18 types of items and just to make certain we all
19 understood the language. You know, the cross-
20 job relative items were those that can apply
21 to any job as opposed to within job relative
22 or job specific, company specific. I use the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 word "task" there, but as I've said before,
2 task can be company specific or they can be
3 broader. So when I give the definition of
4 cross-job relative, I said general work
5 activities designed to apply to many jobs.

6 If you have the other handout, my
7 longer handout, which was the ugly one; I
8 don't know why they actually handed it around,
9 but you'll see that what I actually put was
10 work activities or task inventory items.
11 Because when people create task inventory-type
12 work analysis instruments they are inherently
13 writing items that are designed to be cross-
14 job relative. So there are organizationally
15 specific tasks and then there are broader
16 tasks. Again, that level of abstraction
17 matters. And I know all of those words
18 wouldn't fit on this slide, so that's why
19 they're not there.

20 And then I've just given some
21 examples. Another type of example there
22 within the types of items are context items.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And that column didn't fit either, so if you
2 have the other handout, the two pages stapled
3 together that are plain white paper, context
4 items; exposure to heat, cold in or outdoors,
5 those are types of things which are also
6 cross-job relative items, which makes sense
7 for what we know about disability adjudication
8 at this time.

9 The next slide talks about scales.

10 And once again, I was just trying to
11 reinforce and give people examples, because we
12 sometimes struggle with this. So cross-job
13 relative being those absolute frequencies, the
14 weights, the types of things that Deb talked
15 about earlier versus the within which only
16 pertain to the person who's completing this
17 job or the job of question. And there is
18 another type of scale that we haven't talked
19 about and we probably won't, but you know,
20 there are also qualitative scales out there
21 which produce no numerical ratings. There's
22 no scale. There's no one to five. It's just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a job description or a list of job components,
2 a simple list of tasks. So this was just
3 designed to give us as a panel and external
4 people who are listening in and want to see an
5 understanding of the language we're using when
6 we talk about scaling issues.

7 MEMBER LECHNER: Thanks, Shanan.
8 And I want to just add a caveat to the current
9 process that's used out in the field in terms
10 of the percent of the utilization of the
11 percent of the day or the portion of the day
12 is that as -- using this out in the field we
13 do quantify what the full day is, so then it
14 then is translatable to an absolute time. And
15 the challenge with some of the scaling that's
16 used in the current DOT that I think all of us
17 have faced is that while it provided some
18 degree of operational definitions of some
19 pieces, it also left out operational
20 definitions of some pieces so that within the
21 written documentation there were not absolute
22 times that were tied to what is a full work

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 day?

2 I communicated to some of the field
3 job analysts and generically I was told that
4 they assumed that the work day was an
5 eight-hour day when they made these ratings.
6 But this whole issue really speaks to the fact
7 that however SSA decides to do it that the
8 operational definitions of all the terms be
9 very thorough and as quantitative as possible
10 and still allow that cross-job comparison. So
11 I think that just sort of speaks to the part
12 of the challenges and implementing any system
13 of job analysis across a variety of analysts
14 and across a variety of occupations is that
15 everybody has to speak the same language and
16 use the same terminology in order to get
17 meaningful consistent data.

18 Juan, you want to speak a little
19 bit to the cognitive issues and the behavioral
20 issues?

21 MEMBER SANCHEZ: I feel that my
22 role was exhausted yesterday when we had our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion basic building blocks of job
2 analysis. And yesterday I was left with a
3 feeling that the panel wanted time to discuss
4 many of these issues, so I think it will be
5 better -- probably time will be better
6 employed if we just open up the floor.

7 MEMBER LECHNER: Okay. All right.

8 So, Mary, as long as you agree we can open up
9 the floor for some discission.

10 CHAIRPERSON BARROS-BAILEY: Sure,
11 let's go ahead and open it up. And I saw Dave
12 pull up his chair, so I think that marks a
13 question coming.

14 Go ahead, Dave.

15 MEMBER SCHRETLEN: And you would be
16 right. I actually have a few questions, Deb,
17 and some of them are not entirely -- they're
18 not well formed, so please bear with me as I
19 might be sort of struggling to put them into
20 words.

21 But if you look at the preceding
22 slide, the manual materials handling demands,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you can see that these -- whether they're
2 relative, as Shanan was pointing out, or you
3 could turn those frequency of course into
4 absolute, you know, by number of hours, or as
5 you pointed out, a percentage divided by the
6 denominator of the number of hours spent;
7 we'll give you that, but I guess in some ways
8 I guess one question is how did they get this?

9 How did they get these numbers? And did they
10 look at the world of work and look at how
11 heavy items were distributed across jobs? Was
12 it arbitrary? Do you know if anyone has ever
13 looked at the sort of world of work and looked
14 at what proportion of jobs in the national
15 economy?

16 If you were to turn that into a
17 little matrix and just say what percentage of
18 jobs fill each of those cells; the one, two,
19 three, four, five down and three across, you
20 know what I'm saying? If you will, are we
21 carving nature at the joints, or are there
22 empty cells, or essentially empty cells here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and does it make sense from a scaling
2 perspective to use this sort of a priori sense
3 of, well, more than 100 pounds, more than 50
4 pounds, more than 20 pounds, or does it make
5 more sense if we're going to develop an OIS to
6 have those cut points be defined somehow
7 empirically by what's out there in the world
8 of work, or matching more closely what
9 people's abilities are? In other words, maybe
10 you have to know whether someone can lift 50
11 pounds or more, period. And beyond that it
12 doesn't really matter whether they can lift
13 150 pounds or 75 pounds or 51 pounds. They
14 can do it or they can't, almost.

15 So what I'm trying to get at is
16 sort of it's a peculiar question, but I'm
17 wondering about what is the most sensible way
18 to partition the range of physical demands of
19 jobs?

20 MEMBER LECHNER: I think the good
21 news and the bad news is that, yes, there are
22 some jobs in each of those categories, and I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know that there have been studies done about
2 what percent of the current occupations or the
3 occupations that are defined in the DOT, what
4 percent of them occur at every level. And I
5 don't know if Jeff can share that information.

6 He's got that information on a little card.
7 And if I remember correctly, Jeff, most of the
8 jobs fall in the light to medium level.

9 Yes, about 60 percent of them fall
10 in either the light or medium level. And to
11 answer your question about how those
12 categories were developed, I absolutely think
13 it was, you know, an arbitrary let's set these
14 are categories.

15 And your point about like when you
16 get down to an n of one and can that person
17 return to a specific job, then it doesn't
18 matter whether, you know, what category you
19 fall in so much as the job requires 53 pounds
20 and you can only do 48. But when you're
21 trying to develop an occupational
22 classification system, then if you don't group

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 things a bit, it's hard to combine, you know,
2 multiple jobs into one occupational
3 description.

4 So if you have the same job that
5 you're trying to say -- let's say there's 10
6 jobs that you've analyzed and they're in one
7 occupation. If you have to create a separate
8 category for every single pound, then it
9 becomes a little bit more challenging,
10 particularly when the n of one gets compared
11 to can you return to this occupation, or can
12 you return to any occupation? Then you're
13 trying to match that one person up to a bunch
14 of jobs.

15 So, you know, I think there
16 probably needs to be some sort of
17 classification system of ranges, and we've had
18 often had people in the field complain, well,
19 you know, medium category from 21 to 50
20 pounds, that's huge. The category's too
21 broad. We need more narrow categories. And
22 then there probably could be some arguments on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the other side of the fence. We need fewer
2 categories. But I do think it probably
3 becomes important to have some kind of
4 categories based on the need to be cross- job
5 and combining information from jobs into one
6 occupational description. That's kind of my
7 gut feeling.

8 MEMBER WAKSHUL: I believe that
9 these numbers and these categories are in the
10 regulations. So if SSA wants to do something
11 different in the OIS, they're going to have to
12 modify the regulation to match, and SSA got
13 them out of the DOT back when they published
14 these in the late 1970s.

15 MEMBER LECHNER: And, you know,
16 that's a piece that I think would be really
17 helpful for the panel as we think about
18 appropriate scaling and as we make
19 recommendations. I'm aware of some of the
20 things that are in the rules and regulations,
21 but I am not personally aware of every rule
22 and regulation within SSA that is then tied to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the current DOT. And I think that it would be
2 perhaps helpful at some point if we as a panel
3 could hear a presentation from someone within
4 SSA to say these are the things that are
5 linked and how easy or difficult is it to
6 change, because I think that would help to
7 inform us and to guide us as we look at
8 scaling.

9 MEMBER HARDY: I believe we did a
10 couple meetings back have a presentation; I
11 think it was Debra or someone, about what
12 pieces in the regs came from the DOT.

13 Am I correct, Mary? I think that
14 happened awhile ago though. Or was it Sylvia?

15 CHAIRPERSON BARROS-BAILEY: I think
16 if we had that, it was probably at the
17 inaugural meeting where they talk about --
18 we'd have to go back and look at those
19 PowerPoints on the agenda for the inaugural
20 meeting when a lot of that was discussed.

21 Shanana?

22 MEMBER GIBSON: All right. So I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to ask a question based off of my
2 ignorance and going along with what Deb just
3 was talking about and what you said, Andrew.

4 Does the regulation actually have
5 these numbers or does the regulation simply
6 refer to things as sedentary, light, medium
7 and the like? Because if the numbers are
8 there, that's a little -- actually the weights
9 are being -- there's not a problem at all.
10 Those numbers aren't problematic. But if it's
11 the occasional, frequent and constant and it's
12 within scale, if that is there, that's more of
13 a problem. But if they use sedentary, light
14 and medium, the definition of those changing
15 isn't a problem because we're not adjusting
16 the words.

17 And if it is the case that it
18 actually requires us to refer to both those
19 scales, I know we can't change policy and I
20 understand that, but I can't imagine that any
21 organization would want to rely on existing
22 definitions which are inherently flawed if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they have the opportunity to update those
2 definitions with more absolute data that has
3 better psychometric properties at some point.

4 MEMBER WAKSHUL: That's a sales
5 question rather than a legal question. But
6 you're right. I think those numbers are in
7 there.

8 MEMBER KEY: I think at least on
9 the RFC; and Andy and Tom can correct me,
10 they're on the first page.

11 MEMBER WAKSHUL: I think in
12 definitions, actually. I think they proceed
13 the grid charts. Been a few years, but yes.

14 MEMBER HARDY: It's in the grids
15 for sure. The definitions of sedentary,
16 light, medium and heavy are in the grids. I
17 know that. And I'm digging through regs; but
18 it'll take me a little bit, but I believe
19 they're in the regs, too. But again, we're
20 supposed to be creating something neutral of
21 policy and creating the best thing and then
22 our product may at some point be integrated in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the policy. But that's not one of our
2 concerns at this time. We're to create the
3 best measurement. Policy is not our issue.

4 CHAIRPERSON BARROS-BAILEY: That's
5 something that would be flexible enough to
6 change over time but meet current standards.

7 Juan?

8 MEMBER SANCHEZ: Yes, I guess along
9 the lines of David's comment about the
10 empirical soundness of these anchors, these
11 scales. I'm pretty sure they were arbitrarily
12 set because 100 pounds is a very round number.

13 You know, had they done this in Europe, they
14 would have said, I don't know, 50 kilos. So
15 the lack of decimal points leads me to think
16 that this is an arbitrary anchor.

17 But what I was thinking is that,
18 you know, I keep referring to let's take a
19 look at the claims, let's take a look at what
20 happens on the people side, because I think
21 when we look at claims we might see that for
22 some of the second, the medium interval from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 21 to 50 pounds, that seems to me a much wider
2 range from a qualitative point of view than
3 for example the light range that is only from
4 11 to 20 pounds. Because, you know, there may
5 be people who could lift 25 pounds but not 45,
6 and it's a very significant difference. So I
7 wonder if an examination of the claims filed
8 from accounting point of view might give you
9 an idea of where do these cut offs need to be?

10 And something perhaps more
11 important, if we mess with this, right, what
12 would be the economic consequences? If we
13 redefine medium as 25 to 35, what's the dollar
14 and cents cost, because I think they might be
15 one.

16 MEMBER LECHNER: I think you raise
17 some really good points, Juan. I think one of
18 the challenges with looking at the existing
19 SSA data and claims and trying to get at the
20 answer that you're asking for is that the
21 claimants have abilities and their RFCs have
22 been classified according to these categories.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And so typically SSA is not testing these
2 claimants to see what they can do. They're
3 having a physician fill out a form that says I
4 believe their abilities fall into this
5 category. Check. So I'm not sure we'll have
6 the answer to the questions you're looking for
7 by looking at SSA data.

8 The other comment that I'd like to
9 make; I know that a variety of our discussions
10 over the past couple of days have alluded to
11 the fact that we're developing something
12 specific for SSA claimants, but the reality is
13 that the current DOT classification system is
14 widely used by not only Social Security
15 Disability claimants, but private disability
16 claimants and the work comp system. So if
17 something is developed that's not useable for
18 those fields, it could ultimately affect the
19 number of claimants that land at the door of
20 SSA.

21 And so I think, you know, when we
22 look at the societal value of the taxpayers'

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dollar, yes, we want to make sure that the
2 system is applicable to SSA, but we also need
3 to be keenly aware of how this could be used
4 in the broader economy by the employers that
5 are a very big player in collecting this data,
6 by the other insurance carrier systems that
7 will be using this data. If they cannot use
8 this data or use this classification system,
9 the implications will be huge.

10 CHAIRPERSON BARROS-BAILEY: I
11 think, Shanan, did you have a question?

12 And Dave looked like he had a
13 question. Okay.

14 MEMBER SCHRETLEN: Can we go down
15 one more slide then to the duration scaling,
16 because I'm virtually certain I was not clear
17 with my question now.

18 If you look at this, what it
19 partitions the duration into is jobs that
20 don't require it at all and then the rest of
21 the universe is up to one-third, one-third to
22 two-third, and greater than two-thirds. It's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just an even split of the rest. And while
2 that may be a good representation of the
3 duration of activities in the world of work,
4 it's not clear to me how that maps on to
5 people. And we've often talked about the
6 importance of bridging residual functional
7 capacity with the demands of work and I wonder
8 if at the outset, at the front end of
9 developing an OIS Social Security should be
10 thinking about whether things like this
11 scaling should be tied more closely to the way
12 people's abilities or residual abilities are
13 distributed in the universe of people, of
14 potential workers.

15 So in other words, maybe
16 constantly, which is two-thirds of a day to a
17 full day, combines work that has very
18 different significance in terms of the ability
19 of people to perform it. If a job requires
20 you to do something two-thirds of the day or
21 six hours out of the day, many people could do
22 it, but if it requires it eight hours of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 day, far fewer people could do it. Do you see
2 what I'm saying?

3 In other words, maybe even a little
4 bit of relief would help some patients. You
5 used an example of if someone is sitting, it's
6 a sitting job, but they need to occasionally
7 stand up and walk around. Does the job permit
8 that? And if it does, that might be a hugely
9 significant difference. So the difference
10 between a job that requires one to sit eight
11 hours out of an eight-hour shift or seven
12 hours-and-a-half out of an eight-hour shift
13 might be very significantly different. Do you
14 see what I'm getting at?

15 In other words, that is
16 partitioning duration into parts of a day, but
17 I'm wondering if it would make more sense to
18 partition it in some other way that ties more
19 closely to the way tolerances or capacities
20 are distributed in the population of healthy
21 people, and more importantly in the population
22 of people with impairments so that maybe the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whole thing could be captured between not
2 required, rarely required, required anywhere
3 from an hour to seven hours a day, and then
4 required more than seven hours. You see what
5 I'm getting at? And I'm just raising it as a
6 question, because this is again not my area,
7 but I'm wondering if it makes sense to think
8 about some of the job demand characteristics
9 in the context of what we know about people
10 who work in those jobs or are applying for
11 benefits because they say they can't work in
12 those jobs.

13 CHAIRPERSON BARROS-BAILEY: We have
14 Bob and then Shanan and then Deb.

15 MEMBER FRASER: This one has always
16 kind of stymied me because, you know, for one
17 thing all the other scales are pretty much
18 five- point anchor scales and for some reason
19 this is three.

20 And the second one is, you know,
21 looking at so many jobs. For example,
22 security system installation, okay? The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 amount of time actually setting up the
2 computer, you know, the final thing you do,
3 is, you know, maybe five percent of the week.

4 Rest of it you're pulling wires, you know?
5 Or an attorney who actually goes to court, you
6 know? May go to court every eight weeks, you
7 know, and yet in terms of criticality that
8 court presentation is used.

9 So I think the whole context has to
10 be reframed as to, you know, a week or a month
11 or something like that. And then it has to be
12 more discreet than that because, you know, up
13 to a third is 13 hours a week and, you know,
14 and is such a big range there. It makes no
15 sense.

16 MEMBER GIBSON: I was going to say
17 that when I was putting together the
18 activities we did in the past where we put
19 together the sample job analytic activities
20 and when I've done it for other things, I
21 defaulted to an hourly scale, a more discreet
22 scale, because duration to me, I thought of it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in terms of hours.

2 And you talk about things being
3 arbitrary, Juan? The fact that they took 8
4 hours and divided by 3, or 40 hours and
5 divided it by 3, you know that's arbitrary
6 because it's not an even number and we don't
7 do multiplication that way; we just don't, and
8 division. So that throws me off to begin
9 with. So if they say six hours or six to
10 eight for a third, well that's really not a
11 third, you know?

12 One of the things that concerns me
13 though in terms of what I'm understanding
14 David to say is that it would require us --
15 and at least how I perceive what you're saying
16 is that for any given activity, to look at
17 what is the typical capabilities or
18 inabilities of individuals, which means we
19 could end up with a whole lot of different
20 scales. Whereas, if we were to at least go to
21 a more discreet -- even if it's just hourly,
22 at least whoever is administering and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 completing an instrument would have the
2 continuity of knowing what the categories are
3 so that for each item -- I mean, the reason
4 people who've been doing this for years like
5 constantly, frequently and occasionally is
6 that's what they know. That's what they've
7 always done. So within an instrument I think
8 the scales need to be as consistent as
9 possible. So whether do that by becoming more
10 discreet or not, you know, that's to be
11 discussed, but I don't think -- for me it
12 seems viable to look at each activity and
13 figure out what makes sense for it and create
14 a scale specific to that, because it would
15 create confusion in the process, if I
16 understand what you're recommending correctly.

17 MR. LECHNER: And I heard what
18 David's saying just a little differently in
19 terms of what -- I thought you were asking
20 what occurs most frequently in jobs rather
21 than what people can do. Is that --

22 MEMBER SCHRETLEN: I was actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thinking about both, because in some ways the
2 scaling that we're seeing here, the DOT
3 scaling, seems arbitrary, and it seems
4 arbitrary both in terms of the distribution of
5 demands in the world of work. But it also
6 doesn't seem to correspond in any obvious way
7 to the distribution of abilities among people
8 who do those job.

9 MEMBER LECHNER: And I want to
10 clarify that at least based on my own
11 experience in listening to feedback from the
12 professionals that I teach nobody likes the
13 scales. So let's just get that out on the
14 table. No offense to the folks that did the
15 DOT, but you know, I think the complaints that
16 I hear, the two biggest complaints I hear
17 about the scale is that it's way too broad.
18 You know, there's so much difference between a
19 third of the day and two-thirds of the day, or
20 you know, literally occasional is anywhere
21 from one percent; does it occur at all, up to
22 a third of a day. They're huge. You know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some people can do things rarely, but they
2 can't do it up to the full occasional. So
3 you're forced to classify people in either
4 occasional or never. And so I hear that
5 complaint.

6 The other thing that I alluded to
7 in my presentation was this idea of maximum
8 continuous duration, because this scale can be
9 interpreted -- and I've had several
10 discussions with folks when they had the field
11 -- I'm dating myself, but when the field job
12 analysts were still around, I had several
13 discussions with them about does that mean
14 continuous? And they were like it's defined
15 the way it's defined. We can't further define
16 it any better. And so literally it can mean
17 either up to a third of a day continuously or
18 it can mean up to a third of the day
19 intermittently, all added up together. So
20 there's that ambiguity that's related to that
21 scale that's created a lot of problems for job
22 analysts and interpreting claimant abilities

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 versus job requirements.

2 I think it was very much arbitrary
3 that they set those parameters. And I've
4 forgotten now what the rest of your question
5 was, but I do think there are significant
6 problems with having this set up this way that
7 need to be addressed by any scaling system
8 that SSA puts out there. Certainly duration
9 is an issue. But the other issue becomes this
10 whole thing that you alluded to with the sit
11 versus stand and the cycle time and how much
12 of a rest break, and is there enough rest
13 break for recovery, because physiologically
14 that's what creates the fatigue. And, you
15 know, you have to be careful again not to get
16 too detailed, too technical, but all of those
17 pieces are important.

18 And, you know, you asked the
19 question did they look at how things are
20 distributed? If you go out and do job
21 analysis and you strictly apply these
22 definitions, most everything of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 non-material handling, and even the materials
2 handling -- most of it is all going to fall in
3 that occasional category. The only things
4 that typically fall into frequent or constant
5 are sitting, standing and walking. If you
6 literally apply these definitions to the world
7 of work and you get out there and you do job
8 analysis and you're very objective about the
9 time actually spent in the various positions
10 like stooping, kneeling, squatting, crouching,
11 the things that are the extreme postures never
12 occur constantly because the human body can't
13 do that constantly.

14 MEMBER SCHRETLEN: Okay. So that's
15 perfect, you know, because first of all, in
16 the manual materials handling there is
17 precedent if you look at the weights of
18 unequal categories, right? So there's like 1
19 to 10 pounds. That includes a nine-pound
20 range. Eleven to twenty is nine pounds.
21 Twenty-one to fifty is obviously much more,
22 and so on. So they're not equally

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 distributed. And then, you know, the next
2 slide of course time is sort of broken up into
3 these equal one-third -- the duration is
4 broken up into equal units. But what you just
5 said is very interesting. I wonder if it
6 would make more sense to consider altering
7 that scaling so that there's never and then,
8 you know, less than one hour per day of
9 something and then two to seven-and-a-half
10 hours, and then constant. Do you see what I'm
11 saying?

12 I'm not suggesting those as cut
13 points, but I'm trying to make the point that
14 it might be a more natural way of looking at
15 -- the way abilities are distributed is either
16 you can -- there's gradations of not being
17 able to do it at all, and then in the middle
18 there's a whole wide zone where if you can
19 basically do that, you can do it for most of
20 the day, but you might not be able to do it
21 for the entire day, something like that. I'm
22 just wondering if a modern OIS might think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about the distribution of these things
2 slightly differently.

3 MEMBER LECHNER: And when you get
4 down into the decisions that SSA makes, you
5 know, at step five at least it's driven by
6 this ability to do sedentary-level work, which
7 means that you're not kneeling and squatting
8 and, you know, the big issues becomes sit,
9 stand and walk. So that's another piece that
10 I think kind of has to be taken into account
11 with this whole situation.

12 MEMBER SCHRETLEN: So maybe what's
13 needed is a much further specification of what
14 we now lump all together under sedentary.
15 Maybe, you know, something like that, sort of
16 a finer grained discrimination of jobs at
17 various ends of the distribution and a much
18 bulkier or coarser lumping of job demands in
19 the middle or something.

20 MEMBER CRESWELL: Well, you know,
21 there are entire books written on scale
22 development and principles such as the scale

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 needs to be comprehensive, the scale points
2 need to be mutually exclusive, the scale needs
3 to be consistent pretty much, and most
4 importantly on this double-barreled one that
5 you were pointing out, you know, they need to
6 be unambiguous because you have more than one
7 folded in.

8 You know, the task before us is to
9 work from a taxonomy and constructs and
10 develop an instrument that has items and
11 scales, so let's go back to that for a second.

12 In your presentation there are two parts for
13 me. One is for this whole area of physical
14 demands what are the items or the scales --
15 no, the items that go into the constructs, and
16 then what scaling would be appropriate? And
17 you really have both of those dimensions
18 running in this PowerPoint slide presentation.

19 So let's take the first one:
20 Constructs. You've shown how complex this is
21 with a lot of different components. Do you
22 have a recommendation as to what level of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 detail to go into in terms of the construct on
2 physical demands that might appear in the
3 taxonomy?

4 MEMBER LECHNER: Putting me on the
5 spot, John. I think, you know, to answer that
6 question varies by the category. You know,
7 what would I recommend, for example, in the
8 materials handling? I definitely think you've
9 got to have some component of, you know,
10 absolute measure of force, but I don't feel
11 prepared to make a recommendation about where
12 I think those ranges should fall. You know,
13 and sometimes I ask myself the question does
14 it really matter where we set the ranges as
15 much as it -- you know, when you're compiling
16 data from a variety of jobs, we've got to have
17 a range that's broad enough to allow
18 combinations, but not so broad that it's not
19 meaningful. And where that magical cut point
20 is, I'm not really sure.

21 CHAIRPERSON BARROS-BAILEY: I think
22 we have two people. Tom and Abigail. Did you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- oh, okay. Oh. Oh, sorry. John and then
2 Tom and then Abigail.

3 MEMBER CRESWELL: So we need to
4 take a stand and try it out and see how it
5 works in pilot.

6 I do have one little thing, Shanan,
7 with your list here on your last couple
8 slides. On the qualitative one I could see
9 where a job description might have in it
10 something like heavy that could be assigned a
11 numeric value. So we call this data
12 transformation. You know, transforming
13 qualitative data into quantitative indicators.
14 And so, those job descriptions might be
15 useful in compiling a profile of the physical
16 demands of a job, don't you think?

17 MEMBER GIBSON: My personal
18 experience with job descriptions is that they
19 are as useful as the data which was utilized
20 to craft them and that many times when I work
21 with an organization and I say let me see your
22 job descriptions and they pull out a narrative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 job description, basically what they've done
2 is they had the last person who held the job,
3 who's getting ready to leave and go somewhere
4 else -- say you need to write a job
5 description so the next person we hire knows
6 what to do. And they might write a very good
7 job description; they may write a very poor
8 job description, but usually there's very
9 little analysis that goes into it and
10 sometimes very little thought processes
11 either.

12 So when I go into larger
13 organizations with more structured human
14 resources functions, typically they have a job
15 description which might include things such as
16 having categorized the job according to the
17 government standards of sedentary, light,
18 etcetera. So that does exist in some cases,
19 but it tends to exist in the larger
20 organizations with more structured human
21 resources functions in general. But to just
22 take the average narrative job description

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that I find in your average company, I think
2 you'd have a very hard time quantifying it
3 with any degree of accuracy, at least just
4 going by the job description itself without
5 going in too and perhaps doing some interviews
6 and interfacing with the people who actually
7 hold the job.

8 CHAIRPERSON BARROS-BAILEY: Tom and
9 then Abigail?

10 MEMBER HARDY: I'm kind of circling
11 back to your original question. It took me a
12 few minutes to look up a couple things. But,
13 for example, the Code of Federal Regulations,
14 subchapter 404.1567, "Sedentary work.
15 Sedentary work involves lifting no more than
16 10 pounds at a time and occasionally lifting
17 or carrying articles like docket files,
18 ledgers and small tools. Although a sedentary
19 job is defined as one which involves sitting,
20 a certain amount of walking and standing is
21 often necessary in carrying out job duties.
22 Jobs are sedentary if walking and standing are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 required occasionally and other sedentary
2 criteria are met." That is the definition.
3 And everything in here is again defined in the
4 CFR somewhere else.

5 Another answer to your question.
6 In the grids. They're called the grids. I
7 don't know if everybody's familiar with those,
8 but the grids. 201, "Maximum sustained work
9 capability limited to sedentary. Most
10 sedentary occupations fall within the skilled
11 to semi-skilled, professional, administrative,
12 technical, clerical and bench work
13 classifications. Approximately 200 separate
14 unskilled sedentary occupations can be
15 identified each representing numerous jobs in
16 the national economy." And it goes on. And
17 I've got a whole bunch of other cites, if you
18 want it.

19 But what happens is we can talk
20 about all these scaling issues, but what --
21 and I can't speak for the administration
22 obviously, but what needs to be done is there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 has to be a level at some point wherein you
2 have a scale big enough that you can kind of
3 work within what we now have, which is called
4 the grids, and the grids are used for making
5 determinations of disability or not based on
6 age, exertional level and skill level. So
7 they've taken all that DOT information, boiled
8 it down to basically three things. And then
9 you get plopped in the grid and a decision's
10 made. Maybe I'm defining it wrong, but that's
11 how it looks from my side. But that's also a
12 reality that Social Security has to work
13 under. And, you know, again we're doing reg
14 neutral and all that stuff, but all of this is
15 there.

16 And the final piece I wanted to
17 bring up. I knew I knew this. We did in fact
18 go back and pull up every reg and match it.
19 And we did it in Ralph. It's under appendix
20 G. Every relevant SSA regulation as it fits
21 to the RFC form. So it is there for us to go
22 back. The work is done. It's under G in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Ralph.

2 CHAIRPERSON BARROS-BAILEY: I have
3 to explain Ralph. Sorry. For the new panel
4 members, that's the 750-page report we issued
5 two years ago. We got to call it Ralph
6 because he became a member of the family.

7 And just as you were reading the
8 description of "sedentary" in CFR, it's a
9 composite. So you're not talking just about
10 weight. You're talking about positions there
11 as well and all of that is thrown into the
12 same scale. And so was "light," because that
13 includes upper extremity movement in "light"
14 that is not included in others. So they're
15 different composites.

16 Okay. Other questions? Abigail?
17 Sorry.

18 MEMBER PANTER: Thanks. I enjoy
19 speaking about measurement and scaling and
20 I've enjoyed this presentation very much, so
21 thanks.

22 But here's why scaling issues are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so important for all of us. There's a
2 significant cost efficiency and there's
3 increased accuracy that's associated with our
4 using response formats that do not
5 discriminate too much when they shouldn't be
6 discriminating and do discriminate when they
7 should. And it sounds obvious, but when
8 there's a huge backlog related to how do we
9 fit people into a particular category there's
10 a problem with the response format. And when
11 certain categories can be combined easily and
12 always are, that is an inefficiency that we
13 can get around at this point. So there is a
14 lot of time savings and cost savings that will
15 be in the future if we can solve these
16 problems.

17 CHAIRPERSON BARROS-BAILEY: Juan?

18 MEMBER SANCHEZ: Yes, this is going
19 back to what Tom said and a theme that has
20 been coming up. I guess we might be trying to
21 kill a fly with a gun in the sense that, you
22 know, we like to be precise, right? You know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 my training's in psychology measurement, so we
2 like to be very precise. But when you look at
3 the manner in which adjudications decisions
4 are made, I don't think they are made with the
5 level of precision that we pretend they have.

6 You know, all the DOT data is compiled in
7 three factors and they go to these grids, and
8 then a very clinical -- I mean, you know, I
9 apologize for using the term, but it seems to
10 me that it's a very clinical decision that
11 takes a lot of things into account. So the
12 fact that our anchors go from 20 to 50, or
13 perhaps from 20 to 40, perhaps it doesn't
14 really matter that much in the overall picture
15 and perhaps we don't need to make an
16 investment to ensure that precision because
17 it's not really needed.

18 You know, I have the O*NET anchors
19 here in front of me, and they don't pretend to
20 be that precise. They are more of the -- some
21 of the other formats that you have in there.
22 They will say how much time you spend bending

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or twisting the body; and I guess Pam is here.
2 Less than half the time is one. Or never is
3 one. Two is less than half the time. Three
4 is about half the time. So, you know, I agree
5 that it'll be nice to have very precise
6 anchors that reflect the world of work, or
7 like I was thinking perhaps that reflect what
8 goes on among the claims, or from a
9 physiological point of view, anchors that
10 correlated with disabilities. But perhaps we
11 just don't need that. So it's just a thought.

12 CHAIRPERSON BARROS-BAILEY: Tom?

13 MEMBER HARDY: In true lawyer
14 fashion I'm going to argue back with you and
15 myself. We are trying to kill a fly with a
16 cannon, really, because in essence what you
17 need to adjudicate a claim sometimes is can a
18 person do sedentary, light or medium? Have
19 they been to high school or not? Can they
20 read? What kind of job did you have before?
21 These are all the bases for either a Social
22 Security, a workers' comp, an LTD. We're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looking kind of at the same things. What have
2 you got? What can you do? And I don't care
3 what your finger dexterity is at this moment.

4 Can you get yourself to a place where you can
5 sit and do something for eight hours? That's
6 fine.

7 When you're looking at the life of
8 a claim going through the system, decisions
9 are made at different levels of specificity.
10 And so, yes, in the beginning if you can't get
11 up, get to work and sit in a chair for eight
12 hours and you can't read, we're okay. That's
13 all we need to know. But the further you dig
14 through these claims and the farther you go in
15 the process, the more detailed the information
16 does end up getting until finally I am worried
17 about my surgeon who cut off the tips of his
18 index and middle finger.

19 So we do and we don't. We need the
20 scales, but it's when and where and how are we
21 best benefitting the biggest amount and where
22 do we want to again maximize and do it,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because we keep talking about let's go at
2 those first 100 jobs. Well, those 100 jobs
3 are all falling in those kind of broad
4 categories, but we will still at some point
5 need to get to these very detailed things,
6 too. So it's a yes and no, is what I'm trying
7 to say, I guess, if that makes any sense.

8 CHAIRPERSON BARROS-BAILEY: Pam?

9 MEMBER FRUGOLI: Can I also clarify
10 something? I did understand that we're
11 supposed to be working within existing
12 legislation, but are we also supposed to be
13 working within the existing regulations,
14 because they're very specific. I mean, you
15 might not even be able to redefine some things
16 if that's the case.

17 CHAIRPERSON BARROS-BAILEY: Shanan,
18 did you want to address that? I could address
19 it, but go ahead.

20 (No audible response.)

21 CHAIRPERSON BARROS-BAILEY: Okay.
22 It is my understanding we could ask Sylvia

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 when she comes up for the beginning of the
2 deliberation that we are supposed to help with
3 advice and recommendations to develop a system
4 that at least meets the current system but is
5 flexible enough for changes in the future.
6 We're in a specific point in time and how long
7 has the DOT been used within SSA? If we
8 project out that length of time, a lot of us
9 might not be in this world by then, you know,
10 in this room. And so I think we have to think
11 about it in terms of flexibility as well.

12 Shanana?

13 MEMBER GIBSON: I was just going to
14 say that from my perspective I try not to
15 think in terms -- and maybe this is
16 distinctive from other panel members and if I
17 don't speak for you, that's fine -- in terms
18 of what we have to develop as being
19 constrained by the current rules and
20 regulations, because if we are truly
21 constrained by them, then we might as well
22 just redo the DOT and go home. There's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 nothing to be done if we are fully constrained
2 by current rules and regulations because they
3 are DOT based. So there has to be flexibility
4 in what we're promoting here so that maybe we
5 create a system that then allows -- the system
6 has different types of numbers and they can
7 use it or they can change, but I can't say
8 we're constrained by the DOT because then we
9 can't do anything.

10 CHAIRPERSON BARROS-BAILEY: Okay.
11 We've talked about this quite a bit and it
12 looks like we are maybe done for today on this
13 issue. Was there anything else that was
14 burning for anybody on this topic before I
15 move on to the deliberation?

16 (No audible response.)

17 CHAIRPERSON BARROS-BAILEY: Okay.
18 Thank you, Deb and Shanan and Juan, for your
19 input into this, and I know we'll have a lot
20 more discussions on this.

21 And I'm going to go ahead and move
22 us into deliberation, and then from there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we'll go to the end of our meeting.

2 And when Sylvia was on the panel,
3 it was really easy to ask her a question on
4 some of these issues. And now that she's no
5 longer on the panel, we've gotten to the point
6 at the last meeting, and I've asked her that
7 at the beginning of each deliberation if she
8 would come to, you know, maybe clarify some
9 things that she heard, or if there are
10 questions that we have specific to that such
11 as Pam's question that she might be able to
12 clarify, or anything that we would like to
13 speak with her about before we go into
14 deliberations.

15 So, Sylvia?

16 MS. KARMAN: Yes?

17 CHAIRPERSON BARROS-BAILEY: Go
18 ahead. I'll kind of open it up to you and see
19 if there were topics or questions or anything
20 that you heard in terms of what we were
21 talking about, particular questions that you
22 wanted to address, particularly Pam's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question.

2 MS. KARMAN: Okay. So good
3 question, and I think this is a good
4 opportunity for Social Security to explain how
5 it is that we perceive what we're doing in
6 light of the rules that we operate under
7 currently.

8 And the way we can understand this
9 is very much what I heard Shanan articulate,
10 which is no matter how the agency would like
11 to use the data that we gather, it helps us to
12 gather in a way that is I guess, you know,
13 deconstructed, decomposed. So if we were to
14 gather information about, you know, how long
15 somebody needs to stand or walk or sit, or how
16 much they're lifting and how frequently, I
17 think we need to be mindful of gathering that
18 information as it occurs in that particular
19 assessment for that position for that given
20 occupation and then return the information to
21 the Social Security Administration. And SSA
22 can certainly roll that data up and serve it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 up that way, if that is how they wish to do
2 that, if they want to continue to do that.

3
4 So then they can say, okay, these
5 jobs or this particular occupation fits into
6 that category as described and therefore is
7 considered light or medium or whatever based
8 on the definitions that are in the regs. But
9 that does not mean our instrument necessarily
10 has to show those scales. I don't see why we
11 would even want to do that, because that would
12 really limit -- as Shanana pointed out, we may
13 as well just all pack up and go home and just
14 -- well, we'll just recreate the DOT, not on
15 the DOT, but the DOT as it was in 1991. So,
16 you know, I think that's my assessment of what
17 I was just hearing.

18 But it looks like, Pam, you may
19 have another question.

20 MEMBER FRUGOLI: When Tom read that
21 one regulation that says approximately 200
22 separate unskilled sedentary occupations can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be identified, I mean, what if when we do our
2 research we no longer identify that and it's
3 in a reg, you know? I didn't realize it went
4 to that level of --

5 MS. KARMAN: Again, one of the
6 things that I think of, okay; and again this
7 is now my opinion, it would not prevent an
8 adjudicator from making a decision if it
9 turned out that there were 2,701 that fit into
10 that category, I mean, you know, or there were
11 2,699, you know? So the point being to me if
12 we are providing the data that the agency
13 needs in order to adjudicate its claims, under
14 our rules, given what we must do to assess the
15 individual's medical evidence, their
16 vocational history. Like Tom suggested, there
17 are other factors of course that go into that;
18 education, age. So I think that a literal
19 reading of, well, could you say that there are
20 2,700, I mean, honestly I think if you did
21 that sort right now with the DOT, you probably
22 would find that there weren't 2,700 or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whatever in certain categories. So I'm just
2 thinking that if we were to be delivering
3 something that would prevent the adjudicator
4 from doing their work as it's stated under the
5 regs then I think we could have a problem.

6 So it's not so much that our data
7 have to meet the regs. Our data need to allow
8 the agency to conduct its business as
9 currently stated; and, yes, that's a really
10 difficult position to be in, but that kind of
11 is where we need to be only because it does --
12 we do need -- as the agency would need to be
13 able to, you know, go through their whole
14 administrative procedures and process to
15 change its regulations at some point, if it
16 decided it needed to do that. And change it
17 to what?

18 So it would probably be helpful if
19 we already had some data that we could say,
20 well, you know, here there are sedentary jobs.
21 And as they're defined, given that we've
22 gathered information about, you know, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exertional requirements of work, even if we
2 deconstruct them and say, okay, we have
3 measures for standing, we have measures for
4 sitting, we have measures for lifting, when
5 you put them all together the way it's
6 described in the former DOT and now in the
7 regs you would consider this to be sedentary.

8 So if that is the direction that the agency
9 would want to go, I think we would just be
10 able to provide data that could substantiate
11 what they need to do.

12 MEMBER SCHRETLEN: Well, if I
13 understood the regulation that Tom read
14 correctly, it was defining sedentary. But
15 also bootlegged into the definition was a
16 reference to there being 200 jobs, and you
17 can't regulate how many jobs there of a
18 certain kind in the economy. You know, I
19 mean, if at the end of the day we find out
20 that there are only 30 jobs, but in fact
21 they're everywhere, you know, there's only 30
22 of them but they're in every state in every

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 community, that's the reality of the world of
2 work and the only way to get it up to 200 is
3 to raise the limit for what defines sedentary
4 to up to 50 pounds. We'll call that sedentary
5 now.

6 MS. KARMAN: Also one of the things
7 that we understand is that, you know, the way
8 in which that was reflected was taken from the
9 "Dictionary of Occupation Titles" definition.

10 SSA brought that in. And I think to give the
11 public an understanding for what the
12 comparison point would be, not so much as in
13 the sense of, okay, literally, you know, you
14 have to be able to cite a job for which there
15 are, you know, this many representations or
16 incidents throughout the United States. I
17 think the idea was that we not be citing work
18 at step 5 where we need to meet our burden,
19 where in fact the work may be, you know, more
20 difficult to find.

21 I think it was an effort for the
22 agency to try to say to the public we will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cite things that are realistic that you can
2 expect that it exists. Like you said, even if
3 there are only like 30 occupations but there's
4 literally hundreds of thousands of them
5 throughout the country, I think the way our
6 regulations are written is to reflect that
7 that is how we see that, you know, that each
8 of these occupations could reflect, you know,
9 many, many jobs throughout the economy. So I
10 don't think it was meant to be literal anyway.

11 But that's my saying that. I'm not
12 speaking for, you know, our policy component,
13 but that's my understanding.

14 MEMBER LECHNER: And I think that's
15 really helpful, Sylvia, to clarify that,
16 because I think as we develop our scales for
17 the different variables that are going to be
18 analyzed, it's important for us to think about
19 reporting in ways that then can be translated.

20 So for example, if we're measuring exertional
21 activities and we report our data in terms of
22 minimal, moderate and severe instead of it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 requires this amount of force or this amount
2 of weight, then minimal, moderate, severe,
3 unless it's then anchored back to forces and
4 weights, are not going to be translatable to
5 the current guidelines. So I think as we make
6 recommendations about scaling, we need to kind
7 of keep those things in mind. So I think that
8 your directive was very helpful.

9 MS. KARMAN: I just had one other
10 thing that came to mind, Debra, when you were
11 mentioning, you know, that ability to
12 translate. And, you know, whatever anchors we
13 use, whatever scales and measures that we come
14 up with, I mean, there are a number of things
15 in the world of work that are directly
16 observable. There are a number of things that
17 are not directly observable. And, you know,
18 where possible, given the amount of time that
19 we could afford to spend with a particular
20 employer entity and, you know, have a job
21 analyst observing it or however it is that we
22 end up doing this, that we want to make use of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that time as best as possible.

2 So when there is something that you
3 can actually observe and measure, it seems to
4 me we'd want to be able to do that to the best
5 of our ability, you know, given the need to be
6 judicious with time and judicious with
7 expenditure. So you want to get, you know,
8 the bang for the buck. And so to just try to
9 group things already walking in the door into
10 these large buckets without -- I don't know,
11 it just seems like -- and that doesn't
12 necessarily translate very well for an
13 adjudicator either, because they would need to
14 have something that translates into actual
15 measures that have something to do with human
16 function that are relatable.

17 So this, you know, extreme or
18 moderate or whatever those things that -- you
19 know, unless, like you said, you give them an
20 anchor of some kind that relates back to
21 something in medical evidence that would be
22 very difficult for an adjudicator to work with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 anyhow.

2 CHAIRPERSON BARROS-BAILEY: Juan?

3 MEMBER SANCHEZ: Yes, this is a
4 comment. It's kind of like a
5 comment/prediction. I think the more precise
6 we become in the scales, the more we refine
7 them in terms of -- for example, if we go to
8 observables and we split the scale in seven
9 anchors with very precise intervals, I think
10 what we are going to observe when we start
11 collecting data is that within occupational
12 title variability is going to go up, and
13 therefore it's going to be more difficult to
14 decide where does the occupation begin and
15 where does it end and another occupation
16 starts?

17 I know you guys follow me. What
18 I'm saying is that if I become very precise in
19 my scales and I ask somebody who is a cashier
20 how often do you do this, or how much weight
21 do you lift, what's going to happen is that
22 one cashier is going to tell me I left 15

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pounds. Somebody else is going to say I left
2 16, right? So I'm going to see an increase
3 within title variability within that
4 occupational title, which might be good,
5 because we may find there are significant
6 differences among cashiers and that we need
7 more subdivisions among cashiers. But I think
8 it's also going to make it more difficult to
9 have a single occupational taxonomy, right?

10 MS. KARMAN: Thank you, Juan,
11 because I think that's a very interesting
12 observation, and certainly we've been
13 discussing that over the last I think two
14 years on and off.

15 One thing that comes to mind for me
16 is that, you know, we're not complete with
17 this discussion. I mean, you know, we do need
18 to have more work in this area and we're just
19 now embarking on that hoping to get our
20 recommendations from our two I/O psychologists
21 to help inform our taxonomy development
22 process. But also with that there are a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 number of things that, you know, we know we're
2 going to need to deal with in terms of within-
3 title variability or, you know, how it is that
4 jobs are very different among, you know, a
5 variety of entities? And, you know, so maybe
6 we'll have to come up with some other creative
7 ways of dealing with that, like, you know, do
8 we report the probability that a cashier,
9 something that we've defined as a cashier
10 based on our instrument, you know, the
11 probability is that they may need to lift
12 overheard 20 percent of the time? I don't
13 know. I mean, so there are other things there
14 that -- and then go back to our, you know,
15 adjudicators and do some useability
16 investigations to see if, well, would that be
17 useful to people to know that kind of
18 information? So I do think that this is a
19 good point to have that kind of discussion.

20 But with regard to do we literally
21 need to go out and use the same scales that the
22 DOT did to evaluate work in the first place?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 My assessment of that would be no, that we
2 don't need to be confined by that, but you
3 know, we do want to be able to do the
4 translation and I do appreciate Juan's point
5 about the more specific you become, after
6 awhile it's like you can't discern any
7 difference at all among any of the activities.

8 MEMBER SANCHEZ: A follow up is
9 that to get -- the more precise and the more
10 fine grained our scales are, the more
11 within-title variability we're going to get
12 and therefore we're going to need more
13 incumbents per occupation to get a stable
14 estimate. So that's another consequence for
15 sampling.

16 CHAIRPERSON BARROS-BAILEY: Shanan
17 and then Deb.

18 MEMBER GIBSON: I was going to kind
19 of tongue-in-cheek say, well, yes, the more
20 precise the scale the more variance we'll
21 have. That's a statistical absolute. We know
22 that. But we also know that if we're not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 careful and we use scales that are not precise
2 enough -- for example, given a scale where an
3 excessive number of people choose does not
4 apply, that can mask differences and
5 similarities in the job as well. So you can't
6 be too abstract and too broad. So again,
7 we're still looking for what is the right
8 number of scales and what is the right
9 measure?

10 And to build onto the discussion,
11 for example, earlier on portions of the day;
12 one- third of the day, two-thirds of the day,
13 and I was thinking in terms of discreet
14 timelines, discretionary time units, if SSA
15 chose to measure it, for example, in hours,
16 that is something that would very easily --
17 could be rolled and translated into one-third
18 of the day, two-thirds of the day,
19 three-thirds of the day as the current
20 definitions work. But we'd be measuring it at
21 a more precise level, we would have it a more
22 micro level and we could then combine it as we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 need it. But if we measure it at the more
2 abstract level, we can never take it down.

3 MEMBER LECHNER: And I would sort
4 of add to that whole idea about with increased
5 precision and within increased within-title
6 variability may not mean that we have to so
7 much look at more incumbents, but look at more
8 organizations. Because what I find when I go
9 out to look at occupations even within an
10 organization or jobs within organizations, the
11 location, you know, can create variability in
12 the amount of weight lifted or the amount of
13 walking required just based on the physical
14 plant that the person's having to deal with.
15 So I would vision occupations that have ranges
16 of all of the variables we collect.

17 Even if we collect very discreet
18 variables, we would have say -- let's say the
19 cashier could lift anywhere from 26 pounds to
20 75 pounds, depending on what kind of
21 organization they work for. And the beauty of
22 our capabilities, with our databasing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 capabilities today that the DOT didn't have we
2 could look at the probability that -- or the
3 likelihood or what percent of the cashier jobs
4 require 50 pounds versus 75 pounds and how
5 likely could that individual acquire a job
6 where they only had to lift at the lower
7 level. So I think we should expect a range of
8 variability within all of these occupations,
9 and being able to look at the frequency and
10 the degree of variability will drive how many
11 different organizations we have to collect
12 data from to have meaningful information.
13 And, you know, our challenge is going to be
14 that -- I think on a lot of these occupations
15 there will have to be many, many jobs analyzed
16 to create that occupational unit.

17 CHAIRPERSON BARROS-BAILEY: Any
18 more questions for Sylvia specific to let's
19 say the scaling discussion that we had?

20 (No audible response.)

21 CHAIRPERSON BARROS-BAILEY: How
22 about we had presentations from ICF. Any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions to Sylvia or SSA, who Sylvia
2 represents, in terms of Call 1 or Call 2?

3 (No audible response.)

4 CHAIRPERSON BARROS-BAILEY: Okay.
5 I did have a question on that, just a general
6 question going back to what I asked this
7 morning. When you were presenting and you
8 were talking about the different Phase 4
9 documents and I asked whether those would be
10 put online, could you speak to that in terms
11 of Call 1 and Call 2 as well?

12 MS. KARMAN: Sure. We certainly
13 anticipate putting the final reports for Call
14 1 and Call 2 up on the project Web site so
15 people will have access to that and they can
16 read that.

17 CHAIRPERSON BARROS-BAILEY: Okay.
18 Thank you. Any other questions of Sylvia
19 before we break kind into more deliberation,
20 if there are other areas of deliberation the
21 panel would like to engage in?

22 (No audible response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON BARROS-BAILEY: Okay.
2 Sounds like one more question.

3 MEMBER LECHNER: You know, as we've
4 listened to the reports on Call 1 and Call 2
5 today, it's obvious from questions and
6 comments that have been made that this area,
7 like any other area, when you pull experts
8 together from a variety of backgrounds and
9 experiences even within disciplines, you get a
10 variety of "expert opinions."

11 So I'm interested as you receive
12 feedback from ICF and then the panel, and then
13 you have your internal scientists and you have
14 your internal consultants you're going to
15 obviously get a lot of expert advice and it's
16 going to differ. So is there a plan or a
17 process for deciding when you get conflicting
18 advice, you know, how to make these decisions?

19 It would just seem very challenging.

20 MS. KARMAN: Yes, it's very
21 challenging. So what we have been doing; and
22 I think we're going to obviously be continuing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to do that, as we are moving into an activity
2 and we are through our business process
3 developing the methodology for a particular
4 activity, or if it requires a study, you know,
5 the study methodology, one of the things that
6 we do in consultation with you all, as well as
7 in consultation with our work group and in
8 development within our own office, is to
9 develop the research questions, and
10 frequently, where it's relevant, what the
11 parameters are. You know, so do we have a
12 boundary, for example, with regard to time or
13 money, or whatever? And we do. We have
14 boundaries in those areas as well.

15 So that then, you know, I think
16 will help the agency narrow down the decision
17 making in terms of, well, what are the
18 priorities for a particular activity? And
19 given the advice that we've received, you
20 know, what can that advice help us get to in
21 terms of an answer based on what we have
22 identified as our priorities? And so what it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 requires us to be doing as we're moving along
2 is to be really very clear about what we're
3 intending to do with a particular activity.
4 And it may not even be the entire overview of
5 the activity all the time. Like sometimes we
6 just need to take -- like the scaling issue.
7 You know, we may need to take that and break
8 that down into, okay, well, you know, number
9 one we have adjudicative needs. You know, at
10 the end of the day that's going to be a really
11 big item for us, is to be sure that whatever
12 we deliver the agency can provide to
13 adjudicators and that it corresponds with what
14 they're familiar with in terms of medical
15 evidence. So I mean, at a minimum, you know,
16 that we know.

17 And so there's certain things that
18 become priorities for us, and that's where
19 it's important for us in our documentation and
20 in our consultation with you guys that we are
21 doing that, that we are defining as we go into
22 it what we think the priorities are going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be, what we think the parameters are. And
2 then as we learn more, use that to help shape
3 the decision making. And at the end of the
4 day, you know, like any other, you know,
5 group, just like you all do in your
6 professions, we're going to have to make the
7 best decision that we can with the information
8 we have at hand. So, but that's where we've
9 been.

10 CHAIRPERSON BARROS-BAILEY: Okay.
11 Any other questions or comments of Sylvia?

12 (No audible response.)

13 CHAIRPERSON BARROS-BAILEY: Thank
14 you, Sylvia.

15 And we have about a half hour left
16 for any other deliberation that the panel
17 would like to have on any of the topics for
18 today. The two main thematic areas I think
19 were job analysts in terms of work analysis,
20 job analysts, and also the scaling. So, I'll
21 open it up.

22 (No audible response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON BARROS-BAILEY:
2 Implications for the particular subcommittees?
3 Any of the work that we're doing? Anything
4 we're going to into tomorrow?

5 (No audible response.)

6 CHAIRPERSON BARROS-BAILEY: Are you
7 all talked out? Janine?

8 MEMBER HOLLOMAN: (Off microphone.)

9 CHAIRPERSON BARROS-BAILEY: Not
10 yet.

11 MEMBER GIBSON: I think we've been
12 deliberating all day, which is something we
13 enjoy. Because if I tried to remember
14 everything I wanted to say until the end of
15 the day, well, I'd be a lot quieter and you
16 all would probably be a lot happier. But, no.

17 CHAIRPERSON BARROS-BAILEY: Juan?

18 MEMBER SANCHEZ: Yes, along the
19 lines of scaling, something that Debra said,
20 would it make sense to ask users, adjudicators
21 what type of a scale do they find easier to
22 use? Why is it that they don't like the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 scales now? Deb said they hate it. I'd like
2 to find out why. Why do they find it
3 difficult and how can we make it easier, more
4 along the lines of the useability of the
5 scale.

6 CHAIRPERSON BARROS-BAILEY: And I
7 guess maybe this is -- I'm looking over at
8 Sylvia. I don't remember in terms of the user
9 needs analyses. Did they cover scaling or was
10 it more, you know, the data elements, physical
11 demands, that kind of thing? I don't remember
12 if there were comments regarding scales.
13 There were? Dave's saying yes.

14 MEMBER SCHRETLEN: Over the course
15 of the panel's life we've heard a number of
16 presentations by claims analysts and so forth
17 who've talked about the problems with
18 coarseness of scales. They'd like finer
19 grain, but not always.

20 And I guess I also want to sort of
21 circle back to your comment. I think that
22 your concern about being overly precise, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that concern you're knocking on an open door.

2 I really share that concern. And in fact in
3 the Mental Cognitive Subcommittee we really
4 tried to simplify things and, you know, we had
5 suggested some changes for a way to
6 conceptualize a mental RFC that drops the
7 number of questions from I think 21 down to
8 15, or something like that. So we actually
9 tried to cover a broader territory, but with a
10 much smaller pool of items. So, you know, I
11 really share that concern.

12 But as I was thinking about things
13 that Debra was presenting, it may be that we
14 don't have to necessarily increase the number
15 of gradations of scales to better capture
16 variability within the world of work or
17 applicants. It might actually be a smaller
18 number of gradations, but crossed orthogonally
19 with some other factors like maximum duration
20 of time. You know, you've pointed out that we
21 just may not be capturing the most essential
22 characteristics of the job demands in terms of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what's rate limiting for people with
2 impairments? It may be some of the other
3 kinds of factors that Debra has pointed out in
4 that talk. And I think that there may be
5 parallels of that in the cognitive aspects of
6 job demands.

7 MEMBER SANCHEZ: Abigail is an
8 expert in IRT, right, item response theory,
9 meaning, you know, not everything is linear,
10 right? And, you know, the line in some of
11 these skills may be totally flat, so between
12 four and five we may not be picking up any
13 variance, in which case it may make sense to
14 go with a three- point scale. So, you know, I
15 think it's going to be difficult to explore
16 that empirically given every time I bring up
17 the point of let's take a look at the claims,
18 I'm told let's not do that because the claims
19 are not coded in a manner that is helpful.
20 But any database that gives us actual raw
21 data, right, on limitations, on weights,
22 things of that nature, I think it's going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be helpful.

2 MEMBER PANTER: And I completely
3 agree. I mean, our best situation would be if
4 we could operate from something we know very
5 well and know how items operate and move
6 forward from there. I mean, it depends. If
7 we're using completely different constructs or
8 completely different scales, we obviously
9 can't do this. But if there are data that
10 will tell us that people don't use the upper
11 end of the scale, or they especially use this
12 piece, or at steps 4 and 5 we really need to
13 maximize the information that's available in
14 the middle part of the scale, this would be
15 helpful information for us. And it's really
16 an opportunity for us to walk in with our eyes
17 open about what we could be doing.

18 CHAIRPERSON BARROS-BAILEY: Tom?

19 MEMBER HARDY: Kind of just a
20 repeat warning again. I read you one little
21 paragraph out of the CFR. That's one little
22 paragraph out of the CFR. And I just remind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 everybody as we talk about these things, when
2 we pick a scale and we pick a top and bottom,
3 that will become memorialized in another place
4 called the CFR. So we've got to be very
5 careful because what tends to happen in my
6 experience is for ease of adjudication and
7 swiftness and all the other things that Social
8 Security also has to keep in mind, they're
9 going to drop down to the bottom rankings of
10 whatever these things are and that is where
11 you're going to have decisions made. So we
12 need to keep that in mind as well.

13 So getting at the scaling and
14 ranking, but remember also we're still going
15 back to an n equals one, and oftentimes that
16 one is going to be the bottom of that scale.
17 So we've got to be very precise in how we do
18 it because that's where this is going. Just a
19 reminder.

20 CHAIRPERSON BARROS-BAILEY: Deb,
21 you looked like you wanted to say something.
22 And then Allan.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. LECHNER: You know, Juan, I
2 think your questions about going back and
3 looking at the data require probably a little
4 deeper and longer thinking than what I have
5 the ability to do right off the top of my head
6 and particularly at this hour. But there are
7 a couple of data sources that I think could
8 inform some of your questions; and one is,
9 looking at job analyses that have been done in
10 the past and what kind of data that gets
11 collected and how that data is distributed is
12 possible.

13 And then the other thing is looking
14 at testing of or RFCs of the claimants. And,
15 you know, I think which data source and
16 whether it's going to be useful or not may
17 have to do with how that data's been collected
18 and what information is really there, but I
19 think it's worth exploring.

20 And my comments earlier about the
21 data that was collected on the RFC weren't
22 meant to say we can't use that data at all or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we shouldn't use that data, it's just I have
2 questions about whether the data will help us,
3 but I think it's worth looking into.

4 CHAIRPERSON BARROS-BAILEY: And the
5 question I had that I didn't remember the
6 answer to was those of us who have been on the
7 panel since day one remember that we had four
8 face-to-face meetings in seven months to be
9 able to comply with our charter of four
10 quarterly meetings and we started way into the
11 quarter, I mean, into the fiscal year; excuse
12 me. So the staff, in response to my question,
13 if you remember, when we were meeting, the
14 staff was off doing user needs analyses in
15 some of these offices at the different places
16 where we met. And so in the user needs
17 analysis; and I think Michael you were
18 involved with that heavily and you were one of
19 the presenters on that from what I recall,
20 that was done in 2009 did ask adjudicators
21 what they'd like to see in general, what
22 information would be most helpful. So that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was asked. And then also the importance of
2 distinguishing between the work-side measures
3 versus the person-side measures.

4 And, Juan, a question for you in
5 terms of your question. How do you see that
6 fitting into the R&D plan?

7 MEMBER SANCHEZ: What exactly? The
8 data analysis that we were just discussing? I
9 guess the answer is I don't know, but my
10 thoughts are I've been thinking that many of
11 the answers to our questions are not on the
12 job analysis side but on the person side,
13 because that tells you what goes on with the
14 claims with people, right? And I agree with
15 Deb that if everything is coded according to
16 the DOT, which is simply the procedural
17 function and capacity form, then it may not be
18 that helpful because we are stuck with those
19 anchors.

20 But if we could find a data set
21 that reports raw data, right, that is more
22 precise and it gives us data that is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 subject to the constraints of the DOT scales,
2 then you know, Abigail could I'm sure analyze
3 it and find out that perhaps the most
4 discrimination or the curve is most steep
5 between 10 and 20 pounds and that having five
6 points on that scale doesn't make any sense
7 because a three-point scale gives you as much
8 discrimination as you need. I don't know that
9 that data set exists, but, you know, I've been
10 talking to Mark and Michael about the data
11 set, so it might be helpful for us to take a
12 look at some of those data sets that have been
13 put together.

14 CHAIRPERSON BARROS-BAILEY: Shanan?

15 MEMBER GIBSON: Tim said it quietly
16 and I was going to say it loudly: I think if
17 those data sets exist they're in the private
18 sector. I think it's the individuals who have
19 created their own job analytic tools and are
20 using them privately to do what Deb does, or
21 do what R. J. Harvey does, or who have created
22 the scales and are using absolute measures to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 measure whatever functions they need. We'd
2 have to get a hold of their data, because my
3 understanding is what we have from the RFCs
4 and stuff is based on the DOT scales, period,
5 and it's not entered even at the local or the
6 regional, at the DDS office level at any other
7 scale level. It's what's on the forms and
8 that's it. So the private sector probably has
9 that data.

10 MEMBER LECHNER: And, you know,
11 thinking about the data that we collect and
12 archive I'm sure we have literally thousands
13 and thousands of tests that have been done on
14 individuals that are applying for disability
15 in the private sector or who are being tested
16 for work comp. The challenge is all of those
17 individuals have sustained some sort of
18 illness or injury that affects their physical
19 abilities. And so if you look at where their
20 abilities tend to fall, you're going to get a
21 very skewed perspective of, you know, these
22 people are not typically functioning in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 medium to heavy level.

2 But does that mean that we don't
3 need to capture information about those
4 heavier demands when we go out there to
5 evaluate jobs? I think it shouldn't dictate
6 that. So that's why I say it's important to
7 look not just at the folks that are being
8 tested and where their abilities fall, but to
9 look at the existing job analyses that are out
10 there probably in the private sector that, you
11 know, where do job demands typically fall?
12 And I think, you know, just reacting on a very
13 gut level without having spent a lot of time
14 thinking through any kind of methodology I
15 think you can't just look at the claimant or
16 the applicant for disability or work comp.

17 CHAIRPERSON BARROS-BAILEY: Juan?

18 MEMBER SANCHEZ: Yes, then let me I
19 guess pick your brain. What criteria do you
20 think should guide, for example, the number of
21 points that a scale should have?

22 MEMBER LECHNER: Well, and John I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think spoke to that kind of pretty eloquently
2 earlier in terms of the scale being -- I
3 forget; I can't replicate your terms, but
4 comprehensive and mutually exclusive
5 categories and so on and so forth.

6 MEMBER CRESWELL: Unambiguous.

7 MEMBER LECHNER: Unambiguous.

8 Thank you. So, you know, I think there's
9 certainly some measurement principles that can
10 guide us. And I think you raise a really good
11 question, Juan. I don't know exactly what
12 empirical data we could or should collect in
13 order to drive our decision making on that.
14 Others may have a more enlightened view.

15 CHAIRPERSON BARROS-BAILEY: Shanan?

16 MEMBER GIBSON: Our research plan
17 allows for pilot testing, you all. There's no
18 reason we can't try a five-point scale, a
19 seven-point scale and a nine-point scale, run
20 the discriminate analysis, run the -- granted,
21 it takes a large sample size. Been there,
22 done that. But there's no reason we can't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 empirically test this to some degree
2 ourselves.

3 CHAIRPERSON BARROS-BAILEY: Well
4 SSA that is, since we're not developing it,
5 right?

6 MEMBER GIBSON: (Off microphone.)

7 CHAIRPERSON BARROS-BAILEY: I know.
8 I know. We mean SSA.

9 Go ahead, Abigail and then Tom.

10 MEMBER PANTER: I'm not sure if
11 we've ever had cognitive interviews with
12 adjudicators using the scales. I mean, we've
13 visited and we've talked to them about general
14 concepts about the use of the DOT, but
15 conducting cognitive interviews while someone
16 is adjudicating would be very valuable I
17 think. And, you know, it wouldn't take too
18 much to do I don't think and we could really
19 do something. I mean, one situation would be
20 using the existing system, but also as we're
21 moving forward with each iteration we could be
22 talking to people about that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON BARROS-BAILEY: So
2 you're talking about during the useability
3 analyses part of the OIS R&D plan.

4 MEMBER HARDY: Quick reminder
5 though. Whatever skills we use then go out
6 into the world and I, as an attorney, take it
7 to Dr. Jones on the corner of South and Main
8 Street and say, Dr. Jones, please assess my
9 client using this scaling system. So there's
10 a real world application that you've got to
11 keep in mind as well. And Dr. Jones not only
12 has to be able to understand, but be able to
13 actually rate and do it with some confidence.

14 MEMBER PANTER: It also means that
15 we have to speak to many Dr. Joneses and have
16 cognitive interviews with Dr. Joneses and
17 whomever are the important stakeholders before
18 -- I mean, it's part of a process and I
19 believe it's in the -- I'm not sure if the
20 cognitive interview aspect is in there, but
21 it's very valuable so I think we should make
22 sure it's incorporated.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON BARROS-BAILEY: I don't
2 think the plan goes to that level of detail,
3 but for 2012 iteration maybe they could
4 include that.

5 John looks like he wants to say
6 something.

7 MEMBER CRESWELL: You may recall
8 back in our telephone conversation in July I
9 asked this precise question. My question was
10 what information do we have from the
11 adjudicators that can really help us as we
12 start to develop this information, the OIS?
13 And, you know, something like that would be
14 valuable information. At that time I was
15 referred back to the useability report saying
16 it's already been collected. And I'm not sure
17 now as we're beginning to think about the
18 different facets of this entire project,
19 because the scaling has to be suitable for
20 data collection to populate the database. The
21 scale also has to be useful for the
22 adjudicators when it's translated into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 practice and so there are several dimensions
2 of the practical impact of coming up with
3 really good scales.

4 And I do think this is like a huge
5 puzzle and we've got all these parts and, you
6 know, moving parts, and it's probably valuable
7 that different parts have come in. I mean,
8 today we were going through a whole discussion
9 about the job analysts and then we switched to
10 scaling. And then bringing in the DOT you
11 then switch to the adjudication process. So
12 we're thinking across the different dimensions
13 of this project, which is I think a great
14 exercise. But now as we start thinking about
15 the exact scaling and, you know, we're
16 returning to the adjudicators and thinking
17 about what kind of information do we have from
18 them that can really help us in this process
19 of scaling? So that's my synopsis of the day.

20 CHAIRPERSON BARROS-BAILEY: Thank
21 you. We're in the last 10 minutes or so of
22 our formal agenda. Any other comments or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions?

2 (No audible response.)

3 CHAIRPERSON BARROS-BAILEY: Okay.

4 At this point, before I turn the meeting over
5 to Leola to adjourn, I know that User Needs is
6 going to be providing us with something for us
7 to take a look at tonight, a one-page
8 description. And I'm going to have Janine
9 talk about it very briefly and then I will
10 turn the meeting over to Leola.

11 MEMBER HOLLOMAN: Thank you.
12 Something was called to my attention just
13 before this meeting took place and
14 unfortunately it predated my activity on User
15 Needs, so I thank those people that got me up
16 to speed on this. And I'll just read the
17 email that was sent to me.

18 "We were alerted that there was an
19 unresolved issue with the operating procedures
20 for the subcommittee review and discussion at
21 the User Needs meeting. In September 2010
22 meeting, the panel voted to accept the User

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Needs Subcommittee recommendation but did not
2 vote to incorporate it into the operating
3 procedures."

4 What I'm going to pass around --
5 and this has been approved by our resident
6 attorney, but Tom said that, you know, one of
7 the worst things is to just be handed a piece
8 of paper and need a vote or a discussion. So
9 we said we would give this to you this
10 evening. You have already approved this as a
11 portion of this, which is the summary of the
12 public comment and the user needs, but we
13 neglected to vote it into the operating
14 procedures. So this is what this will look
15 like in the operating procedures and tomorrow
16 I will be making a full motion. So this is
17 just so you can read it tonight and ask any
18 intelligent questions you have tomorrow, which
19 you'll direct to Tom.

20 CHAIRPERSON BARROS-BAILEY: Okay.
21 Thank you. I believe we are at the end of our
22 very full agenda today. Thank you for all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 your time and thank you for all your comments.

2 This was a very good day.

3 I would like at this time to turn
4 the meeting over to Leola.

5 MS. BROOKS: If there are no
6 objections, the meeting will adjourn.

7 (No audible response.)

8 MS. BROOKS: Hearing no objections,
9 we are adjourned until tomorrow morning at
10 8:30 a.m. Thank you kindly.

11 CHAIRPERSON BARROS-BAILEY: Thank
12 you, all.

13 (Whereupon, the hearing was
14 adjourned at 4:51 p.m. to reconvene tomorrow
15 at 8:30 a.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701