

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH
PULMONARY AND ALLERGY DRUGS
ADVISORY COMMITTEE

Monday,
November 22, 1999

Versailles Ballrooms I and II
Holiday Inn-Bethesda
8120 Wisconsin Avenue
Bethesda, Maryland

IN ATTENDANCE:

CURTIS N. SESSLER, M.D., Chairman
Professor Medicine
Medical College of Virginia
at Virginia Commonwealth University
Pulmonary and Critical Care Medicine
5th Floor, South Wing
1200 E. Broad West Hospital
Richmond, Virginia 23219

IGOR CERNY, Pharm.D., Acting Executive Secretary
Advisors and Consultants Staff (HFD-21)
Center for Drug Evaluation and Research
Food and Drug Administration
5600 Fishers Lane
Rockville, Maryland 20857

Members

BRENDA H. CONNER, Consumer Representative
c/o Matria Healthcare, Inc.
1850 Parkway Place
Marietta, Georgia 30067

NICHOLAS GROSS, M.D., Ph.D.
Professor
Department of Molecular and Cellular Biochemistry
Stritch School of Medicine
Loyola University of Chicago
5th and Rossevelt Road
Building One, Room A342
Hines, Illinois 60141

WILLIAM H. KELLY, Pharm.D.
Professor of Pharmacy and Pediatrics
College of Pharmacy
University of New Mexico

2502 Marble N.E., Room B-64
Albuquerque, New Mexico 87131

3

IN ATTENDANCE:

FDA Consultants

ANDREA J. APTER, M.D.
Associate Professor
Pulmonary, Allergy, and Critical Care Division
829 Gates Building
Hospital of the University of Pennsylvania
Philadelphia, Pennsylvania 19104

MARK S. DYKEWICZ, M.D.
Associate Professor of Internal Medicine
Division of Allergy and Immunology
Department of Internal Medicine
St. Louis University School of Medicine
1402 South Grand Boulevard
Doisy R-209
St. Louis, Missouri 63104-1028

ROBERT J. FINK, M.D.
Chairman
Department of Pulmonary Medicine
Children's National Medical Center
111 Michigan Avenue, N.W.
Washington, D.C. 20010-2970

JESSE JOAD, M.D.
Assistant Professor of Pediatrics
U.C. Davis Medical Center

Department of Pediatrics
2516 Stockton Boulevard
Sacramento, California 95817

MICHAEL S. NIEDERMAN, M.D.
Chief
Division of Pulmonary and Critical Care Medicine
Winthrop-University Hospital
Pulmonary and Critical Care Medicine
222 Station Plaza North
Suite 400
Mineola, New York 11501

4

IN ATTENDANCE:

FDA Consultants

WILLIAM M. VOLLMER, Ph.D.
Senior Investigator
Center for Health Research
Kaiser Permanente
Northwest Division
3800 N. Interstate Avenue
Portland, Oregon 97227-1110

Guest

JEAN G. FORD, M.D.
Florence Irving Assistant Professor
Harlem Hospital Center
Columbia University College of Physicians and Surgeons
506 Lenox Avenue, MLK 12-106
New York, New York 10037

5

C O N T E N T S

PAGE

Call to Order and Welcome

Curtis N. Sessler, M.D.
PADAC Chairman

7

Conflict of Interest Statement

Igor Cerny, Pharm.D. PADAC Acting Executive Secretary	10
Opening Remarks	
Robert Meyer, M.D. Director, Division of Pulmonary and Allergy Drug Products, CDER	12
John K. Jenkins, M.D. Director, Office of Drug Evaluation II, CDER	13
Ozone Science, the Montreal Protocol, and U.S. Ozone Protection Efforts	
Erin Birgfeld Essential Use Manager Stratospheric Protection Division Office of Air and Radiation U.S. Environmental Protection Agency	20
Review of Past FDA Actions Regarding Ozone-Depleting Substances	
Robert Meyer, M.D.	34
Review of the Notice of Proposed Rulemaking (NPR)	
Leanne Cusumano, J.D. Regulatory Counsel Regulatory Policy Staff, CDER	50

Committee Questions on EPA and FDA Presentations	59
Open Public Hearing	
Ballard Jamieson, Jr. Secretary and Legal Counsel International Pharmaceutical Aerosol Consortium	98
Alfred Munzer, M.D. American Thoracic Society Medical Section of the American Lung Association	104
Mary E. Worstell, M.P.H. Executive Director Asthma and Allergy Foundation of America	109
Dolores Libera Director of Publications Allergy and Asthma Network/Mothers of Asthmatics	114
Committee Discussion on NPR Discussion Points	121

1 PROCEEDINGS (8:15 a.m.)

2 DR. SESSLER: Good morning. I'd like to
3 welcome everybody to the Pulmonary and Allergy Drugs
4 Advisory Committee meeting.

5 My name is Curt Sessler. I'll be chairing the
6 meeting. My principal goals here, I think, are to engender
7 lively discussion and to keep the meeting on time.

8 The issue, I'll read, for discussion today from
9 your agenda. "The FDA published a notice of proposed
10 rulemaking on September 1st, 1999, related to the phaseout
11 of CFCs in metered-dose inhalers. The committee will
12 discuss and comment on the NPR and on presentations made
13 during the public hearing."

14 The agenda is published, and everybody should
15 have a copy of that. In brief, there will be a number of
16 comments made by Drs. Meyer and Jenkins to start. There
17 will be a presentation by Erin Birgfeld, followed by a
18 formal presentation by Dr. Robert Meyer, then time for
19 discussion, and a presentation by Leanne Cusumano, and then
20 a break at 10:30, and then open public hearing from 10:45
21 till noon.

22 If the public hearing doesn't extend the full
23 duration to noon, we'll start the afternoon's agenda at
24 that time and then break for lunch at 12, and then the
25 afternoon will be devoted towards committee consideration

1 of the discussion points, and you should have copies of
2 those as well.

3 I'd like to ask that the committee members and
4 the members of the FDA and the EPA who are at the head
5 table introduce themselves, and at this time, I'd also just
6 remind the committee members and others that this is going
7 to be recorded, so please speak clearly into the
8 microphone. That's for Alan in the corner there, and if
9 you would, then please introduce yourself and tell us a
10 little bit about affiliations, and we'll go around the
11 table and have all the committee and others introduced.

12 DR. FORD: I'm Jean Ford. I'm affiliated with
13 Columbia University and Harlem Hospital Center in New York,
14 and I'm a pulmonologist.

15 DR. VOLLMER: My name is Bill Vollmer. I'm a
16 statistician and epidemiologist with the Kaiser Permanente
17 Center for Health Research in Portland, Oregon.

18 DR. APTER: I'm Andrea Apter, Division of
19 Pulmonary, Allergy, and Critical Care Medicine, University
20 of Pennsylvania. My training is in allergy and immunology.

21 DR. FINK: Bob Fink, a pediatric pulmonologist

22 at Children's National Medical Center, George Washington
23 University, here in D.C.

24 DR. GROSS: I'm Nick Gross. I'm a
25 pulmonologist at Loyola University in Chicago.

9

1 DR. JOAD: I'm Jesse Joad. I'm at the
2 University of California at Davis, and I'm a pediatric
3 allergist and a pediatric pulmonologist.

4 DR. SESSLER: I'm Curt Sessler, Pulmonary and
5 Critical Care at the Medical College of Virginia, Virginia
6 Commonwealth University in Richmond.

7 DR. CERNY: I'm Igor Cerny, Executive
8 Secretary, of Food and Drug Administration.

9 DR. KELLY: Bill Kelly from the University of
10 New Mexico Health Sciences Center, Professor of Pharmacy
11 and Pediatrics and Pediatric Clinical Pharmacology.

12 DR. DYKEWICZ: Mark Dykewicz. I'm Associate
13 Professor of Internal Medicine and Director of the Allergy
14 and Allergy Training Program at St. Louis University in St.
15 Louis.

16 DR. NIEDERMAN: I'm Mike Niederman from
17 Winthrop-University Hospital, Mineola, New York, and I'm a

18 pulmonary and critical care physician and Professor of
19 Medicine at the State University of New York at Stony
20 Brook.

21 MS. CONNER: I'm Brenda Conner. I'm a nurse
22 educator with 22 years pediatric nursing experience, and
23 I'm the consumer representative to the committee.

24 MS. CUSUMANO: I'm Leanne Cusumano. I'm
25 regulatory counsel with the Center for Drug Evaluation and

10

1 Research at FDA.

2 DR. MEYER: And I'm Bob Meyer, and I'm the
3 Director of the Division of Pulmonary and Allergy Drug
4 Products at the FDA.

5 DR. JENKINS: I'm John Jenkins. I'm the
6 Director of the Office of Drug Evaluation II at the FDA.

7 MS. BIRGFELD: Erin Birgfeld, Essential Use
8 Program Manager at EPA.

9 MR. COHEN: Jeff Cohen, U.S. Environmental
10 Protection Agency. I'm with the Stratospheric Protection
11 Division, and we review the substitutes to ozone-depleting
12 chemicals under the Clean Air Act.

13 DR. SESSLER: Thank you.

14 Dr. Igor Cerny will present the meeting
15 announcements and conflict of interest statements.

16 DR. CERNY: The following announcement
17 addresses the issue of conflict of interest with regard to
18 this meeting and is made a part of the record to preclude
19 even the appearance of such at this meeting.

20 Based on the submitted agenda for the meeting
21 and all financial interests reported by the committee
22 participants, it has been determined that all interested
23 firms regulated by the Center for Drug Evaluation and
24 Research present no potential for an appearance of a
25 conflict of interest at this meeting with the following

11

1 exceptions.

2 In accordance with 18 U.S.C. 208(b)(3), full
3 waivers have been granted to Dr. Andrea Apter and Dr.
4 Michael Niederman. A copy of the waiver statement may be
5 obtained by submitting a written request to FDA's Freedom
6 of Information Office, Room 12A-30 of the Parklawn
7 Building.

8 In addition, several of our committee

9 participants have been involved in activities relating to
10 the replacement of CFCs that we believe should be
11 disclosed. FDA believes it is important to acknowledge
12 these participants' involvement so that their participation
13 can be objectively evaluated.

14 Dr. Curt Sessler has consulted with Hoechst
15 Marion Roussel regarding asthma management.

16 Dr. Mike Dykewicz attended a Schering Plough
17 Speakers Bureau training meeting regarding a product for
18 asthma. He also previously participated as a
19 subinvestigator in an AstraZeneca study of a product for
20 use in asthma.

21 In the event that the discussions involve any
22 other products or firms not already on the agenda for which
23 an FDA participant has a financial interest, the
24 participants are aware of the need to exclude themselves
25 from such involvement, and their exclusion will be noted

12

1 for the record.

2 With respect to all other participants, we ask
3 in the interest of fairness that they address any current

4 or previous financial involvement with any firm whose
5 products they may wish to comment upon.

6 DR. SESSLER: Thank you.

7 Dr. Robert Meyer will give a welcome.

8 DR. MEYER: Thank you. I wanted to especially
9 welcome the many new members of the Pulmonary and Allergy
10 Drugs Advisory Committee. The FDA is very grateful for
11 your service, and we look forward to your input on this
12 important issue and important issues in the future.

13 I especially want to note that this is a
14 holiday week, and we especially appreciate your willingness
15 to travel and attend this meeting today.

16 I'd also like to thank Dr. Sessler for taking
17 on the role of chair and look forward to a very productive
18 time with Dr. Sessler as the chair of this committee.

19 I also want to note for the record, for the
20 public and for the returning members, that the division has
21 a new name that actually puts it more in concert with the
22 name of the advisory committee. We've added the title or
23 the name "Allergy" to our title. So we're now the Division
24 of Pulmonary and Allergy Drug Products to reflect our
25 regulatory purview better, and again it does bring it into

1 concert with the name of this advisory committee, and we
2 also have had changes in jobs within the division.

3 I've assumed the directorship of the division
4 since the last meeting of the PADAC, and Dr. Jenkins has
5 moved upstairs, both literally and figuratively, to the
6 role of Director of the Office of Drug Evaluation II. So
7 he not only oversees the Division of Pulmonary and Allergy
8 Drug Products, but also the Division of Metabolic and
9 Endocrine Drug Products and the Division of Anesthesia and
10 Critical Care Medicine.

11 I look forward to the committee's discussion of
12 both the proposed rulemaking that we will take you through
13 today, and we're not asking for votes today, but we very
14 much look forward to and will note for the record your
15 comments and your suggestions about the notice of proposed
16 rulemaking, and Dr. Jenkins will talk further about that in
17 a minute.

18 I think we have a very interesting discussion
19 on board for tomorrow, quite different from today as well,
20 and so once again, I'd like to thank the committee for
21 being here and look forward to the ensuing discussion.

22 Thank you.

23 DR. SESSLER: Thank you.

24 Dr. Jenkins?

25 DR. JENKINS: Thank you, Dr. Sessler.

1 I'd like to first add my welcome again to the
2 new committee members in particular, but to all the
3 committee members for your willingness to join us here
4 today for this very important discussion, and a very
5 personal note of thanks to Dr. Sessler, an old colleague of
6 mine, for agreeing to take on the position of chair. I
7 share Bob's enthusiasm for working with you this year in
8 your role as chair of the committee.

9 I wanted to try to start out our discussion
10 this morning by trying to put this meeting a little bit
11 into context as far as FDA's activities over the past
12 decade with regard to the CFC phaseout, and I think this is
13 important since we have so many new members of the
14 committee.

15 I think as I look around the table, the only
16 member of the committee who was here in April of '97 for
17 our previous discussion of this topic was Dr. Sessler. If
18 I'm wrong, please correct me, but I think this is a new
19 issue for most of the members of the committee and possibly
20 for some members of the audience.

21 So let me give you a little bit of a
22 perspective on what the FDA has been doing over the past
23 decade in this regard and where today's meeting fits into

24 that overall schema.

25 When it became clear near the end of the '80s

15

1 and the early '90s that the Montreal Protocol was going
2 into effect and would be banning the use of CFCs in
3 metered-dose inhalers, the FDA first turned its attention
4 to working with companies on issues, such as what animal
5 testing would be needed for the new propellants to make
6 sure that they were safe for use in humans, and working
7 with companies on advice regarding development of the new
8 formulations of the non-CFC-propelled MDIs.

9 The division also issued a guidance document in
10 September of 1994. That guidance was focused on the
11 clinical development program for these new products. We
12 tried to look out for sponsors, the types of studies that
13 we would expect to see for the new reformulated non-CFC
14 MDIs or the dry-powder inhalers to try to help sponsors
15 understand what their development programs should look
16 like, what questions they should be attempting to answer.

17 The focus of that guidance was primarily to
18 encourage sponsors to demonstrate the comparability

19 clinically of their existing formulation to the new
20 formulations that they would be developing to get approved.

21 As the development process continued, and we
22 started to receive NDAs in the mid-'90s for review of some
23 of these products, we internally focused some of our
24 attention toward the issue of how are we going to make a
25 determination in the future whether the use of CFCs remains

16

1 essential once these new products come into the
2 marketplace?

3 That's an essential statutory role that the FDA
4 has under the Clean Air Act, is in that we determine
5 whether or not the use of CFCs in medical products is
6 essential in consultation with the EPA.

7 So once it became clear that these new products
8 were starting to be developed and come to fruition as NDAs,
9 we turned our attention to how will we go about making
10 those determinations of when a product is no longer
11 essential and should be taken off the list of essential
12 products listed in the FDA's regulations?

13 To accomplish that task, the FDA formed a CFC
14 work group within the Center for Drug Evaluation to

15 Research. Dr. Meyer's currently the chair of that CFC work
16 group, and the first product of that work group was an
17 advanced notice of proposed rulemaking which the FDA
18 published in March of 1997, and that advanced notice was
19 really designed to seek public comment on various potential
20 strategies that the FDA could use in making these
21 determinations of non-essentiality.

22 That, as I said, was seeking public comment,
23 and as part of the public comment period for that advanced
24 notice of proposed rulemaking, we had an advisory committee
25 meeting in April of 1997 where we sought the input of the

17

1 committee. There were approximately 25 speakers during the
2 open public hearing of that meeting, and then during the
3 subsequent comment period to that advanced notice, we
4 received approximately 10,000 comments from the public.

5 So our goal of getting public comment and
6 public input far exceeded our expectations, and those
7 public inputs and comments have been very helpful, I think,
8 in helping us to move on to the next stage, which is where
9 we are now, in that we have now published a notice of

10 proposed rulemaking which is basically the second step in
11 the process towards finalizing FDA regulations about how to
12 make these essential use determinations in the future.

13 That proposed rule, as Dr. Sessler noted, was
14 published at the beginning of September. We're currently
15 in the comment period for that proposed rule, and this
16 meeting today is considered to be part of the public
17 comment period for the proposed rule. So the comments from
18 the committee, the comments from the audience today will be
19 considered as part of the docket as we go forward with this
20 process.

21 As Dr. Meyer said, we're not asking the
22 committee for votes today. That's not the nature of the
23 day's meeting since this is a public comment period for the
24 proposed rule. We are asking, though, some very important
25 questions to help us to further refine the proposed rule as

18

1 we move forward to the final rule as well as we move
2 forward to actually implementing that final rule in the
3 future to make determinations that products are no longer
4 essential.

5 Many of those questions are engendered in your

6 talking points that are part of today's agenda. For
7 example, we're very interested in hearing your views on
8 what should we consider to be adequate alternatives to the
9 CFC-propelled MDIs?

10 When should the FDA make the determination that
11 the medical needs of patients who rely on CFC MDIs have
12 been adequately met by the non-CFC products to determine
13 that they're no longer essential?

14 What kind of post-marketing data should the FDA
15 ask sponsors to develop or should sponsors be developing on
16 their own for their non-CFC products to help in that
17 assessment that they meet patient needs?

18 What are the important subgroups of patients
19 that we should be thinking about as we're making these
20 determinations of non-essentiality?

21 What does the committee think about the FDA's
22 proposal that the essential use determinations will be made
23 in the future on a moiety-by-moiety approach? That's one
24 of the three possible options that was suggested in the
25 ANPR in 1997. So now the agency following public input has

1 suggested that the moiety-by-moiety approach is the one we
2 think would best serve patient needs.

3 And there's also a proposal in the proposed
4 rule that we would determine that nasal corticosteroid
5 products are no longer essential uses of CFCs. So we'll be
6 interested in hearing the committee's thoughts on that
7 issue as well.

8 Not all the issues that will come up today are
9 listed in the talking points. So I encourage you, if we
10 haven't thought of some things that you want to give us
11 advice on, to feel free to do that.

12 With that trying to put the meeting into
13 context, I want to emphasize that the FDA is not in any way
14 trying to accelerate the phaseout of CFC MDIs. That term
15 became very common in use during the 1997-1998 period when
16 we were receiving comments on the advanced notice of
17 proposed rulemaking, and I want to dispel that as being
18 untrue. That is not our goal. That's not what we're
19 trying to do.

20 What we are trying to do is to carry out our
21 statutory mission under the Clean Air Act and the Montreal
22 Protocol to phase out the use of CFCs in medical products
23 but only when we're confident that the health and safety of
24 the patients who rely on those products will be adequately
25 served by the alternative products.

1 The FDA is a Public Health Service agency, and
2 our primary mission is to protect and promote the public
3 health. So that's our primary focus as we try to carry out
4 this mandate, is to protect the patients who rely on these
5 products.

6 With that, I'd like to say that I look forward
7 to today's discussion, and I hope it will be a very
8 fruitful input from the committee to the agency as we
9 continue this process.

10 Thanks.

11 DR. SESSLER: Thank you, Dr. Jenkins.

12 The first formal presentation will be by Erin
13 Birgfeld, the Essential Use Manager, Stratospheric
14 Protection Division, Office of Air and Radiation, U.S.
15 Environmental Protection Agency.

16 MS. BIRGFELD: Well, again, my name is Erin
17 Birgfeld. I'm from the Stratospheric Protection Division
18 at U.S. EPA, and I run the Essential Use Program there.

19 Really the purpose of my talk today will be
20 just to highlight the importance of compliance with the
21 Montreal Protocol and the importance of this FDA rule in
22 that context.

23 Okay. What I'm going to talk about today is
24 first some brief background, some ozone-depletion science.

25 We'll talk about the fact that there really is a problem.

21

1 Then we'll talk about the effects of increased UVB
2 radiation hitting our earth's surface, and the effects
3 specifically on human health and then on ecosystems and the
4 environment.

5 Finally, we'll talk about the Montreal
6 Protocol, just a little bit of background, and then discuss
7 what are essential uses underneath the Montreal Protocol
8 and EPA's role in the essential use process as a whole.

9 Okay. First, we have this little diagram.
10 It's a cartoon of the ozone-depletion process, and when
11 CFCs were introduced, I believe it was in the 1950s, they
12 were sort of thought to be a miracle chemical. They were
13 low toxicity, were very stable and subsequently found a lot
14 of uses, both in the industrial sector and in consumer
15 products, and as we later found out, they also contributed
16 to stratospheric ozone depletion.

17 As you can see in this slide, you have CFCs
18 being released from a bunch of different sources on the
19 ground, becoming mixed into the troposphere, which is the
20 area where we live and the lower atmosphere. These are

21 mixed and are never broken down. They don't rain out in
22 rain, and it takes between two and five years for these
23 chemicals to get up into the stratosphere.

24 Once in the stratosphere, they're subjected to
25 very high levels of UV radiation that does break them down.

22

1 This releases the chlorine atoms, and it's the chlorine
2 atoms and also actually bromine atoms that actually do
3 deplete the ozone layer, and one chlorine atom is capable
4 of destroying up to a 100,000 ozone atoms. So this is a
5 pretty powerful process that's going on.

6 Subsequent to ozone depletion, there's less
7 ozone to be soaking up those UVB rays, and we are receiving
8 more on the earth.

9 Okay. Now, this is actual real data, not a
10 cartoon, of the ozone over Arosa, Switzerland. This is the
11 area where we have the most data, going the farthest back,
12 and as you can see, the ozone layer is not at a steady
13 state. I mean, it's really jumping all over the map.

14 This is the yearly means from 1926 to 1997.
15 But as you can see from the 1920s all the way up to the

16 '70s, overall, it's pretty straight, the line is. There's
17 no slope.

18 However, in the 1970s, after there was a lot of
19 uses of CFCs, a lot of emissions, you can see a clear
20 downward trend ending in 1997 where this data ends, and
21 this is really a problem, and this is why this issue has
22 come to the fore.

23 One of the questions that we often get is how
24 do we know that it's CFCs that are causing these problems?
25 Could it be another chlorine-containing chemical? And as I

23

1 stated before, CFCs are very stable and are mixed pretty
2 much evenly throughout the troposphere, and it's only once
3 in the stratosphere you start seeing them decline. So this
4 is at CFC-11 declining with increasing altitude in the
5 stratosphere, and again they're chlorine atoms and causing
6 ozone depletion.

7 DR. VOLLMER: Just a question. That's
8 projected now or that's actually --

9 MS. BIRGFELD: Yes. It's the best fit line,
10 yes. It's the cartoon, I guess, again.

11 DR. SESSLER: I'm sorry to interrupt. If I

12 could ask all the committee members and everybody else to
13 go ahead and speak into the mike, if you would, with
14 questions, and perhaps if they're not clear for those in
15 the back, you could repeat the question after the speakers.

16 MS. BIRGFELD: Okay.

17 DR. SESSLER: Thank you.

18 MS. BIRGFELD: Sure. So the next step is how
19 does the reduction in stratospheric ozone translate into
20 increases in UV radiation at the ground level, and here you
21 can see this is a chart that looks at reduction of ozone in
22 Antarctica versus the UV increase, and as you can see, it's
23 quite substantial with 50 percent reduction in ozone, you
24 get a 100 percent increase in UV radiation.

25 Okay. So right now, the ozone layer is at its

24

1 most vulnerable. The chlorine and bromine loading in the
2 stratosphere was expected to peak before the year 2000. So
3 right now, it's after January 1st, it's supposed to be
4 declining, and hopefully we'll be on the road to recovery.

5 However, we haven't seen any increases yet in
6 1999. The Antarctica ozone hole was about 25 million

7 square kilometers big, and in mid-latitudes in the U.S.,
8 where we're interested, we've seen about a 5 percent total
9 ozone loss since 1979. So this is not just a problem in
10 Antarctica. This is a problem here as well.

11 You'll often hear when you're talking about
12 this issue that ozone recovery is expected by the year
13 2050, but this date is contingent on full compliance with
14 the Montreal Protocol, and that's something that's
15 critical, and I think it just highlights the importance of
16 this meeting, and just for your interest, everything that's
17 purple in my little picture of the ozone layer is
18 considered an ozone hole. That's ozone with less than 220
19 dobson units, which is how ozone is measured.

20 Okay. So now what are the health effects of
21 increased UVB? As you know, skin cancer has been rising in
22 this country. It's considered an undeclared epidemic. As
23 you know as well, it's associated with UV exposure,
24 exposure to the sun.

25 The incidence of melanoma, the most severe form

25

1 of skin cancer, and the one with the highest mortality, in
2 1935 was only one in 1,500. In 1998, it was one in 87. So

3 that's a clear increase. In 2000, it's projected the risk
4 of getting melanoma is one in 75. And just some more scary
5 facts. One American dies of skin cancer every hour, and
6 over one million new cases are expected in the U.S. this
7 year alone. So this is a problem.

8 Other health effects are cataracts. Exposure
9 to UVB has been associated with cataracts. It's the
10 leading cause of blindness, and there are 1.3 million
11 cataract surgeries per year, and it's the greatest single
12 line item in the Medicare budget.

13 Another effect of UV on the human population is
14 immune system suppression. It's been shown that after
15 sunburns, the immune system does not react in quite the
16 same way that it would prior to when the skin is not
17 sunburned, and this is an area of ongoing research, and
18 then, finally, the issue of photoaging. We're all getting
19 wrinkles a little early, I guess.

20 Okay. We're not the only ones on this planet.
21 The ecological and environmental effects are also very
22 important. Increased UVB has been shown to decrease crop
23 yields. It's also been hypothesized to have caused loss of
24 vulnerable species.

25 In this country and around the world, there's

1 been a dramatic decline in the amphibian populations. In
2 addition, there's been deformities found in a lot of
3 amphibians, and the hypothesis is that the increasing
4 amounts of UVB may play a role in this problem, and the
5 worry is that it's sort of like the canary in the coal
6 mine, you know. These are the ones that are going to be
7 hit first.

8 Next, we have damage to marine ecosystems in
9 the Antarctica. Phytoplankton are adversely affected by
10 increased UVB, and this is the bottom of the food chain.
11 So subsequently, it affects the entire food chain.

12 Finally, this is actually a very interesting
13 one. Increased UVB at the ground level actually can cause
14 an increase of ozone layer in the troposphere. So the one
15 that you all are concerned about that causes asthma attacks
16 and increased hospitalization actually may be increased
17 with increasing UVB, and then, finally, we have an issue
18 with materials degradation. Plastics are falling apart
19 much quicker than was anticipated.

20 Okay. So what do we do about it? The Montreal
21 Protocol was the international agreement to address and
22 solve the problem of stratospheric ozone depletion. It was
23 signed by the U.S. in 1987, and subsequently there are over
24 a 160 countries that are parties to this agreement.

25 Import and production of CFCs were banned in

1 1996 in this country, and, however, of course, as you all
2 know, the Montreal Protocol and the Clean Air Act do
3 provide exemptions for some things that are called
4 "essential uses."

5 The definition of an essential use is under the
6 Montreal Protocol is that if it is necessary for health,
7 safety or is critical for the functioning of society, and
8 there are no available technically and economically
9 feasible alternatives.

10 Here, the essential uses under the Montreal
11 Protocol were never meant to be permanent exemptions, which
12 is why all the parties are undertaking the same transition
13 in their own countries.

14 FDA's charged with providing the framework.
15 FDA is charged by the Clean Air Act with providing the
16 framework that will ensure safe and predictable transition
17 to CFC-free inhalers.

18 It should be noted that metered-dose inhalers
19 are the only significant commercial product in the U.S. for
20 which CFCs are still produced. There's a common
21 misconception, I think, among the lay public that CFCs are

22 still used in hair spray. Those were banned in the 1970s.
23 So those have been gone away for a long time.

24 Other approved essential uses included, beyond
25 just MDIs, are in the past Class I ozone-depleting

28

1 substances for laboratory and analytical uses. These are
2 very small amounts, very pure, high grade, and methyl
3 chloroform as a solvent for use in the space shuttle and
4 Titan rockets, and again this is very small amounts.

5 Okay. So the process that I manage at the EPA
6 is the Essential Use Process, and basically what we do is
7 we receive applications from companies wishing to get CFCs
8 to produce the MDIs. We review the data, and then we put
9 forward the nomination at the meeting of the parties in
10 Montreal Protocol.

11 There, the parties discuss whether or not to
12 approve these CFCs for use in the U.S., and in the past,
13 the parties have approved the entire U.S. request.

14 Finally, the last step is that EPA allocates
15 the CFCs through a notice and comment rulemaking at the end
16 of the year, and companies are able to order their CFCs and
17 produce the MDIs, and that's basically all I have.

18 The issues and topics that I discussed, you can
19 find in any of these places. Our home page is
20 epa.gov/ozone. It's a good source of information, and then
21 you can also talk to someone at our hot line if you're
22 interested.

23 Thank you for your attention. I appreciate it.

24 DR. SESSLER: Thank you very much.

25 We have time for questions and comment on Ms.

29

1 Birgfeld's presentation.

2 DR. FINK: Do you have any estimate of the
3 number of pounds or tons of CFCs used in MDIs in the United
4 States?

5 MS. BIRGFELD: Yes. Actually, the essential
6 use request for this year is going to be 3,700 metric tons.

7 DR. FINK: And how does that relate in terms of
8 total CFC release into the atmosphere? Does that rank
9 Number 1 or 2 or where does it rank?

10 MS. BIRGFELD: For this country, it does not.
11 For release into the atmosphere, it doesn't. We're still
12 dealing with the old CFCs that were produced prior to 1996,

13 and I guess the issue is that these are newly-produced
14 CFCs. So we're adding to the bank of CFCs that are in the
15 atmosphere.

16 One interesting thing. The MDI use for this
17 year is about one percent of the use from baseline which is
18 from 1987, but at the same time, this amount of CFCs are
19 about the same as some developing countries use in total.
20 So it's not an insignificant amount.

21 DR. SESSLER: Production in the U.S. How does
22 that compare to worldwide production?

23 MS. BIRGFELD: Of MDIs?

24 DR. SESSLER: Yes. In actually other forms of
25 ozone-depleting substances.

30

1 MS. BIRGFELD: Well, actually, to my knowledge,
2 all the CFCs are actually produced in the Netherlands, and
3 we import them for use in MDIs. I'm not entirely sure how
4 we --

5 DR. MEYER: Can I comment?

6 MS. BIRGFELD: Yes, that would be great.

7 DR. MEYER: Amongst my other hats, I'm actually
8 on the Aerosols Technical Option Committee for the United

9 Nations. So that's the committee that takes the first
10 crack at the nominations, and Europe had been substantially
11 higher than us in terms of their need because they not only
12 produce for internal consumption but export extensively.

13 The United States does not export extensively
14 in terms of MDI production. So Europe had been up in the
15 5,000 range as far as metric tons in terms of their
16 requests. They're now coming down so that their request is
17 very similar to the United States in recent years, and it's
18 projected to perhaps even cross in the future.

19 These nominations are for two years in advance.
20 So the 2001 nomination will be considered at this year's
21 meeting of the parties, and the United States Government is
22 preparing their nomination for 2002 or will be shortly.

23 MS. BIRGFELD: Questions?

24 DR. FINK: Since most use of CFCs is
25 encapsulated or recycled, what proportion of the release

31

1 into the atmosphere on a yearly basis do the MDIs
2 contribute?

3 MS. BIRGFELD: You know what? I don't know. I

4 can get back to you on that.

5 DR. NIEDERMAN: Do you have any estimate as to
6 what percentage of the total asthma inhaler use has CFCs in
7 it right now?

8 MS. BIRGFELD: I believe there's only one
9 alternative out there.

10 DR. NIEDERMAN: But in terms of percentage of
11 usage. In other words, there are some of the dry-powder
12 inhalers and other devices.

13 MS. BIRGFELD: Right.

14 DR. NIEDERMAN: Do you know in terms of total
15 usage what percentage is currently used with CFCs?

16 MS. BIRGFELD: I'll let the expert take that
17 one.

18 DR. MEYER: I think that I can give you sort of
19 rough estimates more than exact answers, but I think the
20 CFCs are still the large majority of the asthma market as
21 far as the inhalers go.

22 I don't really have a good handle for the
23 recent figures of the dry-powder inhalers, but my
24 impression is they've been moderately successful, but in
25 the overall scheme of things remain a fairly small

1 percentage, and the approximate market share in terms of
2 albuterol, of Proventil HFA, has been 8 to 10 percent of
3 that market, and albuterol's perhaps 50 percent of the
4 overall CFC use in inhalers in the United States.

5 DR. NIEDERMAN: So we could assume that
6 currently 75 percent plus probably of all asthma therapy
7 involves CFCs?

8 DR. MEYER: At least. I think that's a very
9 safe assumption. It's at least that.

10 MS. BIRGFELD: Dr. Fink, I'd like to direct
11 your question to my supervisor, Jeff Cohen.

12 MR. COHEN: I think, if I heard you ask what
13 the comparison in terms of emission rates between an MDI
14 and other uses of CFCs, most of the CFCs that are not used
15 in MDIs are recycled. Refrigerators, older cars. Those
16 emissions are controlled by service personnel and captured
17 and continued to be recycled.

18 So theoretically, none of that would be
19 released. In practice, some of it ultimately is,
20 unfortunately, but we know that all of the CFCs when used
21 as propellant in the MDI is released immediately to the
22 atmosphere.

23 I don't know if that answers your question. I
24 didn't quite catch all of it.

25 DR. JOAD: If CFCs in MDIs were the only source

1 of CFCs, what percentage decrease in ozone layer would
2 occur over what time?

3 MS. BIRGFELD: Do you know this?

4 DR. MEYER: Well, I think these are interesting
5 and in some ways important questions, but I think I do need
6 to emphasize, and I think I put it in the talking points as
7 well, that the decision by the U.S. Government and the
8 world community in fact, a 164 other countries besides the
9 United States, is that all uses of CFCs should be phased
10 out over time, and that's the international committee
11 commitment.

12 You know, if you look at any single use with
13 perhaps some glaring exceptions, they tend to look fairly
14 small, but when you've committed to the overall phaseout,
15 that's the commitment. So we're not really here to argue
16 whether that's a good thing or not. It is the way the
17 international community and the United States is going to
18 proceed. So we're more interested in discussing how to
19 best get there.

20 MS. BIRGFELD: Thank you.

21 DR. SESSLER: Thank you very much.

22 Our next speaker is Dr. Robert Meyer, Director
23 of Division of Pulmonary and Allergy Drug Products, and he

24 will be reviewing a number of different facets of this.

25 Bob?

34

1 DR. MEYER: I did fail to thank our EPA
2 colleagues for being here today, and I do thank you both.

3 I think that this is a collaborative effort
4 that we're undergoing here. We're both public health
5 agencies in some respects, although we do clearly have
6 different regulatory missions. But in any case, I think
7 that this process is best accomplished by what in fact the
8 EPA's Clean Air Act and their implementing regulations has
9 required, which is that FDA and EPA move forward with this
10 process in a consultative and collaborative way. So thank
11 you both for being here.

12 This slide will mainly, I think, be familiar to
13 federal workers, but we're in a season in the federal cycle
14 of what's called the Combined Federal Campaign, which is a
15 way that we get to donate from our paychecks to various
16 worthy charities and other non-profit organizations, and
17 it's led to a little bit of alphabet soup.

18 As you can see, they've got a very nice logo

19 supporting CFCs, and the reason I put this up is I was
20 preparing this talk the other morning, and I had gone down
21 to the cafeteria and was riding up with a woman who had an
22 Egg McMuffin-type sandwich in a styrofoam box, and on the
23 top of it, it said, "No CFCs," and she saw the CFC part of
24 it only. I guess she didn't see the word "no," and she
25 said, "You know, they're getting this CFC logo on

35

1 everything." So she was very impressed with that. But it
2 can lead to some confusion.

3 I did want to just before I talk a little bit
4 about the FDA history, I did want to talk briefly about why
5 this is an issue for the FDA because I think some of the
6 public comments that we received to our advanced notice of
7 proposed rulemaking reflected some very genuine concern but
8 some misunderstandings about the role of CFCs in inhalers,
9 and in fact in other products as well as Erin Birgfeld
10 mentioned.

11 Consumer aerosols have not had CFCs in them for
12 over 20 years. So we are talking about the last
13 substantive use of newly-produced CFCs in the United
14 States, and in fact, it's important to realize for those

15 who don't know it that the formulation within a CFC-driven
16 MDI inhaler is almost all, for practical purposes almost
17 all CFCs.

18 So the drug substance that we're talking about,
19 most of them are in the microgram quantities. So we're
20 really talking about a spray coming out of these that is 95
21 percent, if not more, and in some cases more like 99
22 percent, CFCs, and because one of their good properties is
23 they're pretty inert, other than how they act up in the
24 stratosphere, they are rapidly taken in to the lungs
25 through inspiration and then rapidly excreted or exhaled.

36

1 So in fact, for all intents and purposes,
2 everything that comes out of a CFC MDI is rapidly released
3 into the atmosphere. So one of the, I think, common
4 misconceptions amongst the public were that either there
5 was not a lot of CFCs in these inhalers to begin with or
6 that the body somehow took the CFCs up, and they were not
7 released into the atmosphere, particularly if you used a
8 closed-mouth technique.

9 Well, I think the ozone science was fairly

10 young when the FDA joined other arms of the government, and
11 in fact other public interest groups, in recognizing the
12 dangers that the emerging ozone science represented, and I
13 think some of the very early work that's subsequently led
14 to Nobel Prizes was published in the '73-'74 range, and by
15 1978, the FDA had already published a federal regulation in
16 final form that stated that CFC-containing products that
17 FDA regulated in any food, drug, device or cosmetic would
18 be considered misbranded or adulterated, unless it was
19 deemed essential.

20 For the most part, these products that were
21 deemed essential were products for inhalation for the
22 treatment of asthma and chronic obstructive pulmonary
23 diseases and other diseases where bronchospasm is a part of
24 the pathophysiology, and the determination of essentiality
25 was based on no technically feasible alternatives, that it

37

1 provided a substantial health benefit, and it actually
2 allowed for environmental benefit, although I'm not sure I
3 understand from 20 years on what they meant by that.

4 But in any case, it provided a substantial
5 public health benefit, and that the release of CFC were

6 either small or justified given the public health benefit,
7 and over the years, there was an original listing under
8 2.125, Part E, that had the essential use categories, and
9 over the years, new additions were added through petitions
10 and notice and comment rulemaking.

11 In other words, the agency would put out a
12 proposal that a new classification be added to the
13 essential uses. Public comment was received, and then the
14 agency proceeded accordingly.

15 The preamble to the final rule to 2.125 back in
16 1978 made mention to the fact that these essential use
17 listings were considered to be temporary. Everybody, I
18 think, felt that at some point in the future, reasonable
19 alternatives would exist, so that these products would no
20 longer meet the essential use criteria by which they were
21 added to the list.

22 However, despite that intention that these
23 listings be temporary, there was no formal removal process
24 that was put into that regulation, and I think Dr. Jenkins
25 did a very nice job of bringing people through some of the

1 background as it relates to the FDA's role in all this, but
2 clearly by the mid-1990s, particularly as some of the
3 alternative products were in the latter stages of testing,
4 it became clear that FDA needed to take a more active role
5 in the U.S. transition away from CFC use in medical
6 products, not perhaps as much -- and again not to get
7 confused about us accelerating the phaseout, so we were not
8 taking an active role to accelerate it, but to be
9 responsive and to fulfill our mandate under both the Clean
10 Air Act and the Food, Drug and Cosmetic Act.

11 Basically, as we moved forward, as we
12 envisioned being a part of this, the U.S. transition away
13 from CFC use, and in fact ozone-depleting substance use, we
14 saw a role for the FDA in the overall transition that was
15 as follows.

16 We thought as time went on, that we needed to
17 define acceptable alternatives to CFC-based MDIs. I think
18 it's fairly clear that the alternative propelled MDIs, such
19 as the approved albuterol sulfate using HFAs or
20 hydrofluoroalkanes, also you'll see those mentioned as
21 HFCs, hydrofluorocarbons, that those would be, I think, a
22 pretty neat fit as an alternative product.

23 They act very much in the similar fashion to
24 the CFC MDIs. There are some differences, but obviously
25 they're a very similar product.

1 We at least thought that for some patients, if
2 not all, that multidose dry-powder inhalers might fulfill
3 the role of being an alternative in terms of convenience
4 and effectiveness of the product.

5 I think we're fully cognizant as are others
6 that dry-powder inhalers in fact may have some down sides
7 in some patients, particularly these, by and large, are
8 patient-driven devices. So people with very low flow rates
9 may in fact have problems generating sufficient flow rates
10 on some devices to allow for full delivery, that being, for
11 instance, perhaps very young patients or patients with very
12 severe airways disease.

13 The other potential problem with dry-powder
14 inhalers is since many of them contain lactose as carriers,
15 they tend to be somewhat sensitive to moisture. So the
16 manufacturers need to design ways to protect their products
17 from moisture, and in some cases, once they're taken out of
18 their overwraps, they can be stable for reasonably short
19 periods of time compared to alternative propelled MDIs.
20 But again the manufacturers are aware of this and
21 responding to that.

22 But there are some limitations as far as the
23 neat fit of multidose dry-powder inhalers to MDIs, and then
24 there are other products that are in stages of development

25 that may in fact represent reasonable alternatives to MDIs,

40

1 and I won't spend a lot of time on those, but I think the
2 agency's fully aware that other products may be coming, and
3 that other products may in fact serve a very useful role as
4 alternatives as the technologies are perfected and
5 approved.

6 We certainly saw it as the agency's role to
7 monitor the availability of these alternatives for each
8 drug product and class as they're developed, and then
9 clearly to define criteria that would have to be met to
10 make determinations that products that are already listed
11 as essential under our regulations and therefore referred
12 to by the Clean Air Act regulations, a way to remove those
13 when they were no longer truly essential uses.

14 To do that, because, as I mentioned, the
15 original 2.125, our original regulation involving CFCs in
16 FDA-regulated products, did not have a clear means for
17 removing essential use listings, we needed to modify our
18 regulations that confer essentiality on the CFC products to
19 allow for them to be, if you will, taken off the list as it
20 is clear that they meet reasonable criteria for being no

21 longer essential.

22 Of course, as those products became available
23 and proved to be medically acceptable, we would then need
24 to go ahead and modify our essential use listing.

25 We wanted to do all this in a manner that

41

1 allows adequate time for public input, and certainly
2 involving the advisory committee where appropriate, such as
3 today, and again to work with EPA and other interest groups
4 to coordinate the U.S. efforts.

5 The overall transition away from ozone-
6 depleting substances is larger certainly than the FDA, and
7 I think there is certainly other components of the
8 government beyond both FDA and EPA that need to be brought
9 in and other components of the public in fact.

10 Just for those who don't live and breathe
11 regulatory processes, I thought I'd take a minute to go
12 through the rulemaking procedures that FDA and other
13 regulatory bodies go through.

14 The FDA is set up and basically has its
15 authority through the Food, Drug and Cosmetic Act, but

16 that, as broad as that is and as detailed as it is to read,
17 it is in fact just a framework for, in many ways, for how
18 we are supposed to act through our regulations, and it is
19 through the regulations that we implement that authority in
20 a way that is binding both on us and on the public.

21 So the FDA and the CA Act is sort of the
22 overall umbrella, and the regulations provide a more
23 detailed manner for which we and the public are meant to
24 proceed, and the usual pathway for creating a new
25 regulation is to publish it in the Federal Register in the

42

1 form of a proposed rule which means that we put out some of
2 our background thinking, and in fact what we call a
3 codified section, which contains the actual rule itself.

4 We then allow for a comment period, generally
5 in the 60-to-90-day range, for the public to respond to it,
6 and once we get those comments back, it's considered then,
7 thought about any changes that would need to be made to the
8 proposed rule. We then proceed to publish a final rule
9 which specifically answers the comments received during the
10 comment period.

11 Because we anticipated that this was an

12 important action from the public health standpoint, and
13 that there might be some controversy to it, and in fact
14 because we were really just taking our first best effort at
15 this, the FDA chose the additional step in this case of
16 what's called an advanced notice of proposed rulemaking,
17 which in essence kind of repeats the cycle, so that you do
18 an advanced notice of proposed rulemaking, comment period,
19 then you put out the proposed rule, another comment period
20 which we're in now, and then the final rule.

21 We did this as a way to allow for broad public
22 input prior to issuing any proposed rule in a manner that
23 we perceived would be the most fair and equitable.

24 So again the advanced notice of proposed
25 rulemaking or what I shall refer to as the ANPR was the

43

1 initial proposal for modifying the FDA regulations to allow
2 for the removal of the essential use status of products
3 currently listed in our regulations when appropriate, and
4 that was published for comment on March 7th, 1997.

5 To go through it very briefly as far as what
6 the codified section of that announcement notice of

7 proposed rulemaking said, we proposed four criteria that we
8 would need to consider and that would need to be fulfilled
9 for a CFC product to be no longer considered essential.

10 We, first of all, wanted there to be adequate
11 alternatives, and I will get more into this in a minute,
12 but we thought there would be a variety of ways that one
13 could state whether there were adequate alternatives and
14 not moiety-by-moiety, a class approach or a hybrid of the
15 two.

16 We certainly would want to know that there are
17 adequate production capabilities and supplies of the
18 alternatives to meet the needs of the population that
19 medically depend on these products.

20 We'd want to know that there's adequate patient
21 acceptance. I think that it is important to realize, and
22 I'm sure most of you all do, that the drug approval process
23 does assure that the product is sufficiently safe and
24 effective for its intended use, but that's rather different
25 from knowing that in millions of patients, it will provide

44

1 them the same kind of benefit or use as the current CFC MDI
2 products.

3 And then, finally, we had proposed in that ANPR
4 that if those top three criteria were met, that we felt
5 sure there were adequate alternatives, there were adequate
6 production capabilities and supplies, and there was
7 evidence of adequate patient acceptance, that we would
8 presume that all important subgroups were served, unless we
9 had evidence to the contrary.

10 Let me spend a little bit of time talking about
11 the ways one might define adequate alternatives. The first
12 one up here was not in our ANPR. We did not consider it,
13 and I'm not sure that it's actually a very viable way to
14 proceed from the United States' perspective, but that would
15 be a product-by-product basis. So that if a particular
16 product, and I will just use one, like let's say Ventolin
17 were reformulated, that you would only take away the
18 essential use listing for the Ventolin CFC if there were a
19 Ventolin HFA available, and you would not consider any
20 other drugs containing the same moiety, albuterol, or other
21 drugs in that therapeutic class.

22 This seemed to have particular problems in that
23 it in many ways seemed to reward manufacturers who were not
24 moving forward with the transition process and would leave
25 a lot of products on the market for perhaps a longer period

1 of time than really justified from a public health
2 perspective.

3 It also was quite different from the way the
4 current regulation listed essential uses. It didn't list
5 products, it listed moieties in essence, or in some cases
6 therapeutic classes.

7 Then three ways that the ANPR proposed we do go
8 would be the moiety-by-moiety approach, which means in
9 essence drug substance-by-drug substance approach. The
10 reason we have to use the word "moiety" there is for FDA
11 purposes. Albuterol and albuterol sulfate are technically
12 different drug substances, although they are the same
13 active moiety, and we do consider albuterol and albuterol
14 sulfate to be essentially the same for these purposes. So
15 I'm going to be using the term "moiety" here, but you can
16 take that to mean the same as a drug substance.

17 So that would mean that when there was
18 sufficient alternatives for any one drug moiety, for
19 instance beclomethasone, that you would then invoke the
20 other criteria and then make the determination whether that
21 use of CFCs was still essential or not, regardless of what
22 else was going on in that therapeutic class.

23 So if we were talking about the case of
24 beclomethasone, you would ignore what was happening with
25 any of the others, with fluticasone or with triamcinolone,

1 any of the other inhaled corticosteroids.

2 Partly as a response to the way the current
3 regulations are modified and partly for reasons of feeling
4 like there can be some crossover use within well-defined
5 classes, we also proposed that we might take a therapeutic
6 class approach.

7 In essence, there were three classes of
8 therapies listed in our current regulations or there are,
9 and those would be in more modern wording than was
10 published in 1978, the inhaled corticosteroids, the
11 intranasal corticosteroids, and then the bronchodilators or
12 the adrenergic bronchodilators.

13 So we thought particularly for the inhaled
14 corticosteroids and the shorter beta agonists, that one way
15 to potentially proceed would be to do this on a therapeutic
16 class approach.

17 In other words, if you were to take the inhaled
18 corticosteroids, when you had products representing at
19 least two of the moieties within that class, where there
20 were at least three distinct products, two of which had to
21 be MDIs, we thought that it might be reasonable at that

22 point to invoke the other criteria, and if those were all
23 met, then to take away the essential use listing for the
24 entire class.

25 One thing that the therapeutic class approach

47

1 does, at least for these two distinct categories, is it
2 allows for dealing with some products that might have
3 reasonably small markets and where the manufacturers were
4 not perhaps interested in reformulating for their own
5 purposes, for either economic or other considerations.

6 It would be a way to assure that the -- when it
7 made sense to remove the essential use listing for the
8 entire class, that that would happen, and some products,
9 even if they were not reformulated, might lose their
10 essential use status.

11 Finally, laying out the moiety-by-moiety
12 approach and the therapeutic class approach, it also seemed
13 reasonable to offer the alternative of what we would call
14 the hybrid approach, which is to do the moiety-by-moiety
15 approach on every drug substance that's currently included
16 in the essential use listing, but when the therapeutic
17 class criteria was met, we would also act on that.

18 Again we had the advisory committee meeting
19 regarding the ANPR on April 11th, 1997. As Dr. Jenkins
20 mentioned this morning, we had substantial interest in
21 public comments at that point. We had about 24 or 25
22 people offer their suggestions or comments to the agency as
23 well as receiving important advisory committee input, and
24 we also were quite successful in terms of gaining public
25 comments. We in fact received over 9,800 or in the range

48

1 of 9,800 comments, which is one of the larger numbers of
2 comments to the dockets, certainly in the Center for Drugs'
3 history. So it was quite a vigorous response.

4 Many of these were patient-generated comments,
5 and while reflecting very real concerns on the part of the
6 patients, they often represented somewhat incomplete
7 information or understanding of what we were actually
8 proposing at that point.

9 In addition to these patient-generated
10 comments, we also received very broad input from various
11 professional organizations, from the regulated industry,
12 patient advocacy groups, environmental groups, and other

13 important constituencies in this matter.

14 The advanced notice of proposed rulemaking also
15 led to several congressional hearings, and we certainly
16 received input from other components of the government in
17 terms of considering this.

18 Once the docket closed, the CFC work group set
19 about the rather large task of reviewing each and every
20 comment because we did review each and every comment and
21 responding accordingly, and we tended to have some baskets
22 of comments that we thought, like many of the patient
23 comments, represented very real concerns and things we
24 should answer because they tended to form themes in a
25 thematical way, and then we had rather more substantive

49

1 comments that were from again patient advocacy groups,
2 professional organizations, the industry and so on, and I
3 think to sum up some of the substantive opposition that we
4 received, there was substantial concern about our proposal
5 to operate or to proceed in using a therapeutic class
6 approach.

7 I think many people or many commenters saw this
8 as being too restrictive, as being anticompetitive, and in

9 fact I think some people saw it as being antipatient, that
10 if a patient were doing well on something that was not
11 being reformulated but, you know, other things in the class
12 had met the criteria, and the criteria in addition were
13 met, that patient would be subjected to perhaps losing
14 their inhaler at some future date despite them not being a
15 direct alternative.

16 I'm not going to spend a lot of time on this,
17 but there was some concern about the misbranded and
18 adulterated provision which exists in the current
19 regulations, where if a product was no longer considered
20 essential, it would be considered misbranded and
21 adulterated under the Food, Drug and Cosmetic Act, which is
22 sort of the most Draconian wording of the FD&C Act and
23 basically makes it illegal under FDA law to sell the
24 product.

25 I think these commenters felt like perhaps we

50

1 would make the determination better under the Clean Air
2 Act.

3 As Dr. Jenkins earlier mentioned, there was

4 concerns about accelerating the phaseout, and I think, as
5 Dr. Jenkins said, we certainly want to be responsive to the
6 changing environment. Maybe I shouldn't use the word
7 "environment," but responsive to the transition as it goes
8 on, but we do certainly see as the FDA, as our primary
9 mission, protecting the health of the patients who rely on
10 these products throughout the transition process and not
11 accelerating the phaseout.

12 There have been concerns raised about the
13 patient access and cost concerns as the transition
14 continues, and, finally, there were concerns about how some
15 of the rather general criteria that we laid out for
16 consideration when products were no longer essential, how
17 those would be specifically evaluated.

18 I'm going to pause there and turn the
19 microphone over to Ms. Leanne Cusumano from our Regulatory
20 Policy staff. I think we'll save questions as far as
21 Leanne's presentation and my presentation until after
22 Leanne's presentation.

23 MS. CUSUMANO: I'm Leanne Cusumano. I'm with
24 CDER's Regulatory Policy. I'm a regulatory counsel there,
25 and for those who've seen the proposed rule, my name's

1 listed there in the contact section, and I worked with Dr.
2 Meyer and the CFC working group to review all of the
3 comments and to be responsive and to put together the
4 proposed rule, and Bob brought us to the present and where
5 we are, and you'll see a little bit of a development of how
6 we ended up with the proposed rule.

7 I'm going to talk about three major provisions
8 of the proposed rule. First, how we propose to eliminate
9 essential uses; second, the possibility of adding new
10 essential uses; and, third, what kind of enforcement will
11 be taken under the proposed rule.

12 First, and I put this first because I think
13 it's what most people are interested in, the question of
14 how are we going to eliminate essential uses under the
15 proposed rule, and Bob went through the three alternatives
16 that we've laid out in the advanced notice of proposed
17 rulemaking, and based on the comments and based on
18 consideration of how this would work best, we selected the
19 moiety-by-moiety approach, which, as Bob had explained, is
20 drug substance-by-drug substance. Basically we have a
21 technical definition in our regulations for moiety.

22 We talk about supplies, post-marketing data and
23 how patients are served, and I'm going to step through each
24 of these step-by-step.

25 First, in addition to having moiety-by-moiety,

1 we are also looking at products delivered by the same route
2 of administration with the same indication and
3 approximately the same level of convenience of use. These
4 are all factors that patients have expressed as being very
5 important to them in their ability to use their asthma or
6 COPD treatments adequately.

7 In terms of supplies, we want to have supplies
8 and production capacity that exists or will exist at levels
9 sufficient to meet patient needs. It's no good if an
10 alternative exists, and the patients are not able to get
11 it. So we want to make sure the manufacturers are able to
12 get the product to the patient in adequate levels.

13 Third, we want to be able to look at at least
14 one year of United States post-marketing data, and although
15 we're interested in looking at foreign data, we also want
16 to see the U.S. data because U.S. populations are
17 different, our health care system is different, the foreign
18 data would be supportive, but, in particular, we're
19 interested in U.S. data, and one of the specific things
20 that we asked for in the proposed rule was for people to
21 tell us what kind of post-marketing data we should be
22 looking at.

23 We have some general ideas, but we're

24 interested in hearing what other people think we need to
25 look at, and, finally, how are patients served? We want to

53

1 know that patients who medically require the ODS, the
2 ozone-depleting substance product, the CFC MDI, are
3 adequately served by available alternatives, and I put the
4 word "all" in there because we're not looking just at
5 necessarily the product containing the moiety that's
6 replacing it but at the whole market of available
7 alternatives, and again we asked for comments, for people
8 to tell us how we can make this determination.

9 Okay. So we've got that list of things, of
10 items. Those four factors are what we're going to look at
11 in determining whether we're going to eliminate an
12 essential use.

13 We also have three other factors that we are
14 going to look at. These are ors. If any one of these four
15 criteria are met, then we will put out a proposal to remove
16 an essential use. We talk about what's going to happen
17 after January 1st, 2005, what happens if a product is no
18 longer marketed in a CFC formulation, and then about nasal

19 steroids, and let me walk through each of those.

20 January 1st, 2005. As both Erin and Dr. Meyer
21 had said, the essential use exemptions were never meant to
22 be permanent. So the question is: when are we going to
23 accomplish the phaseout? When is it going to happen?
24 Well, we don't know when it's going to happen because it's
25 really very dependent on what products are in the pipeline,

54

1 but one of the things that we thought is, after a certain
2 period of time, and in this case, we picked 2005, we would
3 look at the products that are on the market that are still
4 unreformulated, and we would say, okay, do we still need
5 that unreformulated drug, and we would make that
6 determination by looking at the original essential use
7 criteria.

8 Basically does it still meet those criteria,
9 which are, there's still substantial technical barriers to
10 reformulation. Does that still exist? Does the drug still
11 provide an important public health benefit? And is the
12 release of the CFCs still warranted or not significant?

13 If the answer to those questions are no, we can
14 reformulate or there's no important public health benefit

15 or the release is not warranted, then we would consult with
16 an advisory committee in an open public meeting and say
17 should we propose the removal of this product, even though
18 it hasn't been reformulated? So that's what the 2005 date
19 is about, and again I emphasize this is an or.

20 We can either go by the four criteria I talked
21 about first, moiety-by-moiety, with the patient service and
22 all those other things, or after 2005, we can do it this
23 way.

24 Now, what about if a drug is no longer
25 marketed? In the proposed rule, we are suggesting removal

55

1 of the essential use listings for products no longer
2 marketed, and there's four products that are listed right
3 now in our current regulations that are no longer marketed
4 in a CFC formulation, and they're these four:
5 contraceptive vaginal foams, intrarectal hydrocortisone
6 acetate, and I'm not going to describe polymycin beta
7 sulfate-bacitracin zinc-neomycin sulfate soluble antibiotic
8 powder without excipients, metered-dose nitroglycerin human
9 drugs administered to the oral cavity.

10 These are all for human use. So we're
11 proposing to remove these essential use listings. The
12 parties to the Montreal Protocol have not granted use of
13 CFCs for these products in years, if ever, and they're not
14 on the market in a CFC formulation. So there's really no
15 point in having them in our regulation.

16 The other proposal that we are making is to
17 remove the essential use listing for nasal steroids. Why?
18 We're proposing that there are adequate alternatives out
19 there without the CFC formulations. Also, that there's
20 widespread use of those alternatives, sufficient supply.
21 The manufacturers have been making them, and the patients
22 have been able to get them, and again the parties to the
23 Protocol have not allocated CFC use for these products
24 ever. So if they're being manufactured at all, they're
25 being manufactured with pre-1996 chlorofluorocarbons.

1 There's no new production of CFCs for these products.

2 In the proposed rule, we asked specifically for
3 comments on the timing of the removal of the essential use
4 allocation for nasal steroids, and what we proposed is that
5 one year from the date we finalized the rule is the date

6 that the rule would go into effect.

7 So you know, we're at the proposed rule stage,
8 in the comment stage. We have to read the comments, take
9 them into account, publish a final rule, and one year from
10 that date would be the date we would remove the essential
11 use listing for nasal steroids.

12 So those are the four ways we could remove an
13 essential use from our regulation. Either it meets those
14 four initial criteria under the moiety-by-moiety approach,
15 it's no longer marketed or after January 1st, 2005, the
16 total market is sufficient to serve patients or with the
17 nasal steroids, if they fall in the nasal steroid class.

18 So how do we add new essential uses? Well, we
19 know that addition of a new essential use had better meet
20 some pretty tough criteria because otherwise, they're not
21 going to get CFCs for that year from the parties to the
22 Protocol.

23 So the criteria we look at, we're proposing to
24 look at would be that there are substantial technical
25 barriers to formulating the product in a non-CFC or a non-

1 ozone-depleting substance formulation, that this product
2 provides an unavailable important public health benefit,
3 that you can't get it from some other non-ODS drug, and
4 that either the release of the ozone-depleting substance is
5 not significant or that it's warranted in light of the
6 public health benefit and kind of the theoretical example
7 that we toss around is, well, what if we found a cure for
8 AIDS that could only be formulated in CFC use? You know,
9 you'd want to have some kind of mechanism in place for that
10 kind of eventuality.

11 So let's say we go ahead and make all these
12 changes. We implement the proposed rule. How's this going
13 to work? Right now, in our regulation, we have adulterated
14 and misbranded provisions, and that's been in that
15 regulation since the 1970s, like Bob talked about, but the
16 primary enforcement for this would be under the Clean Air
17 Act, and EPA regulates products from all around the
18 country, all kinds of different agencies.

19 Even though they don't regulate the product
20 itself because it deals with an environmental issue, they
21 have the authority under the Clean Air Act to take
22 enforcement action against the product if it doesn't comply
23 with the Clean Air Act, and that would be the primary
24 means.

25 What does that mean in simple speak? It means

1 that is you're not an essential use in FDA's regs, you
2 would not be able to market your product in the United
3 States anymore under the Clean Air Act.

4 As Bob said, we got quite a number of comments
5 on the advanced notice of proposed rulemaking, kind of woke
6 us up and said we need to get more information out there,
7 so people know what we're doing and have accurate
8 information.

9 One of the things we did was develop a web site
10 at this address. We've also brought it to the advisory
11 committee. We want people to have the opportunity to
12 comment. We had a conference call with interest groups on
13 the date the proposed rule was published, September 1st,
14 1999, to make sure that they and their constituents know
15 what we're doing and know accurately what we're doing, and
16 also we worked with them at their request to help them in
17 writing articles or in any way that we can in disseminating
18 accurate information.

19 So far, and I have to update this because on
20 Friday, I got one more, we've gotten four comments on the
21 proposed rule. Let me tell you the comment period closes
22 on November 30th, and in my experience, we routinely get
23 comments on the last day, particularly from big companies
24 or interest groups, that kind of thing. So I'm sure we

25 will be getting more, but so far, we've gotten four. One,

59

1 parents of an asthmatic, two doctors, and the other one was
2 from the Service Employees International Union, a branch of
3 AFL-CIO.

4 Overall, the comments have been very positive.
5 There is still concern about the cost of replacement
6 products. A statement that switches can be difficult,
7 which we all know, and that's part of the reason that we
8 are so involved in public outreach and public education.

9 So I'm more than happy to answer any questions.
10 I'm sure Bob is, too.

11 DR. SESSLER: Questions?

12 DR. GROSS: How was the date 2005 arrived at?
13 Was that arbitrary? I'm just wondering is that too far in
14 the future or maybe not far enough? Was there a response
15 reaction by industry?

16 MS. CUSUMANO: I haven't heard one yet. I
17 think it was pretty arbitrary. It's not necessarily that
18 on January 1st, 2005, we will do this, but that we can, and
19 we won't do it before that.

20 I think Bob wanted to add to that. I'm sorry.

21 DR. MEYER: Yes, I did want to comment on that
22 because for folks who are more intimately involved in the
23 Montreal Protocol process, there's also been some language
24 through some of their technical and economic assessment
25 panels and so on about most of the transition being

60

1 complete in developed countries by 2005, and we didn't
2 choose 2005 to marry to that date, but we were trying to
3 project from the United States standpoint when might we
4 have enough products to start thinking about some of these
5 other products that remain on the essential use listing but
6 really are not being reformulated, may not be still meeting
7 the criteria for essentiality, and it happens to coincide
8 by that date, but it wasn't meant to marry to it because I
9 think, quite frankly, for the United States, 2005 will be
10 sort of mid-transition, not late transition.

11 I think that we'll still have some use of CFCs
12 at that time point, and again as Leanne said, it's really
13 important to understand. From that day onward, we would
14 have that pathway for us, but it's not like we're going to
15 be convening this group on January 1st, 2005, to wipe out

16 the rest of the essential use listings.

17 DR. GROSS: But you didn't get a reply from
18 industry?

19 DR. MEYER: We haven't heard any yet. At least
20 we've got public comments coming today.

21 DR. FORD: One of the criteria for
22 determination of non-essentiality is the presumption that
23 all subgroups, including young children and people with
24 very low air flow, would be served.

25 Now, what is the process for obtaining the

61

1 evidence that in fact that is the case? Because I believe
2 in your presentation, you said unless there's evidence to
3 the contrary. How will you obtain the evidence, and how
4 will you evaluate it?

5 DR. MEYER: Yes. I think that's an important
6 point because some of what we're proposing here, as Leanne
7 spoke to and as I alluded to as well, are still fairly
8 general ideas, and I think the -- particularly what's now
9 come to be the criteria that patients' needs are met, that
10 those patients who medically rely on these products, for us
11 to know that their needs are being met.

12 We have some ideas on that, but we're not
13 entirely set on a pathway for us to evaluate that, and
14 that's actually something we'd be very interested in the
15 committee's opinion on.

16 DR. JOAD: In your original class rules, when
17 you did it by class, you required that two of the
18 alternatives be MDIs, but then when you went to the moiety-
19 by-moiety, you don't have anything about that.

20 Is there a reason why you didn't include that
21 or were you thinking of including that in your hybrid?
22 What happened with that?

23 MS. CUSUMANO: I think that, and we say this in
24 the proposed rule, that we expect that generally, the ratio
25 will be MDI for MDI, but technology's progressing, and

62

1 there may be alternatives coming that are better than MDIs
2 or just as good as MDIs, and we didn't want to lock in to
3 requiring an MDI when there might be something even better.

4 DR. NIEDERMAN: If you take the moiety-by-
5 moiety approach, does that discourage developing
6 alternatives for any patented moiety? In other words,

7 what's the incentive for somebody who has a patent on a
8 specific moiety, nobody else can produce it, they have an
9 MDI? If the criteria is that there has to be a replacement
10 for it, what's the incentive to develop that replacement
11 since there's no competition?

12 MS. CUSUMANO: I mean, that's true generally,
13 that any time you've got --

14 DR. NIEDERMAN: And there's pressure to
15 develop.

16 MS. CUSUMANO: I don't know if there is more
17 pressure in the class approach than there is with this
18 because people know that the phaseout's coming. Either
19 you're going to develop it or eventually --

20 DR. NIEDERMAN: But you're allowing -- I mean,
21 I can conceive that if I have a unique and highly effective
22 product, I'm going to get the essential exemption, and
23 there's absolutely no incentive to go through the cost in
24 developing an alternative, as long as the moiety approach
25 is used.

63

1 DR. MEYER: I guess I'd say two things to that.
2 I think that it is clear, and it's important, that we

3 emphasize repeatedly, along with the EPA, that these
4 essential uses for CFCs are on a year-by-year basis now,
5 and that they are intended to eventually go away. So
6 hopefully at least the manufacturers have that knowledge.

7 But I guess one thing that we realized about
8 the moiety-by-moiety approach, although it clearly was --
9 the message we got was that was the best way to proceed.
10 It allows for -- well, it does not allow, I should say, for
11 sort of a neat cleanup of these products that aren't being
12 reformulated, particularly sort of the ones that have the
13 very small market, and there really is not an economic
14 advantage to a manufacturer to reformulate it, and that's
15 really why we came up with this approach, that at some date
16 in the future, we would need to start looking at the market
17 and all the available treatments and see whether the public
18 were being served, even if that particular moiety wasn't
19 being reformulated.

20 DR. NIEDERMAN: But I think the danger is if
21 you have one of these products that has a big market, and
22 again as long as it's a unique product without competition,
23 and it has a big market, it's probably even less reason for
24 them to reformulate it.

25 DR. MEYER: Yes. I think the other thing that

1 is difficult in this kind of forum to talk about is that we
2 are writing this with a fair amount of foreknowledge about
3 what is being reformulated and what's not.

4 We know the pipeline pretty well. So I guess
5 it's always so uncomfortable as a regulatory body to say
6 trust us on this, but we did write this with a reasonable
7 knowledge of what the pipeline is.

8 DR. SESSLER: Dr. Vollmer, you were waiting
9 patiently earlier.

10 DR. VOLLMER: I think it's been answered.

11 DR. SESSLER: If I may ask, cost is certainly
12 something that's an important issue and obviously ties into
13 Dr. Niederman's question.

14 What are the strategies to address that in
15 terms of older products that may be reasonable substitutes
16 and yet optimal, particularly within the next five or six
17 years?

18 MS. CUSUMANO: One of the things that industry
19 has told us is that for name brand products, they expect
20 the replacements to be about the same price as other name
21 brand products.

22 So if you've got a name brand and a name brand,
23 you're talking about approximately the same price. So that
24 wouldn't be a cost problem. The only product that has a
25 generic out there right now is albuterol, and that's a

1 question, because the generic is less than the innovator
2 product. How is that going to affect the market? I don't
3 know if we have a good answer for that yet.

4 DR. MEYER: No. I think another thing that we
5 would welcome committee thoughts on is how much of a
6 barrier to access is cost.

7 DR. SESSLER: Right.

8 DR. MEYER: I think that that's an important
9 issue for us to consider. So I'd very much welcome
10 committee comments on that.

11 DR. NIEDERMAN: Is the HFA propellant patented
12 or is that available widely?

13 MS. CUSUMANO: It is patented.

14 DR. NIEDERMAN: So again, you could not get
15 generic albuterol HFA?

16 MS. CUSUMANO: Not right now, you can't. But
17 there's no barrier to innovation.

18 DR. GROSS: Can I ask a question about non-
19 safety or hazards of alternatives? It occurs to me that
20 for the first 20 years or so of CFC use, they were thought
21 to be ideal agents with no medical problems, and

22 environmental problems weren't known about at that time.

23 But what do we know about HFAs or other
24 alternatives in terms of these long-term possible risks? I
25 mean, obviously I understand that right now, they're

66

1 believed to be safe environmentally, unless I'm wrong, but
2 I mean, how can we be sure that the alternatives that are
3 being developed don't actually have some worse impact than
4 CFCs?

5 I mean, you know, I understand this is very
6 difficult to predict, but one doesn't want to jump out of
7 the frying pan and into the fire.

8 MS. CUSUMANO: And I can answer part of that,
9 and maybe I'll ask Bob to answer after, too.

10 First, part of the development program is we're
11 using the same moieties that we've been using for years and
12 years. So then you're just talking about the interaction
13 between whatever propellant you're using and the product,
14 and there has been extensive testing on HFA.

15 I know IPAC's been involved in it. We've got
16 quite a lot of data, much more data than we ever got on
17 CFCs before we started marketing them is my understanding,

18 and as far as environmental impacts, I think we know that
19 HFA has a very small impact, and it's something that's
20 considered acceptable.

21 So I think we're at a better knowledge level
22 than we were with CFCs.

23 DR. MEYER: Yes. Let me pick up on that, and
24 I'll invite the EPA to comment as well.

25 As Leanne said, the testing for the HFA was in

67

1 fact very extensive, and in fact, the pre-clinical testing
2 in terms of the toxicology and so on was as extensive as
3 what would be done for many new drug products. In fact,
4 more extensive than what might be done for some new product
5 drugs.

6 I think the FDA felt that was very reasonable
7 given the type of chronic use that these get, and the fact
8 that they represent such a large proportion of the
9 formulation.

10 As far as the HFAs go, they have no ozone-
11 depleting potential at all. So they're very good in that
12 standpoint. They do have some global warming potential,

13 and in fact, HFAs are amongst the gases that are proposed
14 to be controlled under what's called the Kyoto Protocol or
15 basically the Greenhouse Gas Protocol.

16 But there's some important things to bear in
17 mind there. One is that the HFAs are actually less potent
18 global warmers than the CFC alternative or the CFCS they're
19 meant to replace. So in fact, from the global warming
20 standpoint, they're a better trade-off because the CFCs
21 have more potency.

22 The other thing is the difference between the
23 Kyoto Protocol and the Montreal Protocol is quite
24 substantial in terms of the Kyoto Protocol is talking about
25 controlling greenhouse gases and not eliminating them, and

68

1 so the Montreal Protocol is talking about the elimination
2 of ozone-depleting substances. The Kyoto Protocol is
3 talking about an overall control, and I should point out
4 that, to my knowledge, it's not been ratified by the United
5 States, but the countries have the option of how they sort
6 of get to their target.

7 So if we can do that by trading off carbon
8 dioxide for HFAs, it does allow for sort of a neat

9 balancing, and so that, as far as I'm aware, is the only
10 known consequence right now from the environmental
11 standpoint of HFAs, and I don't see it as a major hurdle
12 for the future.

13 I think people realize that this technology,
14 the HFA MDIs, is very important, that there's been a lot of
15 industry outlay of capital to develop them, and I think
16 that because of the way the Kyoto Protocol's structured,
17 even if it were ratified, it wouldn't put the MDIs at risk.
18 I'd welcome EPA comments on that.

19 MR. COHEN: I don't think there's anything that
20 we can add to what Bob just said. There was also some
21 interest in these HFAs or HFCs as a risk in terms of
22 refrigerant use, and there have been other clinical studies
23 looking at exposure to folks. I think they were conducted
24 in Europe recently, in the Netherlands, and those turned
25 out to be clean.

69

1 So we're very comfortable with any health risks
2 associated with these --

3 DR. GROSS: Are you going to be monitoring that

4 in the future? The risks, possible risks using
5 alternatives? I mean, is that something you follow year-
6 by-year and make sure that there isn't some kind of hazard
7 that's becoming apparent or having approved an alternative
8 like HFA, you just say okay, that's it, wait until
9 something shows up?

10 DR. MEYER: Well, I think from the FDA's
11 standpoint, that we feel quite comfortable with the pre-
12 clinical testing that we have and in fact the human testing
13 that we have.

14 I think sort of in the back of our minds as far
15 as these formulations overall, including the HFAs, part of
16 the reason we wouldn't just make a determination that it's
17 a particular alternatively-propelled MDI as a suitable
18 alternative the day it's approved is because there are
19 questions about how patients will react to the formulation
20 overall, and I think that we will be interested in
21 monitoring that in total, and I don't think we have a lot
22 of concerns about the HFA health because we've seen some
23 very good data about their safety, and they are reasonably
24 inert compounds.

25 But we have the overall question about how

1 these do in the wider use, and that's at least a small part
2 of that.

3 DR. SESSLER: Did that answer your question,
4 Dr. Gross? Did you have EPA implications with your
5 question as well?

6 DR. GROSS: Are there any?

7 MR. COHEN: I think, as Bob said, we're pretty
8 comfortable with the completeness of the data that's been
9 collected so far. There have been no reports, no anecdotal
10 indications that any exposure to HFAs in other uses have
11 caused any problems.

12 DR. MEYER: Actually, let me make one more
13 point on that just before we turn to the next question, and
14 that is that, as Leanne said, there was not this level of
15 testing when CFCs were first used, and in fact, in some of
16 the head-to-head toxicology testing, HFAs also looked like
17 they had an advantage over CFCs. Particularly some of the
18 CFCs at very high exposure levels caused cardiac
19 arrhythmias, and the HFAs seem to have less propensity than
20 at least one of the CFC propellants in terms of that.

21 DR. FINK: With at least one product, albuterol
22 HFA, it's been available for years. You said it had about
23 8 to 10 percent of the market. It seems like voluntary
24 application of these rules may not be adequate to drive the
25 market, that there may need to be some sort of tax or

1 disincentive on CFC products because if you take the
2 example of albuterol, where there is an acceptable
3 reformulated product available, it's not being prescribed
4 and used, even though studies have shown it may be superior
5 to the CFC-containing inhalers in terms of clinical
6 efficacy.

7 MS. CUSUMANO: Albuterol's kind of in a unique
8 situation, and that's kind of the reason that having that
9 one as the alternative first is maybe not predictive of the
10 rest of the market just because albuterol does have
11 generics.

12 What we've seen in some other countries, in
13 some of the European countries, where you've got moiety-by-
14 moiety replacements, is that there's a faster change in
15 part because the company's not interested in having two
16 production lines.

17 So it'll be interesting to see what happens
18 here, but I'm not sure that albuterol's the model for it.

19 DR. MEYER: You know, I think the other thing I
20 would say to that comment is that the FDA is only part of
21 the overall U.S. transition process, as is the EPA, and I
22 think that there are other ways the government has to look
23 at this and figure out the best way to proceed in terms of

24 incentives to the transition and accomplishing the
25 transition.

72

1 So I think we're trying to do our best part
2 from what we're being called to do, but issues, such as
3 cost and, you know, the government perhaps stepping in to
4 facilitate the transition in terms of the payment system
5 and so on, are really beyond the purview of the FDA.

6 DR. SESSLER: Dr. Apter?

7 DR. APTER: I was wondering, with albuterol,
8 there's a propellant that's somewhat comparable to CFCs
9 with the inhaled steroids and also with the nasal steroids.
10 The propellants, I believe, for the inhaled steroids, the
11 propellants are in development. For nasal steroids,
12 there's not a comparable propellant on the way.

13 I think that the delivery system for aqueous
14 versus gaseous propellants are very different.

15 DR. MEYER: There's actually two propellants
16 that have been developed, being HFA or HFC-134A, which is
17 in the currently-approved Proventil HFA product. The other
18 one that is being put forward as a reasonable

19 pharmaceutical alternative propellant would be the HFA or
20 HFC-227EA, and it really is the choice of the manufacturer
21 as to how to best reformulate.

22 It's just step back for a second and say it's
23 been a very technically-challenging process for the
24 manufacturers because the different solvent capabilities of
25 the gases that we're talking about, because of different

73

1 vapor pressures and so on, it's really required a
2 reengineering of the MDI. It's not just taking out CFC-11
3 and 12 and putting in HFA-134A or 227EA. It really
4 represents reengineering the product substantially, and
5 that's been a big technical barrier.

6 But there's no a priori reason why any of the
7 current MDIs could not be reformulated. There are some
8 challenges to doing that, but in one of those two gases,
9 and I think that we would anticipate that such products
10 will continue to be developed, both for nasal and oral
11 inhalant.

12 MS. CUSUMANO: This was something I didn't
13 include in my presentation, but we've got it in the
14 proposed rule. There's only three active moieties marketed

15 as a nasal steroid, beclomethasone, budesonide and
16 triamcinolone, and beclomethasone and triamcinolone are
17 also marketed in non-CFC formulations.
18 So really, it's just budesonide that there's no aqueous
19 solution for.

20 DR. MEYER: Actually, since the proposed rule,
21 there is now.

22 MS. CUSUMANO: There is now?

23 DR. MEYER: Yes.

24 MS. CUSUMANO: Okay. So.

25 DR. SESSLER: Dr. Kelly, and then Ms. Conner.

74

1 DR. KELLY: Going by the moiety-moiety
2 approach, just reflecting back on the albuterol HFA, there
3 was an attempt, I think, when it was produced to make it
4 essentially equivalent to the CFC product, but in the
5 existing approach, it seems like, and I think it's a good
6 idea, that the new products don't have to be equivalent.

7 In other words, two puffs equal two puffs, and
8 so if a new product that's being developed delivers more
9 drug, for instance, so it could be used as one puff in

10 replacement of two puffs twice a day or whatever, that that
11 would be an acceptable alternative, and so the moiety-to-
12 moiety approach is sort of giving away to the equivalency
13 approach.

14 DR. MEYER: Yes. I think we have never really
15 proceeded with an equivalency approach. I think actually
16 some other regulatory bodies have used more of sort of a
17 bioequivalency approach to all this, but I think we've
18 realized that there may be differences in the products and
19 maybe by design or maybe by happenstance, but we've allowed
20 for that, and if you consider the criteria that are in our
21 notice of proposed rulemaking or were in our ANPR, we never
22 really called for it being a direct one-to-one switch for
23 that reason.

24 We wanted to allow for some either intended or
25 unintended differences, although certainly in the case of

75

1 unintended differences, we wanted to have enough data to
2 understand what those differences were before we'd consider
3 an adequate alternative.

4 MS. CONNER: It just seems to me that there's
5 an overall acceptance that the transition is going to be to

6 an HFA-type inhaler, and I wondered with this pipeline
7 knowledge that you have, if you see any other trend towards
8 different delivery devices.

9 I know we have dry-powder inhalers and other
10 type actuated systems that, unfortunately, they are
11 affected by inspiratory flow, but do you see other
12 pharmaceutical companies or even some of the generic
13 companies looking at new delivery mechanisms as opposed to
14 new propellants?

15 DR. MEYER: I think it's clear that this whole
16 transition process has been a signal to some companies to
17 think about other ways of delivering the drugs for
18 inhalation that are as roughly convenient as MDIs but
19 perhaps don't use a pressurized gas to deliver them, and I
20 suspect that those products will become available over the
21 period of this transition.

22 I think the other thing to bear in mind,
23 particularly for sort of the broader discussion of what,
24 you know, when we convene these meetings after the January
25 1st, 2005, date, of what the market is like, is even if a

1 multidose dry-powder inhaler's not perhaps in some people's
2 opinions a perfect replacement product for an MDI, that
3 doesn't mean there aren't substantial number of patients
4 who don't use them and benefit from them.

5 So if you look at the overall market, they may
6 substantially help us towards the transition but maybe not
7 on a direct moiety-by-moiety approach.

8 DR. SESSLER: Dr. Dykewicz, and then Dr.
9 Niederman.

10 DR. DYKEWICZ: I'd just have a clarification
11 I'd like answered. As proposed by the moiety-by-moiety
12 approach, I understand that if there were a non-CFC
13 alternative preparation for that moiety, then the CFC
14 preparation would be considered non-essential with some
15 provisions.

16 To some extent, it gets back to the question of
17 Dr. Joad about the newer moiety-by-moiety approach would
18 not specifically consider metered-dose inhalers as a
19 requirement.

20 Now, the problem that I could foresee is that
21 you might have a non-CFC alternative product, the dry-
22 powder inhaler, that may not meet the needs of all
23 important subgroups. For instance, children may not have a
24 good inspiratory flow.

25 So if I'm understanding this correctly, even

1 though it's not specifically stated, if an assessment is
2 made by FDA that the alternative DPI product would not meet
3 all patient subgroups, that would be a reason for
4 continuing the essential accolade for the CFC MDI?

5 MS. CUSUMANO: That's right, and one of the
6 things that I think it's important to remember is that
7 moiety-by-moiety includes things like convenience of use,
8 but it also says for the same indication.

9 So if you've got the MDI down to six or
10 younger, and the DPI is only down to 12, you don't have the
11 same indication. So you've got a missing product.

12 The other part of that is, okay, so, we've got
13 the moiety-by-moiety approach, but one of the or's is after
14 January 1st, 2005, and this is what Bob was talking about,
15 do you have not just DPIs out there that are serving 12 and
16 above, but HFA products or other products, you know, other
17 types of alternatives that serve that younger population or
18 the population that can't use the DPI? If so, then we
19 would look at removing the essential use for that moiety.

20 DR. NIEDERMAN: I was just going to say, I
21 don't think there's any question that we'll have
22 alternatives to MDIs and DPIs. I know I've seen, for
23 example, a liquid inhaler device that's miniaturized and
24 portable and probably would work as well.

1 going to be addressed, and maybe we can't address it, is
2 the cost issue, and I think as was pointed out with
3 albuterol HFA, the lack of acceptance has to do, I'm sure,
4 more than anything with the cheap price of the generics
5 compared to the much greater price of the HFA, and I think
6 it is probably a very relevant thing to look at because I
7 think that the HFA has not been adopted widely probably
8 because it's just much more expensive, and I think that in
9 terms of defining essential use as meeting all people's
10 needs, unless we can find a reasonable way to provide
11 alternatives that are equal in cost to the current
12 generics, I think it's going to be very burdensome on
13 certain populations to make this transition.

14 MS. CUSUMANO: Like I said, albuterol is the
15 exception to the rule because of the generic.

16 DR. NIEDERMAN: But it's a good example of, I
17 think, what's going to happen. I think it's not an
18 exception. I think it's a glimpse into the future of
19 trying to deal with this issue.

20 DR. MEYER: Well, again, I think that, as

21 Leanne said beforehand, I think it's clear, most clearly
22 the case with albuterol that there's a generic now, and
23 that has changed the economics of the market, and I think
24 that that's why Leanne is saying it most clearly looks like
25 an exception to us.

79

1 For MDI replacement products, it does appear
2 from what the industry has said, and in fact Proventil has
3 held this out, is the price will be essentially the same.
4 I believe the Proventil HFA is within a few pennies of the
5 Proventil CFC.

6 DR. NIEDERMAN: But not comparable to the
7 generics.

8 DR. MEYER: Correct. But, again, albuterol is
9 the only drug substance right now that has a generic
10 available. So presumably if you're talking about Drug X,
11 and it's an inhaled corticosteroid, and it's reformulated,
12 it will be reasonably priced, and the other thing, I think,
13 to bear in mind, now that I'm saying that, this has not
14 been one of the questions, but these are sort of the way
15 that we thought we would respond to the transition.

16 It's entirely within the companies' options,
17 and in fact some companies have indicated to this, that if
18 and when they get their alternative approved, they may in
19 fact want to stop marketing the CFC sooner than we would
20 perhaps remove the essential use listing. That is their
21 prerogative, and although we might have some at least
22 theoretic concerns about that, that's the way they could
23 proceed, and obviously CFCs are getting more expensive, and
24 there's some economic reasons why you wouldn't want to be
25 running two production lines.

80

1 So I think when we first started the ANPR
2 process, we really hadn't anticipated the fact that we
3 might in a lot of cases not even have to invoke this
4 because the companies may be making a switch on their own
5 even faster than we might be proposing.

6 DR. SESSLER: Dr. Ford?

7 DR. FORD: I think that in addition to the cost
8 issues that Dr. Niederman spoke to, one of the
9 considerations as a potential determinant of use of the
10 alternative products is going to be the extent to which
11 practitioner populations are being reached in terms of

12 their awareness of what the alternatives are, and I think
13 that as is mentioned in some of the documents, there has to
14 be a major effort in terms of making sure that people know
15 what's available, and I suspect that a lot of that
16 information is within the specialist population right now,
17 including the availability and potential benefits of
18 Proventil HFA, for example.

19 MS. CUSUMANO: That's absolutely true, and I
20 mean that's one of the reasons that we're so involved in
21 education. I know Bob participates in NAEPP, National
22 Association -- National --

23 DR. MEYER: National Asthma Education
24 Prevention Project.

25 MS. CUSUMANO: Asthma Education Program.

81

1 DR. MEYER: As has the CFC work group, and in
2 fact, the industry itself has, through its consortium
3 called IPAC, has been producing documents. We worked with
4 them and NAEPP and EPA and other professional groups and
5 patient organizations to produce a document called "Why
6 Your Inhaler is Changing," and I know there will be other

7 efforts, both from the NAEPP and, I'm sure, from the
8 industry, in terms of education.

9 I think the clear message we're getting from
10 our colleagues in the U.K. is that education is perhaps
11 best timed for when the transition's really happening, and
12 right now, we're sort of in the early phases, but I know
13 that it's been our experience that Dr. Jenkins and I and
14 other folks from the FDA have spoken at many public
15 meetings, such as the AAAAI annual meeting and so on, and
16 there's been some interest, but, quite frankly, the last
17 time I spoke at the AAAAI, the room was pretty full, but it
18 was mostly industry people there, and I think that for a
19 lot of practitioners, it just hasn't hit yet, that this is
20 something they need to grapple with now, and so I think
21 we'll really intensify, we meaning both the FDA and other
22 components that we interact with, really intensify our
23 efforts as the transition really starts happening.

24 DR. SESSLER: Dr. Kelly?

25 DR. KELLY: What are the issues with the

1 generics? I mean, you should know if anybody's trying to
2 develop any new generics or if there's any drugs available?

3 If basically it's a moot point, except for
4 albuterol, then the cost issue is probably not a major
5 issue. It would seem hard to develop a generic for, say,
6 beclomethasone right now. You're not going to be given the
7 essentiality based on the fact that it's just a generic
8 albuterol -- I mean, a generic beclomethasone.

9 DR. MEYER: I'd rather not comment on the
10 specifics of your question. I will say that the moiety-by-
11 moiety approach doesn't specify what kind of product it's
12 in. So if beclomethasone is considered -- I might even use
13 beclomethasone -- let's use something else.

14 DR. KELLY: Okay.

15 DR. MEYER: If Drug X were considered an
16 essential use of CFCs, that really doesn't discriminate
17 whether it's a branded or a generic use.

18 There was something else I wanted to talk to
19 there in that question, and I'm forgetting -- oh, well, I
20 was going to say even if it were only albuterol, were only
21 albuterol, albuterol is such a large product in this
22 market, that it would make the cost issue very meaningful
23 in and of itself because it is such a big player in the
24 asthma market.

25 DR. SESSLER: I'd like to bring it back to

1 cost, but from a little bit of a different perspective and
2 perhaps more immediate, and that is really the nasal
3 corticosteroids.

4 The proposal seems very reasonable, but I guess
5 there must be some differences in cost for the various
6 products that are available currently, and I'm guessing
7 that perhaps the lower cost items would be CFC rather than
8 the aqueous.

9 I guess I'm seeking some information first,
10 some data on cost comparisons, and then if there is impact
11 in that area.

12 DR. MEYER: Do you have the economic analysis
13 piece there?

14 MS. CUSUMANO: I don't think I have figures
15 comparing the aqueous versus the CFC part, but I do know
16 that the four manufacturers marketing five CFC nasal
17 steroids constitute less than 20 percent of the market.
18 So.

19 DR. MEYER: I think when we looked at this, it
20 did not appear to be a substantial barrier. We did have an
21 economics analysis as part of this rulemaking process, and
22 I know that we did look at that issue. I don't remember
23 the details offhand, but it did not seem to be a
24 substantial issue.

25 The other thing with the nasal corticosteroids

1 is that it's a very different part of this than the inhaled
2 products because they're not considered essential from the
3 Montreal Protocol standpoint, and I think that we're really
4 talking more about timing of our action rather than whether
5 to do it or not.

6 DR. JENKINS: If I could just add to that,
7 Curtis. All the nasal corticosteroids are branded
8 products. They're not generics.

9 DR. SESSLER: Right.

10 DR. JENKINS: So again, that takes away that
11 element of the cost comparison.

12 DR. APTER: While we're on the subject of the
13 nasal steroids, which, of course, nasal diseases aren't
14 usually life-threatening like asthma, and even though you
15 mentioned those figures about aqueous capturing a large
16 part of the market, my own clinical experience is there's
17 not a lot of data comparison, is that some people don't
18 tolerate the aqueous as well, and that some people don't
19 get as good delivery with the aqueous versus the aerosol,
20 and so it may be important to encourage an HFA-like
21 preparation to come forward.

22 DR. JOAD: On average, how much less is the
23 generic albuterol than the brand name? Just ball park.

24 DR. MEYER: I don't really know the answer as
25 far as what it costs the patient. As of months ago, there

85

1 was not a huge difference. I think that's been expanding
2 over time at the wholesale level. So I think that at the
3 wholesale level, we're probably talking in the mid-20s for
4 the branded products. The generic products, I've heard
5 figures quite low actually, down at least for one of the
6 so-called generics, and I'll just say so-called generics,
7 down in the \$3 to \$4 range at the wholesale level.

8 I don't have personal knowledge of that, but
9 that's what I've heard through some of my contacts. So it
10 had been much more, sort of \$17 to \$25 type of comparison,
11 and I don't know how that translates to what patients
12 actually pay.

13 MS. CUSUMANO: It's one of the issues that
14 we've struggled with because certainly one of the types of
15 comments that we heard was about cost, yet there's very
16 little within our authority that we can do about cost, and
17 so I mean that's one of the issues that we wanted to bring

18 here today, to ask what kind of innovations or what kind of
19 thinking outside the box can we do to address this issue?

20 DR. NIEDERMAN: But, again, to put it in
21 perspective, generic albuterol makes up what percentage of
22 the albuterol market? Do you have any guess?

23 MS. CUSUMANO: I don't know.

24 DR. NIEDERMAN: Of the MDI albuterol market?

25 MS. CUSUMANO: Do you know, Bob?

86

1 DR. MEYER: Taking sort of the non-regulatory
2 definition of generic, it's a large majority now. It's, I
3 think, in the range of about 70 percent, if not more, 80
4 percent.

5 DR. NIEDERMAN: I mean, one obvious focus of
6 this would be on the managed care companies because I know
7 in my patient population, they don't want the generics
8 necessarily. They're being driven to it by their health
9 care plans, and certainly a major lobbying effort on behalf
10 of some of these non-generic new products probably has to
11 be done at the health care plan level even more so than at
12 the patient level.

13 DR. DYKEWICZ: Revisiting the incentive issue,
14 that is, the incentive for the manufacturers to develop
15 alternatives that Dr. Niederman talked about earlier, as I
16 see this, there's really a two-stage process.

17 The first stage, moiety-by-moiety, doesn't
18 really have a major incentive necessarily for the
19 manufacturer to come up with an alternative, but the second
20 stage of the proposed regulations is that in 2005, there
21 would then be the assessment made about whether an agent
22 were essential, whether a product were essential, and I
23 could easily foresee, and maybe this is potentially the
24 intent, even though it's not stipulated, that at that
25 point, there really would be a therapeutic class assessment

87

1 made, that you have a product for which there are a number
2 of other alternatives of different moieties, and that on
3 that basis, the manufacturer would lose its ability to
4 continue to sell the product because there were
5 alternatives, and if they didn't ask the manufacturer to
6 have an alternative preparation that was non-CFC, they
7 would lose that part of the market.

8 Essentially, is that correct how that might

9 play out?

10 DR. MEYER: I think in essence that's correct.
11 I mean, in some ways, we're, I think, viewing it that
12 future assessment is being perhaps even broader than just a
13 class approach in fact, I mean, because you're really
14 trying to look at the entire market, and what patients'
15 needs are, and how they're being addressed by the market as
16 it is at that point.

17 But in some respects, it does have some
18 analogies to what the therapeutic class approach we had
19 previously talked about. It's just not as restrictive in
20 some ways. It's sort of a more broad look at where the
21 market sits, and at what point does a non-reformulated
22 product represent such minimal use or not meet the other
23 criteria that we really can't justify the CFC use in that
24 product any more?

25 DR. VOLLMER: I have a comment relative to the

88

1 presumption that we won't be seeing new CFC generics for
2 corticosteroids or other products that are going to be
3 coming off market.

4 If one makes the case, for instance, that for
5 albuterol having a low-cost CFC alternative as compelling
6 reason for not taking it off the essential list, then it
7 seems to me it opens up the possibility for arguing that
8 the reason for providing an exemption for being essential
9 is that we can now roll out a new product which is
10 substantially cheaper than what you would be getting as a
11 non-CFC alternative.

12 I don't know where things stand at FDA and the
13 government regarding this. Is this going to be an option
14 offered to people? Is this going to be even on the table
15 for discussion?

16 DR. MEYER: I certainly don't want to leave the
17 impression that we're presuming that there will not be any
18 further generic CFC products.

19 From the very strict legal mandate of the FDA,
20 we do not really have the authority to say there should not
21 be any more generics. In fact, it's quite the opposite.
22 Really due to the Waxman-Hatch amendments to our Act,
23 there's a presumption that generics should be approved,
24 unless some criteria met, and the CFC considerations don't
25 factor into that.

1 Again, I think it's important to realize the
2 FDA's addressing the transition within the wider
3 government, and that there may be other components in the
4 government who might, for instance, feel that even if we
5 approved it, it should not get essential use allowances.

6 So just from the FDA's standpoint, if all other
7 things were met, including being able to show
8 bioequivalence for a product that was no longer protected
9 by patent exclusivity or by marketing exclusivity, then if
10 data were provided to us to show bioequivalence to the
11 innovative product, we would need to approve that product.

12 Again, I think it's important to realize there
13 are discussions outside the FDA, and in fact at the
14 Montreal Protocol level, about how wise it is to have any
15 new CFC products approved. But that's a discussion in many
16 respects, although we're involved in it, it's a discussion
17 beyond the FDA.

18 DR. SESSLER: Mike?

19 DR. NIEDERMAN: I'm sure it would be unwise to
20 think about putting some sort of tax which would be passed
21 on to consumers on a product that continued to have CFCs,
22 but is the reverse possible?

23 Is there some sort of economic incentive that
24 can be given to companies that develop non-CFC devices so
25 that they could bring the costs down and make them more

1 competitive with the generics and put pressure on the
2 generics to do similar types of maneuvers? Is there any
3 mechanism for that?

4 MS. CUSUMANO: Certainly, there is, but it's
5 not something FDA could do on its own. It's something that
6 would have to be passed by Congress, an amendment to the
7 Act or an amendment to the Tax Code or something like that.

8 DR. NIEDERMAN: So it's not something we can
9 really reasonably consider.

10 DR. MEYER: I think you could make reasonable
11 comments, but just because FDA doesn't necessarily have its
12 own authority to do it, I think it's important that we get
13 all comments.

14 DR. GROSS: Can I ask a related question?
15 Supposing a drug company came to you with a completely new
16 entity that they wanted to deliver by inhalation, and they
17 were proposing to use a CFC propellant for that. What
18 would the agency's position be on that?

19 DR. NIEDERMAN: Leanne laid out what -- we're
20 changing our criteria for adding new essential uses to be
21 even more rigorous than they currently are, and in fact, if
22 you read what's currently in 2.125, if we were to take a
23 very, very hard line about that, they're pretty rigorous

24 already, but these raise the bar even farther, and
25 basically, unless it was clear that it was a major

91

1 therapeutic advance, we're talking about a new moiety,
2 major therapeutic advance that was otherwise not available,
3 that there were technical barriers for it being formulated
4 in something other than CFCs -- what's the other one? I'm
5 sorry.

6 MS. CUSUMANO: Substantial technical barrier,
7 important public health benefit, and the release warranted
8 in light of the use.

9 DR. MEYER: Yes. That might be the one place
10 where we and the EPA would engage in a discussion of sort
11 of environmental risk versus benefit. Overall in this
12 process, the risk assessment and the commitment to get rid
13 of CFCs has been made. So we're not engaging in that kind
14 of discussion now, but for new use, we're talking about
15 potentially doing so.

16 DR. GROSS: Sorry. I didn't understand that.
17 When you say non-engaging in that kind of discussion now,
18 what do you mean? You won't entertain new submissions that

19 contain --

20 DR. MEYER: No, no, no. I was actually talking
21 about -- no, no. I was talking about we're not, as I said
22 earlier, here today to debate, you know, how much of an
23 ozone-depletion risk the current MDI use is and that sort
24 of thing.

25 But what I'm saying is in the future new use, I

92

1 think we have to really look at what would be the known
2 hazard to both the ozone depletion and in fact to the
3 overall accomplishment of the Montreal Protocol compared to
4 the public health benefit.

5 So we're not in this rule or this proposed
6 rule, we're not closing the door to such circumstances, but
7 we're, I hope, sending a very clear signal that it's going
8 to have to be very clear that there's no other alternative
9 for delivering this moiety, and this moiety is really
10 providing a benefit that folks won't get otherwise.

11 DR. GROSS: Well, I mean, the question really
12 is what is the incentive for the companies to develop an
13 alternative propellant form of that, because as was pointed
14 out earlier, if the drug is patented, and there isn't an

15 alternative, it's a new entity, what is their incentive
16 to --

17 DR. MEYER: Well, their incentive right now is
18 if they do not have an approved essential use, they're not
19 on the current list, they're going to have a very tough
20 time getting on it. So if they're in early drug
21 development, they really should be looking to develop that
22 in either an alternatively-propelled MDI or some other
23 alternative device rather than go the CFC route. I hope
24 that signal's quite clear.

25 DR. GROSS: So essentially what you're saying

93

1 to the company is that we're going to set the bar much
2 higher for your drug if you're proposing to market it with
3 a CFC propellant than if you were to use an alternative
4 environmentally-acceptable one, is that right?

5 DR. MEYER: Yes, yes, and in fact, and I would
6 again invite any comments from the EPA in this regard, but
7 it's not just us setting that bar higher, but the U.S.
8 nomination has to be approved by the parties to the
9 Montreal Protocol. So it's really the international

10 community that's raising the bar substantially, too.

11 DR. SESSLER: Any comment from EPA?

12 MR. COHEN: Again, Bob summed it up pretty
13 well. I think it's worth noting that, as Erin said, the
14 U.S. nomination up till now has been approved year-by-year
15 since 1996, but there's no guarantee that that will
16 continue, especially as other countries pursue their own
17 transition.

18 DR. SESSLER: Dr. Vollmer?

19 DR. VOLLMER: I must say that I'm generally
20 very favorably disposed towards the NPR. I want to echo a
21 concern that Jean raised earlier. The one issue in
22 removing the essential status for a drug, the fourth one,
23 the special populations, there was a presumption, as was
24 pointed out, that if the first three criteria are met, then
25 all needs of special populations are met, and the sort of

94

1 bar for our becoming that is really put back on the
2 industry to say if you think that's not the case, then give
3 us evidence of that.

4 Presumably there would be enough of a -- I
5 mean, if you can show that there's a market out there, then

6 there's an economic incentive for them to do that. On the
7 other hand, the smaller the market gets, then the less
8 economic incentive there will be for them to go out and do
9 whatever analyses they're going to be required to do.

10 Can you speak a little more to how you see this
11 working?

12 MS. CUSUMANO: First, I'd like to say that the
13 substantial or the subpopulation that we had in the
14 advanced notice of proposed rulemaking changed a little to
15 patients who medically require the ODS are adequately
16 served by alternatives. So very similar.

17 I think what you said still applies, and I
18 guess the second part of that is we have this idea, and we
19 understand that there may be patients who can't use the
20 alternatives, and we're not sure how we're going to figure
21 that out, and that's one of the questions that we have for
22 the committee, is how are we going to decide?

23 A lot of the comments that we had on the
24 original advanced notice said a subpopulation of one is
25 very important to that one. So on the other hand, I know

1 sometimes we get comments after a company has removed a
2 product from the market, you know, on its own that say I
3 needed that product, what am I going to do without it,
4 and --

5 DR. MEYER: Yes. I think that, as Leanne said,
6 we have shifted the language away from a presumption that
7 these subpopulation needs are being met to really wanting
8 some level of showing, and it's not necessarily the burden
9 of the company to do that, but I think this is going to be
10 a difficult issue and one that we would certainly very much
11 welcome input on because it is clear that when certain
12 products have gone away because the manufacturers have
13 stopped marketing them, you have a vocal minority of
14 patients who earnestly feel that that's the only product
15 that can control them, and so we know that will exist.

16 I guess I could cite my experience during
17 residency when the VA would switch from one producer of
18 albuterol to another. These products were substantially
19 the same, and at some point in the past, I understand they
20 might have even come off the same production lines, and
21 patients would complain bitterly that this one doesn't work
22 as well as that one.

23 So we know that because of the variability of
24 the disease that we're talking about, that being asthma and
25 chronic obstructive pulmonary disease, that patients tend

1 to -- if their disease happens to exacerbate when they
2 switch inhalers, whether it was due to that inhaler or not,
3 they may well form the conclusion that it was that inhaler.
4 So these are some of the difficult things.

5 I do think it's important, because I don't
6 think we stressed it earlier, to realize that we are in the
7 notice of proposed rulemaking as in the ANPR stating that
8 these removals of essential use listings will be through
9 further notice and comment rulemaking.

10 In other words, if we wanted to delist
11 albuterol at some day in the future, we will need to
12 publish a proposed rule saying we propose to take albuterol
13 out, these are the reasons why, and I would suspect,
14 particularly for some of the drugs like albuterol, that
15 that will entail bringing this committee back together,
16 hearing more public commentary and really considering that
17 as a part of it.

18 So at least even if we don't have every single
19 iota of data we need, I think we are envisioning a public
20 comment process that will allow for other people to bring
21 in data that will be helpful to us in making the
22 determinations.

23 DR. SESSLER: Thank you.

24 I'd like to go ahead and take our break now.

25 I'd like to thank the committee for their thoughtful

97

1 questions, and the FDA and EPA personnel for their
2 thoughts.

3 It was rather free form and covered a lot of
4 different areas which I hope we will focus in on specific
5 areas for more complete discussion in the afternoon
6 session.

7 Please be back at 10:45. We'll start promptly
8 with the opening public hearing.

9 Thank you.

10 (Recess.)

11 DR. SESSLER: Good morning again. This will be
12 the open public hearing component, and we have four listed
13 speakers. In addition, we will open it up after those
14 individuals have spoken to any others who wish to speak
15 before the committee.

16 What I'd ask of the speakers is that they tell
17 who they are and where they are from and who they represent
18 and also a mention of any disclosure of conflict of
19 interest.

20 I would also ask that the comments be limited

21 to 10 to 15 minutes, please.

22 Our first speaker is Ballard Jamieson, who is
23 Secretary and Legal Counsel for the International
24 Pharmaceutical Aerosol Consortium.

25 Mr. Jamieson?

98

1 MR. JAMIESON: Over here?

2 DR. SESSLER: Yes, please.

3 MR. JAMIESON: Good morning. My name is Jim
4 Jamieson. I'm the Secretary and Legal Counsel to the
5 International Pharmaceutical Aerosol Consortium, or IPAC,
6 as it is commonly known.

7 IPAC is an association of leading manufacturers
8 of metered-dose inhalers for the treatment of asthma and
9 chronic obstructive pulmonary disease. Its members include
10 AstraZeneca, Boehringer Ingelheim, Chiesi Farmaceutici,
11 Glaxo Wellcome, Medeva Americas, Inc., Norton Healthcare,
12 Ltd., Rhone-Poulenc Rorer, Inc., and 3M Pharmaceuticals.

13 IPAC was created in response to the mandate of
14 the Montreal Protocol. Its goal is to ensure a smooth and
15 efficient MDI transition that balances public health and

16 environmental protection. To this end, IPAC serves as a
17 source of information and analysis on the MDI industry and
18 facilitates its participation in the implementation of the
19 Montreal Protocol worldwide.

20 Members of IPAC are firmly committed to the MDI
21 transition. In 1990, MDI companies undertook an
22 unprecedented joint testing program to demonstrate the
23 safety of propellants that would ultimately replace CFCs.

24 More than 1,400 scientists at 90 laboratories
25 in 10 countries around the world have been involved in the

99

1 development of non-CFC MDIs. MDI companies have already
2 spent \$1 billion in this effort and will spend much more to
3 complete it.

4 In May 1997, IPAC submitted comments on the
5 FDA's advanced notice of proposed rulemaking. On April
6 11th, 1997, IPAC presented its views on the ANPRM at the
7 public hearing of this committee.

8 We appreciate this opportunity to participate
9 in this hearing today. Later this month, we will submit
10 written comments to the FDA on its proposed rule. I will
11 now summarize IPAC's comments on the proposed rule.

12 The proposed rule provides for a moiety-by-
13 moiety approach. IPAC supports this approach as the
14 primary criteria for examining safe and effective non-CFC
15 alternatives for existing CFC products. This approach, in
16 our view, strikes an appropriate balance between ensuring
17 the availability of vital medications and discontinuing the
18 use of CFCs.

19 The proposed rule establishes several criteria
20 for an alternative to a CFC MDI. For example, the proposed
21 rule provides that a non-CFC alternative must feature the
22 same route of administration. IPAC supports this
23 criterion.

24 Inhalation is the preferred route of
25 administration for the treatment of respiratory disease.

100

1 To ensure the continued availability of inhalation therapy,
2 a CFC MDI should only be replaced by a product with the
3 same route of administration.

4 The proposed rule provides that a non-CFC
5 alternative must feature approximately the same level of
6 convenience of use. IPAC supports this criterion, and as

7 the FDA notes, patients value the compact size and ease of
8 use of MDIs. This criterion ensures continuing patient
9 access to therapy with this same level of convenience.

10 The proposed rule states that supplies and
11 production capacity for a non-CFC alternative must exist at
12 levels sufficient to meet patient need. IPAC supports this
13 criterion. This criterion would safeguard against
14 interruptions in patient access to vital medications during
15 the transition to non-CFC MDIs.

16 In the preamble to the proposed rule, the FDA
17 states that a non-CFC alternative should be manufactured at
18 multiple manufacturing sites if the CFC MDI is manufactured
19 at multiple manufacturing sites. IPAC believes that the
20 requirement of multiple manufacturing sites is unnecessary
21 where an MDI company demonstrates that a single
22 manufacturing site is sufficient to supply patient need.

23 MDI companies may consolidate manufacturing
24 activities at a single site for non-CFC MDIs. These single
25 sites will feature supplies, storage and production

1 capacities as well as safeguards against disruptions in
2 manufacture which virtually eliminate risk of product

3 shortages.

4 Under the proposed rule, the FDA would require
5 at least one year of U.S. post-marketing use data for non-
6 CFC alternatives. In addition, the FDA would consider
7 foreign data supportive of U.S. post-marketing use data if
8 U.S. and foreign formulations, patient populations and
9 clinical practices were the same or substantially similar.

10 Finally, the FDA would not require a post-
11 marketing study if available data, including more
12 traditional post-marketing surveillance data, are
13 sufficient to support a finding that the CFC product is no
14 longer essential.

15 IPAC supports consideration of post-marketing
16 data. IPAC proposes that the requirement for one year of
17 post-marketing use data in the United States be reduced if
18 foreign post-marketing use data is sufficient to support a
19 finding that a CFC MDI is no longer essential. This
20 approach would eliminate unnecessary delay in discontinuing
21 the use of CFCs.

22 Finally, IPAC believes that existing processes
23 provide post-marketing use data sufficient to support a
24 finding that a CFC MDI is no longer essential.
25 Accordingly, IPAC believes that Phase IV post-marketing

1 studies should not be required for this purpose.

2 Under the proposed rule, the FDA would
3 determine whether patients who rely on a particular CFC MDI
4 would be adequately served by non-CFC alternatives. In
5 making this determination, the FDA would consider whether
6 adequate safety, tolerability, effectiveness and compliance
7 exists for the indicated populations and other populations
8 known to medically rely on the CFC MDI product.

9 IPAC supports this criterion. This criterion
10 ensures that vital medications will remain continuously
11 available for all clinical subpopulations.

12 Finally, the proposed rule provides that after
13 January 1, 2005, a CFC MDI will no longer be essential
14 unless it provides an unavailable important public health
15 benefit which warrants the release of CFCs into the
16 atmosphere.

17 IPAC supports this approach. A target date for
18 the review of remaining CFC MDIs would mark the final phase
19 of the transition to non-CFC alternatives and give
20 physicians and patients a general sense of the time frame
21 for its completion.

22 In sum, IPAC supports many important elements
23 of the proposed rule. There are, however, several areas in
24 need of clarification concerning, for example, the issue of
25 multiple manufacturing sites and post-marketing studies.

1 In addition, IPAC believes that the proposed
2 rule should require every new CFC MDI product to meet all
3 essentiality criteria. In our view, the Clean Air Act
4 mandates a product-by-product essentiality review for all
5 new CFC MDIs, if any there be.

6 We note that leading public health
7 organizations support this approach. We will address the
8 legal aspects of this position in our written comments
9 submitted later this month.

10 We appreciate this opportunity to appear before
11 you today. As our comments indicate, we are generally
12 supportive with a few exceptions of the FDA's proposed
13 rule, a few important exceptions to the rule.

14 We would like to commend the FDA and its staff
15 for meeting what we know was a significant challenge in
16 reviewing, analyzing and responding to the many comments on
17 the ANPR, and we congratulate them for the effort they have
18 made.

19 At this point, we would be pleased to answer
20 any questions you may have and either now or this afternoon
21 in the Q&A period.

22 Thank you.

23 DR. SESSLER: We have time for one or two
24 questions.

25 DR. VOLLMER: I'd just like a clarification on

104

1 the -- when you're talking about the post-marketing, and
2 you were suggesting that you were supporting less than a
3 one-year post-marketing if there was good European data
4 available, and then you followed up with a comment about
5 the lack of a need for Phase IV trials.

6 Could you just repeat that again because I
7 missed it?

8 MR. JAMIESON: Okay. Well, our position is
9 that the Phase IV studies are not necessary because
10 existing processes are sufficient to make an essentiality
11 determination. That's the position. We will elaborate on
12 this somewhat more in our comments filed later this month.

13 DR. SESSLER: Thank you.

14 MR. JAMIESON: Thank you.

15 DR. SESSLER: Our second speaker in the open
16 public hearing component is Alfred Munzer, M.D.,
17 representing the American Thoracic Society and the Medical

18 Section of the American Lung Association.

19 Dr. Munzer?

20 DR. MUNZER: My name is Alfred Munzer. I'm a
21 physician specializing in lung disease. I'm a past
22 president of the American Lung Association, and I have, as
23 far as disclosure is concerned, to the best of my
24 knowledge, I have no financial interest in any of the
25 companies that are affected by this regulation.

105

1 On behalf of the American Lung Association and
2 its Medical Section, the American Thoracic Society, I want
3 to thank the FDA Pulmonary and Allergy Drugs Advisory
4 Committee for the opportunity to present our views.

5 While many of our concerns with the previous
6 advanced notice have been addressed in the proposed rule,
7 there remain many important issues to be resolved. The
8 most important issue, in the opinion of the American Lung
9 Association and the American Thoracic Society, continues to
10 be the need for broader public education as transition
11 takes place.

12 The transition to CFC-free metered-dose

13 inhalers provides a unique opportunity for the entire
14 pulmonary-allergy community to refocus attention on the
15 proper diagnosis and management of asthma and to revitalize
16 the relationship between physicians and other health care
17 providers and patients with asthma.

18 Some people may feel that we have been dealt
19 some lemons in this whole transition. What we have failed
20 to do so far is to make lemonade.

21 The American Lung Association and the American
22 Thoracic Society have previously commented regarding the
23 role of patient and professional education in any
24 transition strategy. As noted in the European Union,
25 education needs reach a critical level when many new

106

1 products are introduced in rapid succession.

2 We recognize that such education efforts do not
3 fall within the jurisdiction of the Food and Drug
4 Administration. However, we do encourage the FDA to use
5 its public affairs resources and to explore intraagency
6 mechanisms to ensure coordination and collaboration with
7 Federal Government entities having authority for
8 educational efforts, including the National Asthma

9 Education and Prevention Program.

10 Coordination and collaboration among Federal
11 Government agencies, non-governmental organizations
12 representing patients and health care providers, including
13 the pharmaceutical industry and managed care companies,
14 must occur to ensure a consistent and appropriate level of
15 effort as reformulated products enter the marketplace.

16 The American Lung Association and the American
17 Thoracic Society look to the agency for leadership in this
18 area.

19 Let me make some specific comments on the
20 proposed rule. First, about the moiety-by-moiety approach.
21 The American Lung Association and the American Thoracic
22 Society concur with the moiety-by-moiety approach detailed
23 in the proposed rule. This decision-making structure
24 should continue to provide a range of treatment options for
25 physicians and patients as the transition proceeds.

107

1 It is critical that any decision-making scheme
2 is structured to ensure that physicians remain able to
3 treat patients effectively following the National Asthma

4 Education and Prevention Treatment Guidelines.

5 Second, as to the petitions to add new
6 essential uses, the American Lung Association and the
7 American Thoracic Society agree that it is inappropriate to
8 add new essential uses at a time when developed nations,
9 including the United States, have committed to the phaseout
10 of the production and consumption of ozone-depleting
11 substances.

12 Third, as to the determination of continued
13 essentiality, we concur with the decision-making process
14 outlined in the proposed rule. In the first instance, the
15 agency is to be commended for the common sense approach of
16 removing an active moiety from the essential use list if it
17 is no longer marketed in an ODS formulation.

18 Under a second scenario, the agency proposes a
19 process commencing after January 2005 to review the
20 essential use status of current active moieties. We
21 believe that it is critical to fully engage the patient and
22 health care provider communities in this process.

23 A notice and comment period, plus consultations
24 with an advisory committee, are not sufficient to ensure
25 input from a well-informed public. The agency's experience

1 with the advanced notice of proposed rulemaking
2 demonstrates the need for carefully-prepared regulatory
3 materials, patient, medical professional and public
4 education and ample opportunity for interaction with agency
5 advisory board bodies and personnel.

6 A few additional comments. First about the
7 time frame. The American Lung Association and the American
8 Thoracic Society are concerned that the proposed decision-
9 making structures fail to provide a suggested time frame
10 for non-essential use determinations beyond the market
11 review after January 1st, 2005. We note only a time frame
12 of one year for the collection of post-marketing studies.

13 The agency must provide patients, health care
14 providers and the public with detailed time frames,
15 including an estimation of time, for any anticipated
16 regulatory proceeding, in addition to the content of
17 information required.

18 While there is no consensus at present on what
19 constitutes an appropriate time frame, the agency should
20 seek public comment on this important part of the
21 transition.

22 The overall monitoring process. The American
23 Lung Association and American Thoracic Society previously
24 commented on the need to establish a mechanism to monitor
25 the overall transition to non-ozone-depleting substance

1 products.

2 At a minimum, such a mechanism should include
3 an expert panel appointed to assess baseline information
4 from which to monitor all aspects of the transition. Panel
5 members should include medical experts, other members of
6 the health care team, including nurse educators,
7 pharmacists, and respiratory therapists, epidemiologists,
8 and patients and patient advocates.

9 Thank you very much.

10 DR. SESSLER: We have time for questions.

11 (No response.)

12 DR. SESSLER: Thanks, Dr. Munzer.

13 DR. MUNZER: Thank you.

14 DR. SESSLER: Our next speaker is Mary E.
15 Worstell, with a master's of public health, who is
16 Executive Director of the Asthma and Allergy Foundation of
17 America.

18 Ms. Worstell?

19 MS. WORSTELL: Good morning. I'd like to
20 restate my title as Executive Director of the Asthma and
21 Allergy Foundation of America. I'm a health educator by
22 training.

23 The AAFA is headquartered in Washington, D.C.,

24 and I have no known conflict of interests as I present to
25 you this morning.

110

1 I want to thank the committee for the
2 opportunity to express our opinion this morning, and I also
3 want to compliment the FDA in what I believe has been a
4 very open and inclusive process over the last several years
5 in seeking patient input on this very critical issue.

6 The position of the Asthma and Allergy
7 Foundation of America on this issue has been stated
8 repeatedly over the last several years in our written
9 comment to the FDA in 1997, in a number of hearings in
10 which we have participated on Capitol Hill over the last
11 several years, and I can tell you that we have not wavered
12 in our support for the transition to CFC-free metered-dose
13 inhalers.

14 We believe that this transition needs to move
15 forward. We believe it offers multiple benefits for
16 patients. We believe that a plan for transition in this
17 country is essential, and we would agree with what Dr.
18 Munzer just presented on the importance of an oversight for

19 this process as it moves forward.

20 We believe that the transition to metered-dose
21 inhalers, CFC-free metered-dose inhalers needs to be
22 seamless for the patient, and when I talk about seamless,
23 I'd like to emphasize certain points.

24 One is we do need to ensure that patients are
25 educated in this transition, the need for this transition,

111

1 the elements of the transition.

2 As ALA has just presented, you have multiple
3 new products that are coming on the market. We have new
4 mechanisms in these products for patients. We are asking
5 patients to change, and we are all health care consumers,
6 and we all understand that for us to be active, responsible
7 participants in our medical care, we need to be educated
8 and understand what and why we are being asked to make
9 these changes. So we will be working in patient education.

10 We believe strongly that education of the
11 health care provider is key to this, that health care
12 providers understand this process, understand the benefits
13 of the new products, understand the special characteristics
14 of the new products and can communicate those to their

15 patients. There is an integrity of the communication
16 process between the provider and the patient that we must
17 use.

18 In addition, if new information, new technology
19 is communicated with confidence by the health care provider
20 to the patient, the patient is steps ahead in terms of a
21 positive attitude in looking at adopting or adapting to new
22 characteristics of a product.

23 As Dr. Munzer said, we believe that there is a
24 tremendous benefit in this transition to once again focus
25 on proper diagnosis and management of asthma and to

112

1 revitalize the communication between patients and their
2 health care provider. This has been lost, and we need to
3 go back. This is critical to overall patient satisfaction
4 and adequate management of their asthma, and we are
5 concerned about the cost issue, and that the costs of the
6 new products be accessible to patients.

7 The Asthma and Allergy Foundation of America
8 has a task force of members of our volunteer board of
9 directors and chapter leaders who have reviewed and

10 participated in the statement of position of the Asthma and
11 Allergy Foundation since 1997.

12 This task force has reviewed the proposed rule,
13 and there is initial consensus support for this rule. We
14 are now in the process of reviewing the stakeholder
15 consensus comments that we will be presenting to the FDA
16 later this month, and we will be developing a short
17 individual statement for the Asthma and Allergy Foundation
18 congruent with this.

19 I would really like to say, in addition, that
20 we will be working with the EPA next year to do some
21 preliminary market research to reassess where the health
22 care provider knowledge and attitude focus is on this issue
23 so that we can better target educational messages to these
24 critical health care providers as the transition moves
25 forward.

113

1 We will continue to be an active participant in
2 this topic and work as we have in the past with other
3 groups for a consensus position moving forward.

4 Thank you.

5 DR. SESSLER: Questions or comments?

6 DR. GROSS: May I ask a question? I should
7 know this, but I take it most of the membership of the
8 foundation consists of patients and their relatives?

9 MS. WORSTELL: That's correct.

10 DR. GROSS: Have you received any individual
11 expressions of concern from patients about not being able
12 to use their regular inhaler because it's not considered
13 non-essential?

14 MS. WORSTELL: I have not received those kinds
15 of complaints from patients because I'm not sure that the
16 patients understand the politics behind this transition in
17 general.

18 My experience with communication with patients
19 in principle has been that they have been provided a new
20 inhaler, different from their old inhaler, without any
21 advanced notice and without any information about the new
22 inhalers.

23 So some of the characteristics have surprised
24 them and disappointed them, which is why I believe that
25 education is so important.

1 I think that it's simplistic when you just say,
2 as we heard a couple of years ago, that your metered-dose
3 inhaler may be changing, and if you don't want that to
4 change, contact the FDA. It's very simplistic. It's much
5 more complicated, and I believe that when you're looking at
6 the introduction of new medications or change in
7 medications, that provider/patient relationship is where
8 the sense of communication needs to reside.

9 DR. SESSLER: Thank you.

10 Our final scheduled speaker is Dolores Libera,
11 who is speaking on behalf of Nancy Sander, President, and
12 Ms. Libera represents the Allergy and Asthma Network and
13 Mothers of Asthmatics.

14 MS. LIBERA: Thank you.

15 My name is Dolores Libera. I'm Director of
16 Publications at the Allergy and Asthma Network/Mothers of
17 Asthmatics, AANMA.

18 I'm giving Nancy Sander's presentation. She
19 was unable to be here because of illness. I don't believe
20 that there are any conflict of interests, and I do want to
21 thank the committee for allowing us to present.

22 Our comments will be short and direct. We
23 support this version of the NPR because it affords patients
24 every protection without slowing innovation or transition,
25 and the stratospheric ozone is not at risk in the process.

1 The current NPR addressed the questions that
2 the first one raised and takes preventive actions that
3 patients believe are important. The language of the NPR is
4 far more direct than the first version, and while there are
5 areas of additional clarifications, these mostly affect
6 issues directly impacting the pharmaceutical industry and
7 do not appear to put patients at risk.

8 We do not understand, however, the specific
9 manner in which grandfathered over-the-counter
10 bronchodilators will be treated. There seems to be
11 loopholes through which these products could receive
12 permanent exemptions.

13 What actions will the FDA take to ensure that
14 these medications do not slip through the cracks, that
15 prescription-only products do not?

16 Furthermore, AANMA posted notices of the NPR on
17 our web site and in several other sources. We did not
18 undertake a survey as we did with the ANPR because we
19 learned from the first effort that conducting the survey in
20 a short period as given is costly and comes at the expense
21 of other projects within AANMA.

22 However, we encourage those people who did
23 visit the FDA web site to read the NPR and forward
24 questions directly to the FDA and to our office. We don't

25 know what the FDA has received in the way of comment, but

116

1 we have received only one letter of concern.

2 AANMA's questions and concerns as patient
3 advocates have been responded to thoughtfully. The NPR
4 reflects a patient-friendly approach, one in which the best
5 interests of the patients can be served effectively.

6 Thank you.

7 DR. SESSLER: Dr. Niederman?

8 DR. NIEDERMAN: As a representative of the
9 patient group, is there any concern that's been expressed
10 on your web site with regard to losing these CFC
11 propellants?

12 DR. SESSLER: Ms. Libera?

13 MS. LIBERA: I'm sorry?

14 DR. SESSLER: Quite all right.

15 DR. NIEDERMAN: I'm trying to find out whether,
16 from a patient perspective, you've had any comments that
17 patients are concerned about losing the CFC propellant.

18 I think this issue was brought up earlier, I
19 think by Dr. Meyer, that patients frequently complain when
20 their inhalers are changed, maybe not really based on any

21 reality, but has there been a concern expressed by any
22 patients that they're worried about losing their CFC
23 propellant inhalers?

24 MS. LIBERA: I think originally, when this
25 whole issue began to be publicized, there was a lot more

117

1 concern. As the transition has occurred, as we've been
2 able to educate our members through our newsletter and
3 magazine, and as more products have become available that
4 do not have CFCs, there seems to be less of a concern.

5 As I said, apparently we have only received one
6 letter of concern after it was posted this last time.

7 DR. GROSS: To what extent do you think your
8 members understand the situation?

9 MS. LIBERA: Well, I guess I can't speak
10 definitively, but I think we've gone through a very
11 extensive process using the materials that have been
12 available through posters, through specific articles.

13 We don't receive a lot of phone calls on the
14 issue at this point.

15 DR. GROSS: You have publications, of course?

16 MS. LIBERA: We put out a newsletter.

17 DR. GROSS: Has there been any coverage of this
18 subject in your publications?

19 MS. LIBERA: I'm sorry?

20 DR. GROSS: Has there been any coverage of this
21 particular subject in your publications?

22 MS. LIBERA: We have discussed this
23 extensively, especially since the original ANPR came out.

24 MS. CONNER: I have a question, also, and,
25 Mary, you may want to address this as well. Have the

118

1 patients noticed -- and I don't know whether -- maybe the
2 majority of patients now are covered by pharmacy cards, and
3 out-of-pocket cash is not as much an issue as it used to
4 be.

5 Have you noticed that the change in the devices
6 or the change in inhalers has increased the costs? Have
7 you seen patients complain about that? Does that appear to
8 be an issue? Have they had difficulty with technique or --
9 I mean, it just seems that there's not the uproar that I
10 would have expected, and maybe it's because it hasn't
11 impacted that many patients yet.

12 MS. LIBERA: We just have not had the -- when
13 this originally came up, we had a lot of discussions, but
14 the transition seems to have been fairly smooth in terms of
15 bringing attention to the efforts that are available and
16 assuring that there will be options available.

17 MS. CONNER: Right. I don't doubt that there
18 will be options. I just don't know if they are aware of
19 maybe the financial impact that may be coming, but like I
20 said, it depends on formularies and what managed care
21 companies will allow them to have, so that that directly
22 doesn't come right out of their pockets, but if they're
23 limited not only in changing what they're familiar with to
24 maybe something that's not a direct replication of that,
25 but yet a total different device because of the formulary

119

1 limitations of a managed care company, I just don't know
2 what -- it seems awfully quiet. I don't know whether this
3 is the calm before the storm or --

4 MS. WORSTELL: I would have to get more
5 specific information from our members than I have right
6 now, but I can tell you anecdotally that the issues of

7 formulary are real in terms of options.

8 I have not heard the complaint so much in terms
9 of cost to date as I have in terms of options, and those
10 would go back again because, for example, with Proventil
11 and Proventil HFA a couple of years ago, when it was first
12 coming on the market, the products, the HFA was made the
13 only Proventil available in some formularies, and the
14 switch was made without any kind of education of the
15 patient, and that was the issue.

16 I did not hear about costs at that time.
17 Certainly, I think there are concerns, particularly, for
18 example, some of our board members, some of our patient
19 advocates, because of changes in employment and changes in
20 health care, they have stayed with their regular physician,
21 and they don't have the same kind of insurance
22 reimbursement, and they're paying the costs of their
23 medication out of their pocket. Those costs are real to
24 those patients, and that is an increasing issue, and I
25 think as we see more products come on the market, the costs

120

1 will become more of a topic.

2 MS. CONNER: And I have to commend both of

3 these organizations. I don't know if the other committee
4 members are familiar with them, but it's going to be
5 organizations like The Asthma and Allergy Foundation and
6 the Asthma and Allergy Network/Mothers of Asthmatics that
7 are going to play a pivotal role in this education that's
8 going to be so necessary in the transition because their
9 newsletters get to the public and to the population that's
10 most affected by this type thing, and they are sort of an
11 unbiased resource of information, and I think they do a
12 great job.

13 DR. SESSLER: Thank you.

14 Dr. Meyer, the over-the-counter bronchodilator
15 issue was raised. I don't know if you would care to speak
16 to this at this time or later on.

17 DR. MEYER: Well, I think I would like to make
18 one point in that regard right now, and I think perhaps the
19 committee may choose to raise it again later, and we could
20 talk further, but I think the main issue I'd want to
21 clarify is that right now, epinephrine is being treated the
22 same as every other short-acting bronchodilator, meaning
23 that it would be considered on a moiety-by-moiety basis,
24 and we would anticipate that it will have sort of the same
25 paradigm as the prescription products.

1 Obviously it is somewhat of a different issue
2 in terms of when you get to the broader discussion of the
3 asthma armamentaria in the 2005 and beyond range. It has
4 perhaps a different role from the prescription products
5 arguably, but there's certainly no -- it's not carved out
6 as having essentiality forever.

7 For one thing, the Montreal Protocol doesn't
8 allow that, and that was not our intent. Our intent was to
9 include it in this rule as any other moiety and treat it as
10 any other moiety.

11 I gather that some of this question about
12 whether it's got more protection is because of its market
13 niche more than anything else, but we're not intending to
14 treat it differently.

15 DR. SESSLER: That concludes our scheduled
16 speakers for the open public hearing component. I'd like
17 to now open the floor, if you will, to any other
18 individuals who wish to speak before the committee in this
19 area.

20 (No response.)

21 DR. SESSLER: There appears to be no other
22 speakers. So what I'd like to do is we've got about 35
23 minutes or so left, and what I'd like to go forward with is
24 really to start the discussion of some of the discussion
25 points that we are scheduled to address in the afternoon,

1 and the first of these, I think, is an important one.

2 The order that was created by Dr. Meyer and
3 company, I think, is on target, in that I think some of the
4 issues that are basic issues, specifically comparison of
5 the different devices, I think, is a useful starting place,
6 and particular questions that I have, and what I would like
7 to do is invite commentary from the committee as well as
8 from FDA individuals about some of the comparison between
9 dry-powder inhalers and propellant-driven types of
10 inhalers, such as the HFA formulation, with the specific
11 limitations in mind.

12 In other words, not so much does this meet the
13 needs of the general population, but really how about some
14 of the other members of the population, such as children or
15 the elderly or those who have limited air flow capacity, or
16 environmental issues, such as excessive moisture in the
17 environment and things of that nature.

18 Are these products really comparable? The
19 follow-up point within that bulleted point is really what
20 about novel devices?

21 So I'd really like to toss it open. I don't

22 know, Dr. Meyer, if you want to make any opening comments
23 in that regard or if there are other individuals here who
24 have data or established expertise. We'd be interested in
25 their comments as well and then open comments by the

123

1 committee.

2 DR. MEYER: I think I covered sort of our
3 concerns on this discussion point earlier. So unless
4 people had any questions going into it right now, I'd throw
5 it open to discussion.

6 DR. JOAD: I think my biggest concern about
7 alternatives is that there should be something in the
8 regulation that should specifically address anyone who
9 cannot cooperate in any way with an inhalational device.
10 So that's young children who use an MDI with a spacer and a
11 mask or older people with cerebral palsy.

12 Anybody who can't actually cooperate in any
13 way, there should be an alternative for that group, and I
14 don't think the moiety-by-moiety approach may work for
15 that. I'd be happy to have it work that way, but that
16 might be a place where you needed a class-by-class
17 suggestion, that at least within each class, there need to

18 be -- if we don't use the word "MDI," and I understand why
19 you didn't do that, but there needs to be some sort of
20 phrase for a type of portable inhalation device that
21 requires no cooperation.

22 DR. SESSLER: Dr. Fink?

23 DR. FINK: Yes. Well, in use of the
24 alternative devices, such as one, the budesonide
25 Turbuhaler, I think initially I was concerned about it

124

1 being a pediatrician, and one of the things that had been
2 very helpful there that I think the FDA could encourage is
3 the fact that Astra made available a whistle that would
4 show whether a child could reach adequate air flow to use
5 the device properly has been very reassuring in introducing
6 the device as well as reassuring parents, and the only
7 improvement on it I could see is that if the whistle were
8 actually built into the actual device, that the dry-powder
9 inhalers could potentially have incorporated into them some
10 kind of patient feedback mechanism to ensure that you've
11 reached adequate peak air flow to deliver the dry powder,
12 that this would really be helpful.

13 It's nice to have the whistle separately, but
14 if it were actually integrated into the device, it would be
15 even nicer.

16 DR. NIEDERMAN: I just want to reiterate a
17 comment I had made earlier, and that is that we're focusing
18 a lot on alternative MDIs and on dry-powder inhalers, but
19 there are other types of devices that are out there. I've
20 seen one demonstrated that's a breath-actuated nebulization
21 in a portable device that's electric and takes liquid and
22 would be very easily used.

23 So I think we have to, first of all, hope that
24 this regulation is going to encourage through the free
25 market system development of products like that, and,

125

1 secondly, I think if we're going to make a specific
2 requirement in a moiety-by-moiety analysis, that we have to
3 have an MDI specifically available that may turn out with
4 better devices coming to be unnecessary.

5 We may have devices coming that are easier to
6 use than MDIs, easier than dry-powder inhalers, and we may
7 not want to be constrained to specifically require that an
8 MDI alternative to an existing MDI, if we can find through

9 the development process that there are better devices out
10 there.

11 DR. JOAD: Just briefly, to really make sure
12 it's clear that I really don't care if it isn't an MDI, but
13 I do care that it should be something that requires no,
14 absolutely no cooperation from the patient, that there be
15 such a thing for that group of people.

16 DR. NIEDERMAN: Or at least that it be not any
17 more cumbersome than the current MDIs. I mean, I'm not
18 sure that you can totally take out cooperation with the
19 current MDIs even with a spacer device. I think you need
20 some cooperation.

21 So I think the standard has to be that it's no
22 more patient cooperation-dependent than the current
23 devices.

24 DR. JOAD: I'm talking about a spacer with a
25 mask.

126

1 DR. GROSS: I'd like to extend those concerns.
2 I think the biggest concern that we should have, one of the
3 biggest concerns from the patient point of view is that in

4 five years' time, there are going to be 25 different ways
5 that patients have available, doctors have available to
6 prescribe for treating airways diseases, and we don't
7 really realize how fortunate we are at this particular time
8 that pretty well everything we want to administer through
9 the airways, we can do with the same device, and once you
10 know how to use a device to inhale albuterol, you don't
11 need to be taught again how to use the same device to
12 administer some other drug.

13 But that obviously is all going to go away, and
14 I have concerns that I'd like to address to the patient
15 advocates and particularly to the two members that we heard
16 from this morning that one of the biggest educational tasks
17 that they're going to be facing is that all of their
18 membership is going to have to learn how to use not just
19 one new inhaler but a different new inhaler probably for
20 every drug they have.

21 Unless one drug company is fortunate enough to
22 come up with an idea that's so good, that it simply waves
23 the other alternative agents away, and everybody wants to
24 deliver their medication through that one new device, I
25 don't think that's very likely to happen.

1 So I think that we have to get used to the idea
2 that everybody who wants to use a drug will probably have
3 to use it through -- every manufacturer who wants to make a
4 drug available probably will have to do it through a
5 different agent. That's really just an aside because I
6 think it's really a matter for the patient and their family
7 organizations to arrange to make sure that the education is
8 there, and obviously the FDA can't do that for you, but you
9 and your cooperation with other organizations, like the
10 Thoracic Society, and certainly with the pharmaceutical
11 industry, who are very interested, I know, in promoting
12 education and how to use their product well, I'm sure that
13 will have to be done very quickly.

14 But I would like to ask the FDA. Are you
15 confident that the industry is moving appropriately in
16 terms of the speed? Do you think that we will have all the
17 agents that we need to use by the year 2005 in CFC-free
18 form?

19 DR. MEYER: I think the industry has certainly
20 been tremendously responsive to this in general. I think
21 that it is clear, in fact we've publicly discussed in
22 congressional testimony and other places, that we're fairly
23 well aware that there are some products, you might call
24 them more minor products in the armamentarium, that we do
25 not have any evidence that they're being considered for

1 reformulation.

2 So we know there are some products that the
3 sponsors are not attempting to reformulate, and so I guess
4 in general, it seems like the industry's being very, very
5 responsive, and there will be perhaps alternatives for most
6 of the major players.

7 There clearly will be some that are either
8 straggling or perhaps not reformulated at all, and they
9 will present some, I think, challenges in the transition
10 process.

11 MS. CUSUMANO: The only thing I would add to
12 that is that 2005 is when we might start looking at those
13 products that haven't been reformulated. So it's not
14 necessarily a date when everything that's CFC's going to go
15 off the market.

16 DR. SESSLER: Dr. Kelly?

17 DR. KELLY: I guess the question that comes up
18 is on the approach that Dr. Joad talked about, was what do
19 you do for the existing non-approved uses of the CFC MDIs?

20 Dealing in pediatrics, we've been using them
21 for non-approved uses for a long time, and that has to do
22 with the face mask and spacer devices, and if you look from
23 a regulatory point of view at the moiety-for-moiety in just

24 looking at the approved uses, you might be missing some of
25 your patient population, and have you thought about how you

129

1 are going to approach it in terms of all the unapproved
2 uses as well?

3 DR. MEYER: Certainly, I think the way the
4 notice of proposed rulemaking is written, there's sort of
5 an upfront expectation that we're talking about a product
6 that has a moiety, a product that has a moiety with the
7 same indication as the CFC alternative.

8 But we clearly wrote the rule having in mind
9 that there are going to be other uses, other than the
10 approved indications, that we need to consider, and I think
11 that that will be part of the discussion that occurs at the
12 time of notice and comment rulemaking or any other approach
13 that we are taking in the future when we go to delist a
14 specific moiety. We will need to consider such uses.

15 I would add that the agency, through a recent
16 revision in our Act, has much more authority now to really
17 upfront expect pediatric trials specifically, and I think
18 that we are very anxious to even look at some use that we

19 know is going on, even if it's not approved, in terms of
20 the safety and efficacy of that, and that could include
21 things like the use of spacers and masks and so on.

22 So there's perhaps not a neat answer for that
23 right now, but I think we're building in ways in our notice
24 of proposed rulemaking to address this, and the agency also
25 has other ways that work at least for the pediatric

130

1 population that we're going to be getting such data that
2 will be helpful for our ultimate assessments.

3 DR. SESSLER: One of the questions that I have
4 relates to the impact of moisture on the dry powder. That
5 was mentioned, I think, in the original presentations, and
6 I guess I don't have a good feel for the magnitude of that.

7 Is it really a substantial barrier in regions
8 or locales that have extremely high humidity or what are
9 the real limitations? I don't know if you have data or if
10 a member of the division is an expert on DPI and perhaps
11 familiar with the European experiences and so on. If you
12 could comment.

13 DR. MEYER: Well, our chemistry staff is most
14 intimately involved with these issues, but they require

15 testing during the development of any of these products,
16 including the dry-powder inhalers, of exposures to certain
17 conditions, including high humidity conditions, and I might
18 add that those high humidity conditions are perhaps even
19 perhaps a little bit lower humidity than might be
20 experienced in some regions of the United States. You
21 know, Louisiana in the summer, for instance.

22 DR. SESSLER: Richmond.

23 DR. MEYER: Yes. Even Washington, D.C. But I
24 think the other side of that is the chemistry staff is also
25 very realistic about wanting to make sure that these

131

1 products hold up under those high humidity conditions, and
2 really they pay a lot of attention to those sorts of
3 issues.

4 But it is the reason why some dry-powder
5 inhaler products are overwrapped, come in sort of a foil
6 pouch, or in fact, others have deskins actually in the
7 device, and it's the reason why some of the ones in the
8 overwrap, for instance, have an in-use period.

9 In other words, they have instructions that

10 after it's been taken out of that pouch for a certain
11 amount of time, they're no longer considered as within
12 their expiration date, and because the particle size does
13 shift over time due to humidity, and so we're fully
14 confident that when used as directed, that they will
15 perform safely and effectively.

16 But I think the industry knows, and we know,
17 that some of these are susceptible to moisture and that
18 changes the way they need to be handled.

19 DR. SESSLER: I guess as a follow-up, is the
20 magnitude sufficient that this should be an additional
21 consideration in terms of -- and I know it has been in a
22 therapeutic class, that I believe you mentioned the
23 original proposal included two different approaches to drug
24 delivery at a minimum.

25 I don't know if that disappears with the

132

1 moiety-to-moiety approach. For example, for inhaled
2 corticosteroids, is it possible that they would all be dry
3 powder, and is our concern overstated about the moisture
4 problem or is it something that if we feel confident that
5 every single delivery system was similar, would that put us

6 in to difficulty for certain patient populations?

7 DR. MEYER: I think for just the issue of an
8 adequate alternative on a moiety-by-moiety basis, that, for
9 instance, if there were a dry-powder inhaler approved for a
10 moiety that was delivered in CFCs, but there was no
11 alternatively-propelled MDI, if that DPI's approved, then
12 we are confident that it will perform sufficiently well in
13 all the circumstances that are likely to occur.

14 I mean, there's always surprises, and I'll come
15 back to that in a second, but if it's used correctly in all
16 regions of the United States, according to the labeling,
17 that it will perform adequately.

18 The question is if that means that after it's
19 taken out of the overwrap, it's only good for three months,
20 is that an adequate alternative? I mean, issues of
21 inspiratory flow aside, is that an adequate alternative,
22 knowing that perhaps some people keep their CFC inhalers in
23 their gym locker at the Y for a couple of months, and if
24 they need it, they go grab it, the gym locker room being a
25 fairly moist place.

1 So I guess that's the question. We know that
2 they will perform sufficiently when used as labeled. I
3 alluded to there being surprises. I don't mean from some
4 sort of a regulatory standpoint. Patients sometimes will
5 do things that you can't anticipate to, but that's true of
6 MDIs as well.

7 DR. SESSLER: Dr. Jenkins?

8 DR. JENKINS: If I could just follow up on that
9 as well. Moisture is not only a problem for dry-powder
10 inhalers, it can also be a problem for the metered-dose
11 inhalers. It seems counterintuitive that moisture can make
12 its way into those canisters, but I've been surprised to
13 learn since I've come to the FDA that it can, and it does.

14 So you may even see in the future some of the
15 alternatively-propelled metered-dose inhalers may have
16 protective foil overwraps and may have dating periods after
17 you've taken them out of the overwrap, how long they might
18 be in specifications.

19 So it's a big problem for the dry-powder
20 inhalers, but it's not unique to the dry-powder inhalers.
21 It can affect the metered-dose inhalers as well. Moisture,
22 it's everywhere, and it manages to get to wherever you
23 don't want it to get.

24 DR. SESSLER: Thank you.

25 Any comments from the pediatricians here? I

1 know we've heard already a little bit. That seems to be
2 again one of the special populations that we want to be
3 sure that the device issue is adequately addressed, given
4 the important differences between kids and adults.

5 Any further comments from the pediatric folks?

6 DR. FINK: Well, what's currently on the market
7 doesn't meet the needs terribly well, and hopefully there
8 will be some better novel devices out there.

9 The spacer/mask combination is usable, but you
10 don't know how much it's delivering, and it sure is not the
11 kind of thing you like to do repetitively to an infant.
12 It's not usually a good maternal/child interface.

13 So I think that there's real room there for
14 something novel. I mean, something that was a liquid,
15 electrically operated or battery operated liquid device or
16 that a dry-powder device that created a cloud. I mean, I
17 don't know what people are going to come up with, but
18 definitely some better devices for the minimally-
19 cooperative patient would be appreciated and needed.

20 DR. JOAD: Well, I just had a concern when you
21 mentioned that when they reformulate, they may not have to
22 reformulate in the same doses, and just getting at our use
23 in very young children, I wouldn't want all of those doses
24 to be higher than what's presently available.

1 to a degree, but with the 1998 pediatric rule. When a
2 company files an NDA or a supplement, if there's pediatric
3 use for the product, they'll have to do a pediatric
4 assessment, which could include the development of a new
5 formulation.

6 DR. JENKINS: Actually, one of the positive
7 benefits, if you want to view positive benefits of the
8 transition, is that it has spurred a lot of innovation. So
9 we're no longer in a scenario where everything is just
10 focused on CFC-based metered-dose inhalers.

11 There's a tremendous amount of innovation going
12 on within the pharmaceutical industry, not only for the HFA
13 MDIs and the multidose dry-powder inhalers, but there's
14 also a lot of innovation going on on other unique and novel
15 delivery systems.

16 So we may get a side benefit from this
17 transition that we actually get better devices, and in many
18 ways, they're being stimulated by the need to reformulate
19 where maybe they would not have been so incentivized in the
20 past. So that may be a plus.

21 DR. SESSLER: Any other comments related to
22 delivery devices?

23 DR. FINK: Just one comment that has been an
24 improvement in some of the newer devices, like the Diskus
25 or the Turbuhaler. It would also be the opportunity that

136

1 as MDI replacements come along, there has for a long time
2 been a problem of deciding when it's empty and requiring
3 manufacturers to have some kind of built-in feature in the
4 device so that when drug is no longer available, the device
5 somehow indicates that it's not delivering drug any
6 further.

7 DR. SESSLER: I'm going to move ahead to the
8 second bulleted point, and this will probably finish up the
9 morning session. The question is posed: how can FDA best
10 determine the medical needs of patients who previously have
11 relied on CFC MDIs are being sufficiently met?

12 So this is kind of, certainly, how can we do
13 it? Yes, we need to do it, but the question really is what
14 suggestions from the committee and others do we have as to
15 the how?

16 DR. NIEDERMAN: I think that this is going to
17 be a key issue, and we're certainly going to need to rely
18 on patient reporting and post-marketing surveillance, but I
19 guess the amount of data that's available now through
20 insurance companies and Medicare and so forth probably
21 would allow access to monitoring general admission rates
22 for asthma, ER visits and so forth, and I think that that's
23 going to be certainly one important end point to look at.

24 If we saw an upturn in the amount of emergency
25 visits for asthma during a transition period, I think that

137

1 would be very important data to have. So I think there's
2 going to need to be multiple levels on which this is looked
3 at, but I would encourage that part of the program involve
4 some of the larger databases that will be available on a
5 community-wide level to be looking at general use of
6 emergency services for asthma.

7 DR. APTER: I guess I would most like to see
8 randomized clinical trials in patients. Those data are
9 very useful, but they can also be flawed. For example,
10 sometimes outpatient prescriptions by the physician are not
11 linked to pharmacy bases or not linked to emergency room

12 visits and hospitalizations. So it can be useful but very
13 difficult.

14 DR. NIEDERMAN: I think that's good, but --

15 DR. APTER: So ideally randomized trials
16 comparing head-to-head, the old with the new, would be most
17 ideal.

18 DR. NIEDERMAN: But I think if we require that
19 in order to license a new product, it's going to discourage
20 some of this innovation and development, and I don't know
21 how much licensing you're going to require or how much
22 demonstration of efficacy you're going to require before,
23 say, a novel device gets licensed for the delivery of, say,
24 albuterol.

25 DR. MEYER: It, I guess, depends a little bit

138

1 on the moiety in question. If it's an already-accepted
2 moiety, there undoubtedly would be some streamlining to the
3 number of trials and the types of trials that might be
4 required, but we certainly would expect adequate
5 demonstration of safety and effectiveness in the intended
6 population and then perhaps, in some respects, a long-term

7 trial to both look at the safety of the formulation but
8 also how the new device holds up over time in terms of
9 performance in patient hands.

10 DR. NIEDERMAN: Well, as a matter of just
11 understanding, when the albuterol HFA was released, what
12 type of data was needed in order to get approval?

13 DR. MEYER: There is some pre-clinical data,
14 some toxicology data to look specifically at the
15 reformulation that would be expected for that sort of
16 product. There are dose-ranging trials which, for
17 bronchodilators, generally can be single-dose cross-over
18 trials to compare it to the CFC product, and then there
19 generally would be at least one, and I'm forgetting now
20 that with Proventil HFA, whether it was in fact more than
21 one 12-week adequate and well-controlled randomized trial
22 in comparison to the CFC product to see how it performs,
23 and then the one-year safety study to look at in an open-
24 label fashion how the patients tolerate it over time.

25 DR. NIEDERMAN: So presumably any new product,

1 no matter how it's delivered, HFA or novel device, in order
2 to get approval as a replacement is going to have to go

3 through a head-to-head comparison with the CFC product?

4 DR. MEYER: For a product that's essentially a
5 new formulation, contains a previously-approved moiety, one
6 of the paradigms there for the sponsor to do an abbreviated
7 program is for them to compare it head-to-head to show how
8 it compares to the prior products, and we can rely on our
9 previous finding on safety and efficacy to help label the
10 new product.

11 They have the option of doing a full new
12 program and not comparing it head-to-head, but again in an
13 abbreviated program, we would expect some level of head-to-
14 head comparison to allow us to rely on our previous
15 findings.

16 DR. NIEDERMAN: And if, hypothetically, an HFA
17 version of a generic albuterol were to become available,
18 would that be immediately accepted or would it also have to
19 be tested and compared since there's a branded albuterol
20 HFA available?

21 DR. MEYER: Well, it would be like any other
22 approval of a generic, and basically they have to show
23 bioequivalence. So it has to be the same in terms of its
24 pharmaceuticals, and then they have to prove bioequivalence,
25 which, for the albuterol products, basically means doing a

1 very rigorous single-dose cross-over comparison to the
2 innovator product and showing that it acts the same.

3 So in some ways, that particular study is more
4 rigorous than we're asking for, like Proventil HFA did not
5 have to show bioequivalence, but, on the other hand, for a
6 generic, that's all they have to show. They have to show
7 that they're pharmaceutically the same and then
8 bioequivalent, and then they're done.

9 DR. NIEDERMAN: So if those trials aren't
10 adequate, then I would still think monitoring some sort of
11 larger databases will give you some general trends, maybe
12 not accurate but certainly you're going to have these
13 comparative data, and you're going to want the reassurance
14 that the asthma field hasn't changed because of the
15 availability of these new products.

16 DR. MEYER: I think clinical trials, well-
17 controlled clinical trials tell you a lot, but they don't
18 tell you certainly everything, and particularly they're
19 very well-groomed patient populations that are taken into
20 them. They're the only the patient populations for which
21 the drug is indicated, and I think we're very much
22 interested in the post-marketing period about what happens
23 in the patients who are using it for other indications off-
24 label or more severe patients than were the clinical trials
25 or younger or older, so on.

1 And then, finally, just due to some of your
2 statistical limitations, if you have a database of a
3 thousand patients, you're not likely to pick up a very rare
4 event. So if there was some rare reaction to the
5 formulation, we wouldn't pick that up in clinical trials,
6 unless we were quite lucky, either. So.

7 DR. SESSLER: Dr. Kelly?

8 DR. KELLY: Yes. Actually, I think the
9 clinical efficacy and safety trials are the easiest part,
10 as you alluded to, and it's the clinical effectiveness
11 studies that we all struggle with on how do you get that,
12 and I'm not sure large databases from, say, Kaiser or
13 something like that on emergency room or hospitalization is
14 even sufficient.

15 What I'd be more interested in is, you know,
16 how many school days missed in a patient population because
17 of less patient acceptance of a particular device, how much
18 work days are missed.

19 Can we somehow get to those types of
20 populations through employer data, and I don't know whether
21 that's possible or not, but I think you're looking at using

22 the current things that we use now, you're just looking at
23 the tip of the iceberg of asthma.

24 You can define clinical efficacy, but in terms
25 of the acceptance by the patient population, what you want

142

1 is the effectiveness.

2 DR. MEYER: Let me make a statement in that
3 regard and then invite you to comment back or invite
4 anybody else to comment back, because I think if we're
5 effective -- we being the FDA -- in gaining communication
6 with the patient community, either through their advocacy
7 groups or otherwise, I think the patient community, perhaps
8 knowing that they've shifted a product, will be pretty good
9 about coming forward and saying, you know, since I switched
10 on this, I don't think I'm doing as well.

11 I think that we're probably more worried about
12 specificity than sensitivity from that kind of data. So I
13 guess if we do our job in terms of communication with the
14 patient community, I suspect we may at least get some
15 handle on important differences. That's perhaps not the
16 ideal way to approach it, but I think that that will be one
17 thing that will be available to us.

18 So I offer that as a comment and see what you
19 think. It's not rigorous, but certainly again I think
20 patients are quite sensitive to switching products, and if
21 they do, and they detect a deterioration in their
22 treatment, as long as there's a way for that to be
23 transmitted back to us, I think that we'll at least have a
24 signal there.

25 DR. SESSLER: I think one of the important

143

1 questions, also, is availability, moving away a bit from
2 the specific characteristics of the drug and safety and
3 efficacy, but availability in particularly the underserved
4 populations and the risk for them perhaps having less in
5 the way of asthma control.

6 Are there ways of coordinating efforts in terms
7 of epidemiologic studies and funding for those studies with
8 other government agencies, such as NIH, to look at this not
9 so much as it relates to a single drug product but really
10 the care of asthma in transition? Is there a way of having
11 our voices heard and the FDA's voices heard as far as
12 enlisting their financial support and so on for actually

13 doing good epidemiology?

14 DR. MEYER: I'm sure there are ways that that
15 could be approached outside of the FDA's normal paradigm,
16 and we do have access to managed care databases and such
17 for doing some of our post-marketing assessments, and
18 obviously that's not what you're talking about, and I know
19 that those populations are of substantial interest to many
20 groups, including the NAEPP.

21 So I think there are opportunities for that
22 kind of partnering. I think that's something we'll need to
23 consider as we move ahead.

24 DR. SESSLER: Two more comments, and then I
25 think we'll break for lunch. Dr. Fink, and Dr. Joad.

144

1 DR. FINK: I would just be very concerned about
2 the use of epidemiologic data and large databases because
3 there are multiple transitions occurring in the care of
4 asthma.

5 There's the NAEPP guidelines. There's the use
6 of the leukotriene modifiers. We may soon have interleukin
7 modifiers and other products that are not traditional CFC-
8 containing devices that are going to modify the spectrum of

9 asthma treatment, and these are going to be reflected in
10 any large database, and I don't know how you're going to
11 separate out the CFC transition from all of these other
12 undercurrents in the treatment of asthma that are
13 occurring.

14 The fact that we're now getting asthma-friendly
15 schools is an accepted concept. I think some of these are
16 going to have much bigger impacts on what we see nationally
17 or even locally than the switch or phaseout of CFCs.

18 DR. SESSLER: Did you have a comment?

19 DR. JOAD: Yes. In your head-to-head
20 comparison of the alternatives, are you going to have a
21 required measurement of convenience to the patient as part
22 of that? Did they think of that since that's one of your
23 criteria?

24 DR. MEYER: I don't think we really envisioned
25 convenience as being something that we would gain a feeling

145

1 for out of the controlled clinical trials because, for one
2 thing, most of those trials are done in such a manner that
3 patients are instructed heavily and told how to use it, and

4 you don't really get a good feel for how convenient they
5 are.

6 That being said, there are many manufacturers
7 that do sort of ask a general questionnaire at the end of
8 the study of what do you think of this device and often
9 will present us that kind of data for, say, a dry-powder
10 inhaler that's a reformulation of a CFC.

11 But I think we were talking or thinking more in
12 line of us sort of, from our scientific standpoint, perhaps
13 using input from the committee, where appropriate, on what
14 the level of convenience seemed to be, and any signal or
15 any information that came from the clinical trials would be
16 additional to that but not the primary way of assessing it.

17 DR. SESSLER: Dr. Vollmer?

18 DR. VOLLMER: I've been puzzling over the
19 various issues around this question. It seems to me that
20 you're inevitably going to be doing a mix of both clinical
21 trials certainly in the pre-approval phase as you're
22 looking at head-to-head comparisons and how things shake
23 out.

24 I would concur that taking account of more
25 patient focused outcomes, such as quality of life and sick

1 days and days lost from work for parents, are going to be
2 relevant outcomes to be looking at, but once the drug is
3 approved, you're going to have certainly access to it and
4 an enormous amount of post-marketing data, and even
5 acknowledging the difficulties that were raised about
6 secular trends and what else is going on, I think it's
7 going to provide useful information.

8 As I thought about the way this talking point
9 was phrased, how can we best determine the medical needs of
10 patients who previously relied on the CFC MDIs are being
11 sufficiently met, it seems to me there's two issues buried
12 within that.

13 One is a suggestion that there may be adverse
14 effects associated with the new devices in particular
15 populations either because they're not able to use them
16 properly or whatever, and that's going to have to be looked
17 at closely, and to the extent that there are anticipated
18 concerns, I think a lot of the patient advocacy groups in
19 particular are going to have to be important spokespersons
20 as these drugs are being brought forth in the development
21 process so that we take the time to look properly at their
22 use in those groups.

23 The second issue is even if there are no
24 adverse effects from their use, there just may be
25 populations that are unable to use them, as was pointed

1 out, and so that issue about patients being underserved
2 needs to be looked at and monitored probably in post-
3 marketing data or whatever of who's really using these, and
4 that's going to inform the process of dropping the
5 essential classifications from the CDCs that are currently
6 being used for this population.

7 So it seems it happens in two stages. First,
8 often the original approval, if there are concerns, you're
9 going to have specific head-to-head focus comparisons to
10 look at what happens. Are there potential adverse effects
11 or problems in using these medications?

12 If that doesn't surface, you still have the
13 opportunity in the post-marketing data to find out whether
14 certain populations really wind up using them for whatever
15 reasons, and if not, then it's going to be important to
16 keep the alternative CFC formulations available for those
17 populations until something else comes along that does work
18 for them. So you have a little bit of both things going
19 on.

20 DR. SESSLER: Thank you. I'd like to thank
21 everybody for their comments.

22 When we start back, we'll be heading right back
23 into post-marketing. So it's related, and so if you have

24 additional comments related to this issue, I think it will
25 fold in nicely with that.

148

1 We'll meet back here at 1:00 and start on time.

2 Thanks.

3 (Whereupon, at 12:02 p.m., the meeting was
4 recessed for lunch, to reconvene at 1:00 p.m.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

15 post-marketing data, and I think some of the conversations
16 that we were having just before lunch can easily be carried
17 right into how this might translate into post-marketing
18 data discussion as well.

19 So I'd like to read that and then just toss it
20 open for comment, and then when Bob gets back, we'll ask
21 him to make a comment about one of the other points.

22 What kind of post-marketing data will be most
23 helpful to ensure patient needs are being met, and that the
24 product is proving to be reliable and acceptably safe in
25 broader use?

150

1 I know there has been some discussion already
2 about post-marketing data with, I guess, differing views to
3 a certain extent. I'd like to toss it open for comment
4 from any committee members.

5 DR. FORD: I'd like to concur with some of the
6 comments that Bill made and the two Bills, Bill Kelly and
7 Bill Vollmer, about the need for studies that assess
8 clinical effectiveness, and I would also suggest that
9 there, it would be appropriate to really look at some

10 process measures because one of the issues that's going to
11 really come up is, you know, how good is the education
12 that's going in, and in interpreting effectiveness data
13 ultimately, part of what we will have to know is whether or
14 not the message is breaking down at some point in the
15 chain, although I would expect that with increasing
16 experience with a product, that that issue would be
17 addressed, but it might be worthwhile monitoring whether or
18 not -- you know, how much confusion there is in practice,
19 let's say, in terms of the different maneuvers that
20 patients have to learn to do effectively.

21 DR. SESSLER: Anything specific that you or
22 anybody else would like to add? I think one of the things
23 that FDA is always interested in are specific approaches.
24 If we have any experience with it or any particular ideas?

25 DR. FORD: In studies, just to follow up on

151

1 that, if it were possible to in fact have data about
2 whether or not, one, providers are communicating properly
3 to patients in terms of how to use those devices should be
4 a no-brainer.

5 I would think these devices are easier to use

6 than the MDIs, but on the other hand, it's probably
7 worthwhile to look at that and that's just built-in process
8 measures in general, and this would be one of them.

9 DR. NIEDERMAN: Curt, I guess I'm confused
10 about one thing. If there's a new product that becomes
11 available without a CFC, will the CFC product be available,
12 say, during the first year while the product is being
13 trialed or has FDA decided?

14 DR. SESSLER: I think the answer is yes, but
15 Dr. Meyer can comment.

16 DR. MEYER: Yes, unless the company chose to do
17 otherwise.

18 DR. NIEDERMAN: Because I think that that
19 provides an immediate opportunity for post-marketing
20 studies, to see with different products the ease of
21 acceptance compared to the CFC and what the usage patterns
22 are, because I'm not sure how you're going to mandate that.

23 In other words -- and I'm not sure how you
24 would regulate that. I think that may be an important
25 issue. If you get an HFA version of an inhaled steroid,

1 and you have the CFC version on the market, particularly if
2 the costs are different, how do you get shifting from one
3 to the other, and depending on what the answer to that is,
4 then the monitoring of that shift may be one post-marketing
5 way to look at the efficacy and the acceptance of that
6 product.

7 DR. FINK: I think if the pharmaceutical
8 companies are as aware of marketing as they usually are, a
9 company's going to be faced with the decision that they
10 either come out with both at the same price or they're
11 going to price their new product lower because if they
12 price their new product higher, then they've got to stop
13 production of the CFC-containing device, and people aren't
14 going to switch to a new product if it's significantly more
15 expensive if you leave the low-priced alternative on the
16 market.

17 DR. NIEDERMAN: Yes, but I think the problem
18 might be if there's a generic version of it or a CFC
19 alternative to it. You're right. For the one company that
20 does it -- I mean, I think you take the example of
21 albuterol HFA. Schering, I guess, eventually stopped
22 making Proventil in favor of Proventil HFA, but --

23 DR. FINK: They still make Proventil, I think.

24 DR. NIEDERMAN: I'm not sure. I'm not sure you
25 can get it, but you can certainly get the generic

1 albuterols with CFCs, and we talked earlier, but I don't
2 know whether it's the product or it's the price that
3 accounts for the fact that the uptake was so low.

4 At least it's something you can monitor. The
5 question is, it probably will tell you different things
6 about different products, depending on what products are
7 remaining with CFCs in that moiety department or that
8 class.

9 DR. SESSLER: Dr. Fink?

10 DR. FINK: One comment that's really not post-
11 marketing, but as the newer products come out, one thing
12 that I think would help, particularly for post-marketing
13 surveillance and for general medical care, is if FDA could
14 come up with some kind of standardized recognition of
15 packaging, i.e. that inhaled steroids had to share a blue
16 stripe, and then they could be any other color, because as
17 a clinician, I can tell you that if you look at the various
18 color array of MDIs out there right now, and you talk to a
19 patient who's using two or three different MDIs, trying to
20 get a straight history of what they're taking of which drug
21 is difficult.

22 I don't know whether it should be moiety-by-
23 moiety or class-by-class, but using some coloration of
24 packaging to help physicians identify what kind of drug

25 you're dealing with would actually potentially be very

154

1 helpful both for the physicians and for the marketing.

2 DR. SESSLER: Let me just ask a follow-up to
3 that. Are there requirements that have been -- is there
4 precedent, I guess, for anything like that, either in
5 pulmonary drugs or in other areas?

6 DR. MEYER: I think I may need to defer at
7 least part of this to Dr. Jenkins from a broader center
8 perspective because there may be some areas -- it strikes
9 me that there might be some paradigm like that for some of
10 the ocular medications, but I'm not entirely sure on that,
11 and I don't know whether Dr. Jenkins knows either.

12 But it's not something that we have
13 historically looked at in the Pulmonary Division. I know
14 the U.K. does something very similar to that, where I
15 believe the short-acting relievers are blue and the
16 corticosteroids are brown or something like that, make
17 color coding up tomorrow's product interesting, but --

18 DR. JENKINS: I don't think there's been any
19 effort to do that with pulmonary products. There have been
20 efforts in some other parts of the agency. For example,

21 there's some standardization of insulin labeling so that
22 they have the same nomenclature and the same symbols for
23 regular insulin versus other types of insulin, and I think
24 there's an international working group that's working on
25 trying to come up with standardized colors and labeling for

155

1 the various types of insulin, but that's not been something
2 that's been considered or done in the pulmonary side of
3 drugs so far.

4 DR. SESSLER: Along the lines of post-marketing
5 surveillance, there's certainly a body of data from Europe
6 and from other places where some of these products will
7 have been approved and in use for some period of time, and
8 this was alluded to by Mr. Jamieson as well.

9 What is the value of the European data, do you
10 think, in terms of should it impact on the type or the
11 amount of post-marketing data that we collect here in the
12 States?

13 DR. MEYER: I think there is certainly value to
14 non-U.S. data. That can be somewhat limited by the type of
15 question we're asking here in terms of what kind of data we

16 actually can glean because we're asking some questions
17 different from the usual regulatory questions.

18 The other thing I think that I should point out
19 is that the non-U.S. versions of these products are not
20 necessarily the same product as the U.S. version. So there
21 would be, I guess, in theory, instances where the non-U.S.
22 data clearly would not directly apply to the U.S. product
23 if the products were different.

24 DR. JENKINS: Curt, maybe to stimulate some
25 discussion, one of the parts of this question that we've

156

1 discussed internally, and I think Mr. Jamieson actually
2 touched on also, is whether, when we talk about post-
3 marketing data, should we be happy with the passive
4 surveillance type of data that we've been talking about
5 around the table or do you see a role for actual studies
6 that, you know, have a protocol and have a design, maybe
7 some sort of large simple trials or real use type of
8 trials?

9 There was a trial of that nature that was done
10 with the Proventil HFA product. It's actually called
11 Airomir in the U.K. There was a post-marketing

12 surveillance type of prospective study that was done in
13 general clinical practice, and I guess we're interested in
14 hearing the committee's thoughts about are those types of
15 studies worthwhile? Should they be required? Should they
16 be requested? Where do you see those fitting in to the
17 overall schema of collecting this post-marketing data,
18 really getting to the issue of are patients' needs served?

19 DR. JOAD: Could you just explain a little bit
20 more what that is? What post-marketing large study is?

21 DR. JENKINS: Well, if you go back and look,
22 remember that the pre-approval clinical trials are very
23 rigorously controlled. They have very selective entry
24 criteria. So you actually generally end up with mild to
25 moderate asthmatics who are fairly compliant with their

157

1 medications, and they're in a rigorous 12-week clinical
2 trial with a low of follow-up.

3 A post-marketing type of study that may be of a
4 simpler design might be more of a general practice type of
5 study, where you in some way randomize patients in practice
6 to one therapy or the other, but you're kind of following

7 how the drugs are actually used and not proscribing so much
8 up front about entry criteria, exclusionary criteria,
9 indications, et cetera. It's kind of that type of more
10 simple design, real-world type of experience.

11 DR. JOAD: I think it's an excellent idea. I
12 think you should have something like that afterwards.

13 DR. SESSLER: Actually, why don't we --

14 DR. JOAD: Outcome, right.

15 DR. SESSLER: Why don't we do something a
16 little bit differently? I haven't done this type of thing.
17 We've had such good conversations, but I think this may be
18 a good time to go ahead and we can go around the table and
19 offer an opinion, because I think it's an interesting way
20 to get everybody to voice their questions and concerns and
21 ideas.

22 Jean?

23 DR. FORD: I think large simple trials or other
24 kinds of approaches to post-marketing studies, but that in
25 particular would give us a sense of what's going on in the

1 real world, and if the studies are designed so that they
2 can be informative also as to why we get this or that

3 result in terms of clinical effectiveness, I think that
4 that would be useful. So I would think this is a good
5 approach.

6 DR. VOLLMER: I'm biased towards research since
7 that's what I do as my bread and butter, but I'm trying to
8 be as objective as possible in looking at this question.

9 Certainly the kinds of studies that you're
10 describing would garner a lot more insight into what's
11 going on. It sounds like you'd be doing things above and
12 beyond what you normally do for regular drugs that are
13 coming through.

14 Questions that might be of particular interest
15 to me might be if you have access to a population-based
16 data set, and you can look at utilization profiles in the
17 period prior to this coming on board -- I mean, looking at
18 what's happening, so that would allow you to address on an
19 individual basis the extent to which their utilization or
20 compliance with medication seems to be changing for one
21 medication versus a different medication. To the extent
22 that you have different groups, and you can follow them in
23 parallel over time, you can also look concurrently at that,
24 but you'd also want to be careful to try to stratify
25 populations, sort of case mix that analysis, so that you

1 can be looking at the more or less severe segments. You
2 can try to identify people who may be taking medications
3 for other co-morbidities that you're particularly
4 interested in.

5 So can you identify through these databases
6 some populations who have other co-existing diseases that
7 might make them particularly at risk here? So there's
8 certainly quite a bit you can learn from it, but to do it
9 right, it's an expensive undertaking.

10 DR. APTER: Well, I think studying post-
11 marketing drug use will be important, too, and it might be
12 a way to test educational programs for the new medications
13 and in different groups with different educational
14 achievement to see how well various programs are accepted,
15 to help patients to use the new medications, in addition to
16 things like quality of life and the other outcomes of
17 patient satisfaction, plus efficacy, in terms of disease.

18 DR. FINK: I guess I'm not as convinced that
19 post-marketing studies are going to be very valuable. If
20 they are global, I think they're going provide too little
21 control and too little data to really tell us anything.

22 So I would support potentially targeted post-
23 marketing studies that are addressing specific questions,
24 like compliance in three- to six-year-olds or adherence or
25 medication efficacy in an age group, but I think global

1 post-marketing studies are really not going to probably
2 yield much useful information.

3 DR. GROSS: If I understand the question, it's
4 how are you going to make sure the patients are willing to
5 use new devices and do actually use them, and obviously
6 that's a very, very important question, and I don't know
7 whether it's because it's after lunch, or I'm just
8 generally losing my creativity, but I really can't think of
9 a good way to do that, unless you do it in a controlled
10 clinical trial pre-marketing, or as part of the marketing
11 process.

12 I mean, it could take you an awful long time to
13 get enough data together from kind of casual studies post-
14 marketing, and I don't have a great deal of confidence in
15 those studies anyway and the outcomes.

16 I would think probably what Andrea suggested
17 this morning is the best way to do it, is just simply to
18 say we have to do a randomized study where some patients
19 get the traditional inhaler and other patients get the
20 innovator, and you follow clinically-relevant outcomes,
21 number of visits to the ER, number of unscheduled doctor

22 visits, amount of rescue medication if that's, you know, an
23 appropriate outcome, and, you know, the usual and
24 traditional measures, like peak flow, and things like that.

25 But I guess it's going to take a lot of

161

1 patients, and it will be maybe quite difficult to evaluate
2 these studies, but I just can't see any other appropriate
3 way to monitor whether patients are using their new devices
4 and whether they're actually getting the medication. I
5 just don't see any shortcut to getting that information.

6 DR. JOAD: I do think it's a good idea to do
7 that kind of a study. I understand it won't be as complete
8 as what you do for the pre-marketing approval, but I think
9 you'd get a lot of good information, and you do have to get
10 some sort of sense of how convenient it is and how much
11 people approve of using it, and from what we've heard, it
12 doesn't sound like you can count on the market to make a
13 place for it in such a way that there's a way to evaluate
14 it.

15 DR. SESSLER: I think it's an interesting area
16 that has a lot of different potential answers to it. I
17 don't know if we have an ability to do a more aggressive

18 passive surveillance, if that's something that would be
19 useful in terms of reaching out and trying to learn about
20 populations that are missed with this or that suffer from,
21 you know, underserved populations and so on, or those who
22 are having difficulty using the device.

23 If you're going to do prospective trials, it
24 seems that they really have to be based on clinically-
25 meaningful outcomes in terms of missed days of school or

162

1 work or outcomes that are, if possible, directed in that
2 direction rather than physiologic changes.

3 It's an important question. I think it's a
4 hard one to answer, though. Some of those things might be
5 helpful, I think, some of the ideas that have been
6 presented so far.

7 Dr. Kelly?

8 DR. KELLY: Like the other Bill, because I do
9 research, yes, I do more research. That way, I can get
10 more money.

11 On the other hand, when you start thinking
12 about all the things that we've been talking about in terms

13 of different groups and trying to stratify by those
14 different groups, those are enormous studies, very large,
15 cost a lot of money to do, and in order to get enough
16 patients in some of those groups that we're interested in,
17 I'm not sure that a prospective trial is capable of
18 achieving that because even in those post-marketing trials,
19 you end up with patients that are willing to participate in
20 a trial, even if it's for a year, and so it's a lot
21 different than patient population as a whole that we're
22 interested in.

23 So unlike everybody else, I have no answers,
24 just questions. I think there are databases out there that
25 can be mined, such as Medicaid DUR databases, databases in

163

1 large HMO populations, that can set up a relatively
2 rigorous change, and if you could convince a very large HMO
3 and then some state Medicaid programs, which deal with
4 different types of populations, and looking at the
5 introduction of the new devices in those populations, you
6 might get a better handle of acceptance and utility in
7 those populations than setting up a prospective trial.

8 DR. DYKEWICZ: Well, I've got a few thoughts.

9 One is that even with our conventional MDIs, we know that
10 despite sometimes fairly aggressive efforts at instructing
11 patients in how to properly use inhalers, if you look a
12 month or two down the road, the patients really are not
13 exercising very good technique, and if we're looking at
14 some of the CFC alternative products that might have a
15 little bit more complexity to them, to their working, there
16 might be more of an issue with fall-off of clinical
17 effectiveness because of problems being consistent with the
18 device utilization, the technique of utilization.

19 So I think even though to some extent, this
20 echoes the points that have been made earlier, that we may
21 be going above and beyond what is currently required for,
22 let's say, the CFC-containing MDIs, there is the concern
23 that unless we do something, we may be seeing some drop-off
24 in patient effectiveness that had not been anticipated.

25 The other thing that comes to mind, and I'm not

164

1 sure whether this would be pre-marketing or post-marketing,
2 but one of the questions, of course, is going to be whether
3 or not if you introduce an alternative innovative product,

4 whether all the subgroups are being properly cared for,
5 could be cared for by using such a device, and I think if
6 you're looking at large population studies, it's going to
7 be nearly impossible to glean any meaningful data about
8 that, and you really are going to have to have some active
9 studies that will focus on particular subgroups that could
10 be anticipated to have some problems.

11 For instance, those patients who have limited
12 capacity to cooperate with device use, and so I think in
13 that sort of a more focused way, it really would be
14 essential to have some active studies, perhaps cross-over
15 studies, looking to see whether patients that have some
16 limitations of that sort could be successfully maintained
17 on the innovative product.

18 DR. NIEDERMAN: I would agree that you need
19 post-marketing data. You need to see if these drugs are
20 effective, and you need to see if they're being used. But
21 I think that for a number of reasons, I think it would be a
22 mistake to talk about a prospective randomized control
23 trial.

24 First of all, those are being done to get the
25 drug approved in the first place. Second of all, if I were

1 a patient, I'm not even sure what the motivation for
2 enrolling in one of those would be, and probably most
3 importantly, it's not a real-world observation.

4 I think what you're really asking post-
5 marketing is does this product work in the real world?
6 Will people use it? You certainly can't answer that
7 question with a prospective randomized control trial.

8 I think what you really need to do is you need
9 to observe what's happening after the product's out there,
10 and I'm not sure exactly how to best do that. I think as I
11 was saying earlier, I think you may have the opportunity,
12 particularly if the CFC product remains on the market, to
13 look at the different usage patterns and look at the
14 outcomes of patients who are using the new products, but as
15 much as we may criticize databases, and there are
16 multifactorial issues that may play a role, if we see that
17 after the introduction of new products, measurable
18 outcomes, like ER visits and asthma mortality rates or
19 anything else that we look at, are getting worse, we'd
20 probably know that there's a problem, and if things are
21 getting better, whether it's due to the other new medicines
22 or the inhalers, it probably means that things are
23 reasonably acceptable.

24 I think again things like looking at technique
25 and monitoring that doesn't seem real world to me. I think

1 again the bottom line is even if a patient could
2 demonstrate to me that they know how to use their inhaler,
3 that doesn't prove to me that they are using it and that
4 it's effective, and it's dealing with any of the outcome
5 issues.

6 And I think the other issue that to me is very
7 important here is, I think we have to look specifically at
8 passive, not active, databases because I think it would be
9 an unreasonable burden to put on the industry to tell them
10 that after they've gotten the drug approved, they now have
11 to fund research to document that that drug's effective.

12 I think it's going to discourage people from
13 getting into the field, and I think it's going to further
14 add to the cost of these new products. So I think that we
15 haven't talked about these post-marketing studies and who
16 would pay for them, but I think that if we ask for
17 randomized trials, if we ask for detailed studies of
18 particular populations and how they're using these
19 products, I think it would discourage a lot of people from
20 getting involved in this field, and I think it's going to
21 add to the cost of these new products.

22 So I think that probably additionally is a
23 compelling factor for looking at available data that the

24 agency could pull out of existing databases and trend
25 specific numbers, but I think it would be a very big burden

167

1 to ask the pharmaceutical industry to develop these
2 products, do the trials to prove they're effective, and
3 after they're approved continue to do research to document
4 that people are using them.

5 MS. CONNER: Being last in this list forces one
6 to be creative with ideas and coming up with things that
7 haven't been said before. My tendency as a consumer
8 representative and as a nurse educator is to think about
9 not necessarily just efficacy and safety. I think we have
10 proven that or it wouldn't be approved by the FDA. It
11 wouldn't be out there.

12 What we need to look at is maybe some type of a
13 survey or questionnaire before the patient ever gets the
14 prescription about their usage habits of their current MDI,
15 their nocturnal awakenings, their absenteeism, their
16 parents' absenteeism from work, and then maybe a similar
17 questionnaire six months later, 12 months later, and if
18 it's on an office visit, you get sometimes a little better

19 participation than if it's a mail-out questionnaire.

20 The same thing with technique, and it's not
21 just patients who have difficulty with technique. If you
22 remember the Interiano study, nurses were the worst and
23 doctors were just above them just a little bit. Patients
24 were actually better at technique than some of the
25 physicians.

168

1 So we need to reevaluate technique, and
2 especially as we're adding more and more devices with
3 different techniques, we all need to brush up on that and
4 stay current with it, but every time the patient comes in,
5 reevaluate their nocturnal symptoms, their indirect medical
6 expense, like absenteeism, patient missed days from work,
7 and it's patients that report data, and some of it's
8 anecdotal, but it may be a pretty good source of
9 information.

10 DR. SESSLER: Any comments from Dr. Meyer or
11 Jenkins?

12 DR. JENKINS: I think we got a pretty broad
13 diversity of comments and feedback.

14 DR. VOLLMER: Having listened to the group, to

15 stimulate some further thoughts, I think this was brought
16 out on several of the comments. I think the biggest
17 potential advantage of sort of outcomes research post-
18 marketing as opposed to a randomized trial is that it does
19 get at more the real-world situation. I mean, that's the
20 big advantage.

21 Any randomized trial that we do is going to
22 have a very self-selected population, as was pointed out,
23 and so the generalizability of that's questionable. Also,
24 as was pointed out, you're going to have done any number of
25 randomized trials to get the drug approved in the first

169

1 place.

2 So to the extent that you're going to gather, I
3 think, a lot of new information, other than truly long-term
4 effects, in a randomized trial, I don't see a lot of merit
5 requiring those.

6 I was wondering whether the FDA currently
7 requires any kind of post-marketing data for newly-approved
8 drugs. To the extent that it doesn't now, I would feel
9 that it wouldn't make sense to require it for these new

10 medications coming out.

11 I think that post-marketing studies are going
12 to be done. People are going to want them done. They're
13 going to want the information, and whether it's the
14 pharmaceutical industry or NIH or whatever, some of that
15 work's going to get done, but as to whether it's worth
16 changing current FDA policies regarding what they require
17 to be done, I would have a hard time supporting that.

18 Again, you have plenty of work done up front to
19 look at the safety and efficacy of this trial, of these
20 drugs in randomized trials. So really the issue is what's
21 happening out there in the real world.

22 DR. MEYER: Just to speak to what the FDA
23 currently requires, I guess it perhaps doesn't help you in
24 helping us because for sort of the routine drug, where
25 there's not a particular issue going into the post-

170

1 marketing period, typically we would not require any post-
2 marketing studies.

3 However, if there are questions about the
4 drug's safety or effectiveness in certain subpopulations,
5 there are certainly many drugs that have Phase IV

6 commitments that are agreed to by the agency and the
7 sponsors at the time of approval.

8 So it's sort of a mixed answer, that both
9 paradigms exist, and I think one could argue about whether
10 an HFA reformulation in and of itself would represent such
11 a level of scientific interest or regulatory interest,
12 whether you'd require a Phase IV commitment, but I think
13 when you add on the issue that this may be the product that
14 leads to a safe and effective product that contains CFCs no
15 longer being able to market, it may change the balance
16 some.

17 DR. VOLLMER: If that's what you're currently
18 doing, then I would suggest that much the same is going to
19 continue to happen. With the close scrutiny that these
20 medications are going to get, if there are concerns about
21 special populations that may not be adequately having their
22 needs met, you can bet that those are going to get raised,
23 and therefore if you're requiring post-marketing types of
24 studies in the past in those conditions, I can't imagine
25 that you're not going to be also requiring them again in

1 these kinds of conditions.

2 If nobody's raising those concerns, it's
3 probably a fairly safe bet that there's no large population
4 out there anyway, you know, a special population that's
5 likely to be affected because again there's a lot of people
6 looking very closely at this.

7 DR. SESSLER: A number of the comments here
8 have led right into the next bullet point, which really
9 deals with subgroups. What subgroups of asthmatics or COPD
10 or other respiratory patients need to be specifically
11 considered in the determination that patients' needs are
12 being met?

13 There really has been a fair amount of
14 discussion surrounding this. I'd like to see if there are
15 additional comments. Michael?

16 DR. NIEDERMAN: As I'm listening, I just want
17 to caution, I guess, that we not try to solve problems that
18 we can't solve already with these new products.

19 A lot of the issues that have been brought out
20 about inability to use inhalers correctly and days lost
21 from work and quality of life, those are issues now today
22 with our current technology, and to think that if we can't
23 solve them now, that somehow that's going to become the
24 standard by which we ask these new products to meet, it's
25 very unrealistic.

1 I think that there are inherent problems in
2 asthma that can't be solved by these new medications, and I
3 think we have to be very careful not to set standards that
4 try to solve again all of the problems which currently
5 exist that we're not able to solve, and I think that
6 particularly when we address special groups, we have to ask
7 ourselves, if special groups are having problems now,
8 that's the baseline to which we want to compare our new
9 technique, and we can't ask reasonably a new device to
10 solve the problems that special groups have now that can't
11 be solved with the current technology.

12 I don't think that's the purview of what we're
13 trying to address today.

14 DR. SESSLER: If I'm interpreting where the FDA
15 is coming from this is, I think if there is a change in
16 technique or a change in availability, would that adversely
17 affect selected subgroups? Yes?

18 DR. JENKINS: I think a point we all need to
19 keep in mind is that normally, when we are approving new
20 drugs, whether it be a new molecular entity, a new
21 formulation of an existing drug, we're approving that
22 thinking that it's going to go into the market and become
23 part of the overall armamentarium for the disease.

24 This is a different paradigm, where these

25 products are being specifically developed to replace

173

1 existing products. So when we approve these non-CFC
2 alternative products, there's an intent through the
3 Montreal Protocol and the Clean Air Act that if those are
4 acceptable to patients, they're going to replace the old
5 products, and part of the question we're trying to get
6 answers from you are, how can we be certain that we're not
7 going to make things worse?

8 We certainly may not be able to address some of
9 the concerns that are out there now, but I don't think we
10 want to make things worse by making a determination that
11 the alternative product meets patient needs, and you
12 declare the CFC product as no longer essential, and the CFC
13 product goes off the market, and then you find out that
14 maybe it really didn't meet patient needs.

15 So it's a very different paradigm, and that's
16 why we're asking these difficult questions.

17 DR. NIEDERMAN: And I think the opportunity is
18 presented to you by the intention of having both products
19 on the market initially together, and I think that that
20 allows usage studies. It allows compliance studies. It

21 allows outcome studies which can be sorted out by which
22 type of device patients were using, and I think that -- I
23 guess that's all that I'm really -- I agree. I think that
24 all you really want to document is that you're not making
25 things worse. As you said, your intention is to make

174

1 things better. You're simply coming up with a replacement
2 product.

3 DR. JENKINS: If I could go back, the previous
4 point that we were discussing about the post-marketing
5 data, it seems as we went around the room, we got kind of,
6 you know, several different opinions. Some people
7 advocated for asking for post-marketing studies, other
8 people thought that they weren't all that useful, and some
9 people said yes, they may be useful, but we're not sure we
10 should advocate for them.

11 I think we heard from Mr. Jamieson's
12 presentation earlier that the industry association, their
13 position is that the FDA should not be requiring these
14 studies, and that we should be relying primarily on the
15 spontaneous reporting system for adverse events and drug

16 product quality problems as well as any data that can be
17 garnered essentially passively, and I guess what I'm
18 interested in hearing from the committee is, how do you
19 feel about that proposal?

20 Not specifically point counterpoint with IPAC's
21 proposal, but to date, we have not required any of the non-
22 CFC products that have been approved, we have not required
23 them to do any of these large post-marketing Phase IV
24 studies designed to help us address this issue.

25 At the end of the day, when we have the

175

1 alternative, and we start making the determination, is it
2 meeting patient needs, do you think we're going to be able
3 to adequately do that by relying on the post-marketing
4 reporting system that we have, the Medwatch Program, the
5 quality reporting of product failures, European data, other
6 foreign data? Is that going to be enough to address the
7 issues about meeting patient needs, subpopulations being
8 adequately served? I think that's the key point.

9 DR. KELLY: Well, I guess a half response to
10 that, John, is I guess my problem is I don't think a large
11 post-marketing randomized trial, even though it's not

12 blinded or anything, just a randomized trial, which a lot
13 of these post-marketing studies are, is going to answer
14 that either, and I think I would fall on the side of going
15 after larger databases along with the self-report system
16 that we already have in terms of going after those small
17 entities and groups, and as long as we have the CFC
18 available until we have that information back, the
19 community as a whole should feel fairly comfortable.

20 DR. JOAD: I think what I was getting at when I
21 mentioned the market issue is, I think barring some
22 financial incentive to go to the alternate product, the
23 people that will use the new products are likely to be, I
24 would guess, people who are concerned about the
25 environment, people who are likely to work harder on their

176

1 inhaler technique and are generally more compliant or
2 adherent, and I think if you really want to see how
3 something works in the real world, post-marketing, you're
4 going to need to assign people who are similar to either
5 get that one or get the alternative or the CFC product, and
6 maybe a simple questionnaire at the end of a year would be

7 sufficient or end of six months.

8 It doesn't have to be so detailed, but
9 something that looks at real people who are really randomly
10 assigned to get the alternate product compared with the CFC
11 product.

12 DR. SESSLER: Dr. Apter, and then Dr. Vollmer.

13 DR. APTER: Dr. Fink mentioned earlier that
14 these drugs will be coming on the market when other things
15 are happening, like other drugs. Well, there are other
16 things happening in the health care world while these drugs
17 are being changed. Less time to talk with patients, less
18 time to educate them, and so I think it's very important to
19 study what's going on, and especially in the high-risk
20 groups, which are the underserved populations.

21 It may not be the obligation of the industry
22 that brings these drugs to market, but I think it's
23 important. I think that all types of designs are
24 important, too. Looking at big databases tell you some
25 things and randomized trials and focus groups tells you

177

1 something else, and all would be important, so that these
2 new drugs don't make things worse.

3 DR. VOLLMER: I'll give you an example of a
4 specific study that I might envision and when I might
5 envision it, not necessarily as each new drug product comes
6 out, but when you're at the point of removing the essential
7 status from a given moiety, at that point, you have one or
8 other alternative competitors that are out there. I
9 believe by your criteria, it has to be at least two.

10 At that point, you could go out to HMOs or a
11 variety of other people that have access to large databases
12 and query them. Maybe you've got two or three years of
13 experience with some of these things now, and you've got a
14 situation where you have both kinds of products on the
15 formulary because to some extent, if there are unmet needs
16 in the population, that these aren't working, then they're
17 going to be demanding some of the other drugs.

18 So you can go out there and ask who's using
19 what kinds of drugs, and in particular ask the question of
20 the people using a given moiety, who's using the CFC
21 formulation versus the alternative formulation, and you can
22 take a look to see whether its release to the population --
23 I would be serving this population. So I'd try to find out
24 not only their age, sex, patterns, but their co-morbidities
25 and actually survey the patients and potentially the

1 providers and find out what are the factors that are
2 causing you to be using this product and not another
3 product?

4 Is it just that they just simply refuse to
5 change in the absence of any effects or can they give you
6 quantitative reasons why they can't use a product or, I
7 mean, do you have some unknown reason?

8 But there's a situation then that you're
9 several years out, things have sort reached somewhat of a
10 steady state, particularly if there's multiple different
11 alternatives for people to be using, and then you can see,
12 and they may not all be using Drug A, some might be using
13 Drug B and Drug C, but who's still using the old drugs, and
14 is that selectively one population? Is it kids under five
15 years of age? Is it individuals over 70 who have cystic
16 fibrosis or whatever as a co-existing disease?

17 So you're able to get some insight into who's
18 still using them and why they're still using them.

19 DR. SESSLER: Dr. Fink?

20 DR. FINK: I think the other issue with
21 marketing, there are products out there, and I think,
22 unfortunately, when you look at post-marketing surveys, and
23 you're particularly asking the questions you're asking, the
24 immediate introduction of the drug is when you're going to
25 get the patients who are either poorly controlled on

1 current medications or want to switch, to take up the new
2 drug.

3 The group you're asking about is going to be
4 the last group to take on the use of a new drug which is
5 going to be to the patients who are well controlled on the
6 current agent and see no reason to change or those patients
7 who are wedded to the current agent and don't want to
8 change, and they're going to be the last group to
9 transition.

10 So unless you do your post-marketing studies at
11 the end of the transition, you're not going to answer the
12 questions you're asking.

13 DR. NIEDERMAN: Yes. I would just caution
14 against looking at some of the end points that you've
15 mentioned because you're presupposing that people are going
16 to have equal choice about which they use, and I think a
17 lot of this is going to be driven by cost, and I think that
18 these new inhalers -- for example, to pick on albuterol
19 since there's generics, I can't imagine any of the newer
20 ones are going to be any equal in price to the existing CFC
21 ones, and I think a lot of this is going to depend on what

22 type of insurance you have, whether they'll pay for the new
23 devices, and whether you've got to pay.

24 DR. VOLLMER: That's a very good point, yes.

25 DR. NIEDERMAN: So I think that you have to at

180

1 least focus on outcomes rather than patient choice because
2 patient choice may not truly be choice. It may be driven
3 by cost.

4 DR. VOLLMER: That's an excellent point. Part
5 of what you can do with that is you can also look at a
6 variety of different providers as well as the fee-for-
7 service sector, but you can go to a variety of different
8 managed care organizations and see what's on the formulary
9 and ask them why. If Drug A is not on their formulary at
10 all, no CFCs, is it because they've simply said they don't
11 -- they only choose to go with one drug and that's the way
12 it's going to be because they don't want to be buying more
13 than one or what have you.

14 So gaining insight from a variety of different
15 providers as to how they're stocking their formularies
16 would also be -- and what's driving that is also an
17 important piece.

18 DR. SESSLER: Dr. Gross?

19 DR. GROSS: Yes. I want to make the same point
20 exactly. I think probably the most potent factor in terms
21 of willingness to require the inhaler and use it is going
22 to be cost, there's no question about that, and I don't
23 think there's going to be any question about the fact that
24 the new ones are certain to cost a lot more than the
25 existing ones, and you can get a generic albuterol inhaler

181

1 for a couple dollars, and I can't see any new devices being
2 able to deliver the same cost per dose at less than
3 probably between 20 and 30, if that, and so I mean, that's
4 going to be a hugely potent factor as to whether patients
5 actually use it.

6 So in real-world studies, patients will have to
7 pay for their own medication because it's not real world
8 otherwise, and the question really is, is the patient going
9 to pay 10 times as much, and if they do, are they going to
10 get as satisfactory outcomes as they can get?

11 So I think one should be prepared for the fact
12 that asthma control will go down, and I don't see any way

13 out, and there's a huge subsidy for the medications that we
14 use right now.

15 So you have to look at things like emergency
16 room visits, unscheduled doctor visits, time lost from
17 work, time lost from school, your real-world type of
18 outcomes. Whatever study you're doing, that's what you're
19 going to be looking at.

20 But I believe that you can't really get
21 meaningful data unless you have a control group, you know.
22 I mean, I think you have to figure out some way where you
23 can say, well, is this really meaningful or how does this
24 compare with what? You know, it's always a question of
25 what you're comparing it with, and so I would say pretty

182

1 strongly it has to be some kind of controlled study;
2 otherwise, you really don't know what the data mean.

3 I mean, just think how we're still arguing
4 about whether beta agonists in general are bad for you or
5 not. You know, 10 years ago, you had a very same meeting
6 in this town as to whether beta agonists were safe or not.
7 Well, maybe it wasn't 10 years, but it was probably eight
8 years, and should the FDA institute some additional

9 controls? We're still arguing about that. We don't know
10 because these are all post-marketing type of questions, and
11 it's very, very difficult to do it when all these agents
12 are available, and you're not doing a controlled study.
13 You really can't tell exactly what it's due to, what your
14 outcomes are due to.

15 So I would think before you make any drastic
16 steps and make the conventional agent unobtainable anymore,
17 by which time it's too late to squeeze the toothpaste back
18 in the tube, you need to do some kind of controlled
19 studies, looking at real-world types of outcomes rather
20 than the traditional one, which is FEV1 and peak flows and
21 so forth.

22 DR. SESSLER: I think from my perspective, if
23 prospective randomized trials in the Phase IV type of
24 setting were to be undertaken, it probably should be
25 focused on patient groups for whom there is some perception

183

1 that there might be a problem, perhaps specifically related
2 to drug delivery, which would translate into long-term drop
3 in effectiveness and could be measured in terms of real-day

4 outcomes in terms of ER visits and missed days and things
5 of that nature.

6 But, you know, randomized prospective trials
7 are resource-dependent, and so by focusing perhaps on those
8 areas that might be highest yield, the ones that are of the
9 greatest concern, the elderly who may not be able to
10 generate adequate inspiratory flow for some of the
11 different instruments, or the pediatric population,
12 something like that, might be, rather than a global general
13 population base, make it more specifically focused.

14 Dr. Ford?

15 DR. FORD: After hearing all of the
16 perspectives presented, including my own, I feel like
17 saying all of the above.

18 (Laughter.)

19 DR. FORD: And the reason for it is that it
20 seems to me that we're coming at this looking at different
21 aspects of the question in terms of what's going to happen
22 after these drugs are introduced, and certainly if we want
23 to look at the impact of costs and access on efficacy, a
24 randomized trial may indeed not be the approach because
25 most likely it would be providing medications and so forth.

1 So that would not be real world.

2 So I am favoring now perhaps a targeted
3 approach, that is, on the one end, that is utilizing
4 multiple approaches, depending on what the question is.
5 If, for example, we want to know what the impact is going
6 to be on certain more vulnerable populations, well, not
7 necessarily vulnerable but the very young and patients who
8 have trouble generating peak inspiratory flow that is
9 adequate, of course, randomized trials, carefully-designed
10 studies, with that subgroup of patients might be the
11 appropriate thing.

12 But in terms of costs and access and so forth,
13 I think it may just be appropriate to look at large
14 databases with the caution that even among the databases,
15 there is going to be some variability among populations as
16 to how informative they can be.

17 I mean, it's really a question of
18 generalizability, as I think you started to mention here,
19 because the HMO population in one city may not necessarily
20 reflect the same kinds of challenges that my patients face
21 in Harlem, and by the same token, I'm not even sure that
22 the Medicaid database in New York, which by the way is not
23 largely an HMO database, you know, our patients are
24 primarily not in managed care right now, would be
25 informative.

1 So I think what really this is coming at is the
2 need for, I think, what Dr. Sessler is mentioning
3 implicitly here, some really hypothesis-driven kinds of
4 studies, utilizing either databases or clinical trials,
5 when appropriate, based on issues that we think would have
6 a foundation, considering what we understand about how
7 these delivery systems work and the mechanisms by which the
8 drugs have their effect.

9 DR. SESSLER: How was that, John?

10 DR. JENKINS: Are you ready to move off the
11 subgroup issue?

12 DR. SESSLER: Yes, yes.

13 DR. JENKINS: Because I'd like to really make
14 you earn your keep today by helping us define what are
15 subgroups? We've been using that term a lot today, and
16 we've talked about some general terms, but what really
17 constitutes a subgroup?

18 I know Ms. Cusumano in her presentation talked
19 about a subgroup of one for some of the patient comments
20 that came in. They consider themselves to be a subgroup of
21 one, and that it was very important to them that they not
22 lose access to the medication that they felt was the only
23 one that provided them benefits.

24 So I'd be interested in any wisdom you have
25 about how should we define the subgroup, how large is a

186

1 subgroup, and how do you address those issues of single
2 patient concerns?

3 DR. SESSLER: Takers?

4 DR. JOAD: I just want to repeat what I said
5 this morning, that if you include this as a subgroup that
6 must be served, people who cannot cooperate at all in the
7 inhalational devices, at least for each class, that you're
8 not going to leave anyone out, that that would include
9 young children. It would include neurologically-abnormal
10 people. It would include several different groups that
11 don't need to particularly be named, except that they can't
12 cooperate in any sort of breath-activated, put your mouth
13 around a mouth piece, effort.

14 DR. GROSS: I would also state what I guess is
15 obvious, and that's the elderly, particularly because they
16 lack manual dexterity. They lack coordination,
17 synchronization. Many times, they can't read very well,
18 can't see very well, and they're particularly challenged

19 when it comes to using inhalation devices.

20 DR. SESSLER: You know, I might chime in on the
21 elderly, echoing Dr. Gross's comments, and, in addition, I
22 think the cost issue is substantial for some of the elderly
23 in terms of them falling kind of in that gap, and I think
24 that's true for the working poor as it were, that the
25 monthly costs of medications is substantial and worthy of

187

1 consideration in terms of -- I don't know if that fits into
2 a subgroup, but at least it, I think, brings another facet
3 to the elderly as being a high-risk subgroup, I guess.

4 DR. NIEDERMAN: Well, Curt, I would agree that
5 all these groups make sense, but I would caution again that
6 these are groups that we have problems with today, and so
7 if we're going to study them, we have to study them in
8 comparison to the reality of what we've currently got as
9 problems and not expect again these products to do better
10 than we're already doing.

11 The one group that hasn't been mentioned today,
12 and at least in my practice is a substantial group, is the
13 pregnant woman, and I guess we haven't considered whether
14 there are issues with these new delivery devices that have

15 to be specifically looked at in pregnancy.

16 We thought a lot about the individual active
17 ingredients, but I don't know if the propellants would have
18 any relevance during pregnancy and whether that needs to be
19 studied separately. Certainly asthma's an important
20 disease in pregnancy.

21 DR. SESSLER: Any information to share in that
22 area, Dr. Meyer?

23 MS. CUSUMANO: That was one of the comments
24 that we had received on the advanced notice of proposed
25 rulemaking, that I think all but one of the currently-

188

1 approved drugs are Pregnancy Category C, is that right, or
2 I think all but one are C? I think there's one in B.

3 DR. VOLLMER: What does that mean? Pregnancy
4 Category C?

5 DR. NIEDERMAN: It means we don't know.

6 MS. CUSUMANO: Well, it means different levels
7 of effect --

8 DR. NIEDERMAN: I think C means that there is
9 not enough convincing data one way or the other.

10 DR. MEYER: With some hint in animals that
11 there may be some teratogenic effects or other pregnancy
12 effects. The X is clearly bad. Thalidomide-type. A is we
13 have good data to say to use it. B is --

14 DR. NIEDERMAN: But I guess what I'm asking is
15 even if you took a component that was Category B now and
16 put an HFA in it --

17 DR. MEYER: Right.

18 DR. NIEDERMAN: -- is it still Category B?

19 DR. MEYER: Right.

20 DR. NIEDERMAN: And what do you have to do to
21 reach a conclusion about that?

22 DR. MEYER: Right. I think that is an issue
23 that's been raised before, and one that we certainly need
24 to grapple with as we move forward.

25 I think we do have some data about the exposure

189

1 and pharmacokinetics of the HFA, and it's quite minimal as
2 it is with the CFCs, but I guess there's both that
3 question, and this, also, I think, in terms of the comments
4 we got to the ANPR, we're also in the situation where we
5 can't expect the new products to necessarily leap hurdles

6 that we haven't gotten information on for the current
7 products.

8 In other words, if we don't know enough about
9 to use albuterol CFC, it doesn't quite seem reasonable to
10 make the HFA product prove that it's any better or worse.

11 DR. NIEDERMAN: I don't think it has to prove
12 it's better.

13 DR. MEYER: Well, or even to prove that it's
14 not different, if we don't have any reason to believe that,
15 for instance, the HFA would be particularly risky for that
16 population.

17 DR. NIEDERMAN: Okay. I guess that's what I'm
18 asking. Is that enough to know that -- because there's two
19 questions in pregnancy. One is the obvious one of
20 teratogenicity, but the other one is efficacy, and if
21 there's an inherent difference in efficacy, that can affect
22 the outcome in pregnancy as well.

23 MS. CUSUMANO: The only other thing I would add
24 is that the agency as a whole is looking to increase the
25 information and drugs generally, pregnancy labeling. So

1 we're aware that it's a need, not just in this category.

2 DR. MEYER: Yes, and I think the efficacy issue
3 as it would be for many of these subgroups is somewhat
4 product specific. For instance, one could theorize if you
5 had a large abdominal mass, a fetus or a large baby in your
6 abdomen, that you might have impaired inspiratory flow and
7 might not be able to use a DPI quite as effectively as if
8 you were non-pregnant.

9 So in other words, I think that there might be
10 some specific products where we might have more of a
11 question about whether there'd be sufficient efficacy in
12 that population in pregnant women as there would be for
13 other subgroups. But I think that might very well be
14 product specific because presumably, although there are
15 some differences between the way CFC MDIs and HFA MDIs are
16 likely to perform, I don't think the efficacy in the
17 pregnant population would be anticipated to be
18 substantially different.

19 DR. SESSLER: Any other comments? Dr. Ford?

20 DR. FORD: Yes. I think it's been alluded to,
21 but I also think that perhaps we should introduce it as
22 clearly as possible as a potential subgroup, that is,
23 urban, low-income and minority populations, because,
24 clearly, in terms of who is bearing the brunt of the
25 epidemic, we all know where areas of asthma, of severe

1 health care utilization and so forth and other outcomes for
2 asthma, where they map out to, and I think that on the one
3 hand, we would want to be reassured that, you know, we at
4 least don't do so bad as we are right now overall, but I
5 think that by the same token, things are so bad right now,
6 that we don't want to wait to find out that there might be
7 issues that undermine the effectiveness of asthma therapy
8 with these new devices.

9 We wouldn't want to wait too long to find out
10 about that. So I think it's really important to look, to
11 monitor what's going on in those populations.

12 DR. KELLY: I would echo that, because I think
13 the major problem in that population is access or one of
14 the major problems, and if we're talking about changing
15 from CFC is going to change access, then that's a
16 particular population that we might be very interested in.

17 DR. SESSLER: Dr. Jenkins?

18 DR. JENKINS: I'd like to continue to mine a
19 little bit with the committee the issue of the subgroup of
20 one because we do get that type of comment a lot in the
21 agency, either in response to the ANPR, we got a lot of
22 comments of individual patients who said for whatever
23 reason, that they could not be treated with any other drug
24 than Drug X, and we also get those comments periodically

25 with a company for whatever reason, either has a temporary

192

1 shortfall of their ability to make a product or they stop
2 making a product, we get lots of calls from concerned
3 patients, saying, you know, that's the only product that
4 works for me. What am I going to do?

5 So what I'm really looking for to mine a little
6 bit with the committee is a lot of the things we've been
7 talking about so far with regard to subgroups have been
8 more access-based issues or they've been functionality
9 issues of can't generate the peak flow, can't understand
10 how to use the device, and what I'd really to hear you
11 comment some on, do you believe there are physiologic
12 differences that would make individual patients respond to
13 one inhaled corticosteroid and not respond to another
14 inhaled corticosteroid, regardless of what dose you might
15 give them, and the same would be true for beta agonists.

16 We had this discussion some in the 1997 meeting
17 because at that point, the agency had proposed one option
18 being the therapeutic class approach, which said that once
19 you had a couple of options in a therapeutic class, you
20 could consider the other members of that class to

21 potentially no longer be essential, and we had some
22 discussion about whether that was a valid assumption from a
23 physiologic standpoint.

24 If you respond to a beta agonist, can you
25 expect that they will respond to a different beta agonist

193

1 or do you think they're truly patients who only respond to
2 one molecule?

3 DR. SESSLER: Our pharmacologist?

4 DR. KELLY: From a clinical pharmacology point
5 of view, no. Having been around for 25 years, I think you
6 get a different perspective, and I have a similar
7 perspective that Bob had shared about changes in metered-
8 dose inhalers and, you know, going for metaproterenol to
9 albuterol. From patients, you always hear that, and you
10 always hear of, you know, relatively well-educated
11 patients.

12 We had a lawyer that, once we put him on
13 inhaled steroids --

14 (Laughter.)

15 DR. KELLY: Speaking of subgroups. Who, even

16 though we put him on inhaled steroids and better control,
17 he said, "Every time I get in trouble, I still go get my
18 Tederol," and so there is that problem, and it's a real
19 problem from the patient's perspective, and most of the
20 studies that we've done, that people try to look at and
21 find those things, you can't find those differences, and so
22 from my perspective, those N of 1s, I think they're real
23 problems, but I don't know how to deal with them.

24 DR. DYKEWICZ: Well, I'd like to flip the side
25 of the coin, so to speak, to the, if you will,

194

1 idiosyncratic responses in terms of effectiveness, but then
2 idiosyncratic responses in terms of side effects, and that
3 would be an issue. There might be some people that seem to
4 get adverse effects from one agent within a class but not
5 from other agents in the class.

6 DR. JENKINS: Right. That's a valid point, and
7 I intended, when I made my point, to include that. There
8 are documented cases where patients may be responding to an
9 excipient, for example, an inactive ingredient that's in
10 one formulation that's not in another formulation. So I
11 should have put that in as a caveat, and there's obviously

12 also physiologic differences between different beta
13 agonists. Some have more selective beta adrenergic effects
14 than others.

15 So I was trying to target more on efficacy, but
16 recognizing that there are potential differences and
17 adverse event profiles because of the molecule or because
18 of the formulation.

19 DR. FINK: From a doctrine of fairness
20 approach, I'd take a different approach to defining
21 subgroups and throw out the number 50,000, in that if
22 50,000 is the number of pediatric individuals who have a
23 use for a drug to be considered significant and require
24 pediatric studies, I'm not sure why we shouldn't use a
25 similar criteria for asthma and say if a subgroup is less

195

1 than 50,000, it doesn't meet the federal criteria of
2 significance.

3 DR. SESSLER: And that's based on --

4 DR. FINK: Good pediatric rule, which says that
5 if a pediatric use of a drug is only considered significant
6 if there is a group greater than 50,000 who would benefit

7 from the use of the drug.

8 DR. SESSLER: Is that precedent recognized?

9 MS. CUSUMANO: I would just say that the flip
10 side of that rule is it's an or, or provides a meaningful
11 therapeutic benefit. So using the number alone maybe
12 doesn't tell us the whole story.

13 DR. FINK: No, but I think the point is we're
14 splitting down to some of these very small groups that we
15 would not be giving credence to in development of other
16 drugs, other classes of drugs, and just because this is a
17 replacement -- I mean, there's part of me that says this is
18 a replacement process or a transition process.

19 There's part of me that says this is no
20 different than any other transition from injectable
21 antibiotics to oral antibiotics, QID to oral antibiotics,
22 BID to oral antibiotics once a day, and we didn't require
23 post-marketing surveillance to say were people happier with
24 an antibiotic once a day than an injectable.

25 You know, there's some people out there who

196

1 would say the injectables work better, and I think maybe
2 because of sensitivity to the issue, we're almost being

3 overly responsive to it.

4 DR. VOLLMER: Yes. I don't know what the
5 number would be, but I think that is just a restatement of
6 the point that Dr. Niederman's made repeatedly today, which
7 is that we shouldn't set a standard for these new compounds
8 that we can't meet for our current compounds. You're
9 always going to find individuals now who say they can't
10 take a medication, they're having trouble, and so it's just
11 unrealistic to expect that we're going to do better than
12 what we can currently do now.

13 DR. SESSLER: Any other comments?

14 (No response.)

15 DR. SESSLER: Why don't we switch gears in a
16 pretty major way? The next couple of bullet points really
17 deal with the moiety-by-moiety approach. The first is, is
18 FDA's proposal to utilize a moiety-by-moiety approach
19 reasonable, given the special exception for moieties with
20 more than one product?

21 The follow-up is, if a moiety-by-moiety
22 approach -- ever tried saying that? -- is taken, how should
23 FDA determine when remaining CFC products that are not
24 being reformulated are no longer needed?

25 So I'd like to toss that open for commentary.

1 MS. CUSUMANO: If I could just speak for a
2 moment to the paren there, given the special exception for
3 moieties with more than one product, this was something I
4 didn't emphasize in my talk, but under the proposed rule,
5 we've suggested that for products marketed under more than
6 one NDA or in more than one distinct strength, there would
7 have to be at least two replacement products, and that's
8 what that paren means; whereas, in other cases, it would
9 just be one.

10 DR. NIEDERMAN: Explain what that means for,
11 say, albuterol.

12 MS. CUSUMANO: Albuterol's marketed under
13 multiple NDAs. So there would have to be at least two
14 albuterol replacement products before we would propose
15 removing the essential use for albuterol.

16 DR. KELLY: Well, beclomethasone comes in two
17 strengths. So if it comes in two strengths, then it would
18 require just those two strengths or two replacements period
19 of any sort?

20 DR. MEYER: For sort of regulatory simplicity,
21 we combine these concepts, but they are somewhat different.
22 I think beclomethasone actually has both considerations.

23 DR. KELLY: Yes.

24 DR. MEYER: Just as there's two different NDAs
25 for two different strengths. I think the feeling is that

1 for the multistrength products, that it would be hard, I
2 think, to argue particularly if you were reformulating,
3 say, the higher strength, that that was an adequate
4 replacement for a product or a moiety that's available in
5 two or three strengths because there could be some
6 populations who specifically need the lower-strength
7 product. For instance, pediatric populations.

8 DR. KELLY: So what that means is that you
9 would need replacement for the particular indications or
10 strengths that are out there, not just that you'd have a
11 dry-powder inhaler and an HFA inhaler as two replacements?

12 DR. MEYER: Right. And again, that's not
13 really explicit in the rule, but that's behind our
14 thinking, that, you know, folded into the other criteria
15 then would be all the subpopulations that are served, and
16 if there were two high-strength, one being a DPI, one an
17 MDI, alternatives available, but there was no alternative
18 low-strength product, and it was clear that that was needed
19 for proper treatment of asthma patients who were below the
20 age of 12, for instance, just as a hypothetical, then we
21 would not consider that an adequate replacement.

22 MS. CUSUMANO: I just wanted to add to that
23 that it's not necessarily a one-to-one, strength-to-
24 strength replacement because of the issue that was raised
25 earlier, that it's possible that, you know, with the

199

1 reformulation, you might only have to take one puff versus
2 two, something like that, but that if there are particular
3 populations that need particular strengths, then you would
4 want to have that available for them.

5 DR. NIEDERMAN: I'm not sure how you would
6 answer that question, but I can see again, as I'm thinking
7 about it with the moiety-to-moiety approach and the rules
8 you've made, the following problems.

9 We talked earlier about how if you happen to
10 have a CFC product that's unique, and that nobody else can
11 make, there's virtually no motivation to develop an
12 alternative because you'll not be declared non-essential
13 for a long time.

14 On the other hand, when you take the example of
15 albuterol, if what you're saying is as soon as there are
16 two non-CFC alternatives, all the generics are off the
17 market, there's a great incentive for the HFA makers to

18 band together, make another product and get the generics
19 off the market, and the price goes up dramatically again.

20 So I'm not sure how you're going to deal with
21 that, but I can see certainly from an industry perspective,
22 the potential for behavior to optimize profits, which is
23 what they're in business to do, that can undermine this
24 whole process.

25 DR. DYKEWICZ: Now, as I understand it, the

200

1 second question, of course, was if a moiety-by-moiety
2 approach is taken, how should the FDA determine what
3 remaining CFC products that are not being reformulated are
4 no longer needed?

5 Now, my understanding about the two-phase
6 process again is that when we get up to 2005, that would be
7 the point when that type of an assessment would be
8 required, whether the remaining products that have not been
9 reformulated are still deemed to be essential, and earlier
10 this morning, I was raising the question about whether then
11 there would be an assessment based upon whether there were
12 other available drugs within a class which, I think, is a

13 reasonable type of an approach to make that sort of
14 assessment.

15 If you have among, let's say, the inhaled
16 corticosteroids three or four different other moieties that
17 have been reformulated into a non-CFC preparation, then you
18 could make an assessment that, well, you know, the
19 remaining product or two, they're not to be reformulated
20 and are no longer essential.

21 So I think the general thrust of making some
22 type of a class assessment in 2005, of course, is the year,
23 you know, time line that starts after that point, but I
24 think that's a reasonable approach personally to look at
25 that particular question.

201

1 DR. NIEDERMAN: But again, you could do it
2 before 2005. In other words, am I interpreting you
3 correctly with albuterol, that if the second HFA product
4 came out before 2005, all the generics with CFCs would be
5 declared non-essential?

6 MS. CUSUMANO: What would happen is if we had
7 two acceptable alternatives, we would propose to remove the
8 essential use for albuterol, and we would go through the

9 notice and comment rulemaking for removal of that.

10 DR. NIEDERMAN: Because, then, again, it's
11 obvious that the economic incentives are clearly aligned
12 against the cheaper products, and it's certainly going to
13 drive up the price dramatically of albuterol if that
14 happens.

15 DR. JOAD: Well, doesn't that bring up
16 acceptable -- that could include cost, right, or not?

17 DR. NIEDERMAN: Again, if you start to think
18 through the real-world ramifications of all of this stuff,
19 it gets very, very complex because I see two cost forces,
20 and neither of which are necessarily looking out for the
21 patient's best interests.

22 One of them is the industry where we could
23 eliminate generics by having two patented non-CFC products.
24 On the other hand, if I'm a managed care organization, I
25 want to have an exemption for cost because I don't want to

202

1 buy these expensive products, and I want to pretend that
2 I'm interested in low-cost products for patient well-being,
3 but I'm really interested because I don't want the cost of

4 my albuterol that I'm paying for to go up tenfold.

5 So I really don't think these cost issues are
6 going to be easy to sort out, and I think that there are a
7 lot of, as I say, economic incentives that you can see
8 playing out very quickly that don't have patients'
9 interests in mind.

10 DR. FORD: I guess the other side of the
11 economic question -- and I think these are very important
12 points. I haven't thought about this. The other side of
13 it also is that once albuterol becomes non-essential, then
14 there's a huge part of the market that opens up to those
15 two. So potentially, there's room for competition and
16 letting the market sort it out, but I agree. I wouldn't
17 take --

18 DR. NIEDERMAN: Unless now the non-CFC device
19 as in the HFA is patented, and it's not open to everybody.

20 MS. CUSUMANO: Correct me if I'm wrong, but
21 you're saying the competition between the two products?

22 DR. FORD: Right. That's what I mean.

23 PARTICIPANT: It's still going to be more
24 expensive.

25 DR. FINK: With the example of albuterol, since

1 it's focused on a lot since it raises a lot of issues, what
2 is its legal status? Because if these products come in as
3 an MDA, wouldn't they potentially then fall under the
4 single isomer rule?

5 DR. JENKINS: I'm not sure I understand your
6 question.

7 DR. FINK: Well, the FDA guidelines that new
8 MDAs, if they're single isomers of the product, and it's
9 known that only one is biologically active, only the
10 biologically active single isomers should be in the
11 marketed product?

12 DR. JENKINS: That's not really an FDA
13 position. I think there's some misunderstanding. We have
14 not mandated that racemic mixtures can not be approved. We
15 simply have laid out guidance on how you would go about
16 developing single isomer products. That's my understanding
17 of our guidance.

18 DR. FINK: Okay.

19 DR. JENKINS: And the albuterol products that
20 we're talking about are racemic mixtures.

21 DR. FINK: Right.

22 DR. JENKINS: They don't really get into the
23 issue of single isomer. There is a single isomer albuterol
24 product, but that's not where we were focusing today.

25 DR. SESSLER: You know, I think the moiety-by-

1 moiety approach is on target, but at the same time, there
2 may be different general classes of drugs that we need to
3 consider while we're sorting out the details with this.

4 It seems that albuterol is really quite
5 different than the situation for inhaled corticosteroids,
6 primarily because of the generic drug that occupies the
7 majority of the market right now, and then the third class
8 is really, I guess, the others in terms of albuterol
9 bromide and long-acting beta agonists and so on, that these
10 are kind of stand-alone drugs to a certain extent.

11 So we may need to step back a little bit and,
12 rather than focusing attention on the moiety-by-moiety
13 approach globally, maybe we need to specifically discuss
14 inhaled corticosteroids and come to some grips with what
15 are reasonable alternatives there, and I think coming back
16 to the idea of 2005 and the class, the therapeutic class
17 issue, at that point, since we have more than five
18 different active moieties within that class, and yet the
19 albuterol issues are, I think, entirely separate and
20 perhaps trickier in terms of the generic drug and the
21 potentially underserved population.

22 So it may be that we need to step back a step
23 and look at it with that caveat in mind, that there may be

24 different groups.

25 Anybody want to talk about inhaled steroids?

205

1 DR. FINK: Inhaled steroids or albuterol?

2 DR. SESSLER: Well, whatever you want.

3 DR. FINK: Well, the other approach to
4 albuterol is to say that if we're looking at the NAEPP
5 guidelines, it's grossly overused today. If we bring its
6 usage down to recommended levels of one to two puffs two to
7 three times a week, there's not such a great big market for
8 it, and maybe the problem is that albuterol is just grossly
9 overutilized today, not that we should be worried about its
10 costs, because if asthma is treated according to
11 guidelines, albuterol should actually be used with a
12 frequency somewhere less than the inhaled steroids or other
13 controller agents.

14 DR. SESSLER: I guess the flip side of that
15 might be that it's the rescue drug, and that certainly it's
16 something we want to make sure, of all the drugs, that it's
17 one that really we don't lose to our underserved
18 populations that Dr. Ford has spoken to, and we want to be

19 particularly careful there in terms of not making it more
20 difficult for the folks who really represent the epidemic
21 of asthma difficulty from getting drug.

22 DR. FINK: And how could you handle the non-
23 albuterol albuterols, pirbuterol? Is that a different
24 moiety, and therefore has its own category, so that it
25 could stay CFC and cheap?

206

1 DR. MEYER: In that moiety-by-moiety approach,
2 the other short-acting beta agonists, such as
3 metaproterenol and pirbuterol and so on, would be
4 considered separately. They'd be considered as their own
5 moiety.

6 DR. FINK: And that potentially is a big
7 problem in that if an albuterol replacement is more
8 expensive than the generics, you potentially then are
9 encouraging more production of the moieties, like
10 pirbuterol, that are CFC-containing.

11 DR. DYKEWICZ: Until 2005.

12 DR. MEYER: Yes, I mean, it's certainly a
13 thought that has crossed our minds, and I think that's part
14 of the reason why we at least proposed the therapeutic

15 class approach in the past.

16 I think that there's some merit to that
17 approach or I don't think we would have advanced it as a
18 possibility two years ago, but I think there are some
19 substantial concerns about it, and I think we acknowledge
20 and understand those concerns as well.

21 DR. VOLLMER: I was compelled, I guess, I mean,
22 when I read the advanced notice, I liked the recommendation
23 for therapeutic classes. It was pretty clear that you got
24 a lot of feedback to the contrary that came in amongst the
25 thousands of responses that you got.

207

1 I was impressed today that all the parties that
2 spoke, both industry and representatives from ATS as well
3 as our consumer group representatives, all seemed to be
4 supportive of the moiety-by-moiety approach, and so since
5 they've had a lot more thought and energy going into it
6 than I, it seems to me it's probably a way to go.

7 I'd actually like to get some clarity on an
8 issue that Mr. Jamieson raised regarding the requirement
9 that when there's multiple manufacturing sites, that you

10 have to have a product being apparently produced in
11 multiple manufacturing sites, and they were proposing -- am
12 I correct in assuming this is a separate issue than we've
13 been discussing now? It's not dosing schemes. It's actual
14 different people manufacturing it, and the industry seems
15 to say that's not a problem for them. They're actually
16 happy to have a looser standard. Have I caught that right?

17 MS. CUSUMANO: What Mr. Jamieson's referring to
18 is actually a statement in the preamble to the actual
19 proposed rule. All we say in the proposed rule is that
20 there must be supplies and production capacity adequate to
21 provide supplies to patients, and one of the things that we
22 thought when we wrote that statement is you never know what
23 might happen to one manufacturing site.

24 Like Dr. Jenkins was saying earlier, you know,
25 if you have an earthquake in California, and your

208

1 manufacturing site's in California, and you're put off line
2 for several months, what are you going to do? Where's the
3 patient going to get their drug from? And it's not
4 necessarily that in that case that there have to be
5 multiple sponsors, just that they have to have multiple

6 manufacturing sites.

7 DR. JENKINS: And that's listed in there as a
8 presumption. I think it's in language that says that when
9 FDA is evaluating whether the supplies and production
10 capacity are adequate, that that might be something we
11 would take into account.

12 It's not an absolute requirement. It's not in
13 the codified section of the proposed rule. It's just
14 trying to explain how the agency would think about those
15 things when we're evaluating supplies and production
16 capacity.

17 There have been instances where natural
18 disasters have impacted upon the ability of companies to
19 manufacture inhaled products because they have one location
20 where they inhaled the product, and the natural disaster
21 takes that plant off line.

22 So that's one of the things we were putting in.
23 We did not say it was an absolute requirement. We said it
24 was something we would probably have a presumption that
25 that would be better than not having to.

1 DR. VOLLMER: That helps. Thank you.

2 DR. JOAD: I have a comment with regard to my
3 request of there being a portable device that requires no
4 cooperation. In that instance, it seems like it would be
5 unreasonable to have a moiety-by-moiety approach to that
6 request, that a class request would be sufficient for that
7 request. It wouldn't have to be such a thing for every
8 single beta agonist out there, but there should be at least
9 one.

10 DR. SESSLER: How about inhaled
11 corticosteroids? The reason I bring it up, I think, is a
12 lot of the discussion and examples really revolve around
13 albuterol and around the generic question and so on, but
14 this is, I think, a different kettle of fish in terms of
15 perhaps the pace of development and some of the impediments
16 that are different from albuterol.

17 Is everybody happy with the moiety-by-moiety
18 approach there with perhaps the 2005 reevaluation of
19 therapeutic class? Is that what you all are kind of
20 thinking about or really am I overstepping, and it's just
21 moiety-by-moiety?

22 MS. CUSUMANO: I'm just kind of hesitant to use
23 the therapeutic class necessarily, I mean, because what Dr.
24 Jenkins and Dr. Meyer were saying earlier about, is that
25 what we're looking at is the market as a whole. So about

1 what was said earlier about progress being made in the
2 treatment of asthma, so that we're not necessarily looking
3 at the therapeutic class of corticosteroids, but that if
4 there have been several reformulated, and in 2005, there
5 are some that have not been, but there are a number of
6 other products out there for the treatment of asthma, not
7 necessarily just corticosteroids, under which people are
8 well controlled, and they're happy with their asthma, not
9 missing work, that kind of thing, then looking at the
10 market as a whole and not just the class necessarily, we
11 would talk about whether the essential use was still
12 necessary for a particular product, whether it be a
13 corticosteroid or a bag.

14 DR. SESSLER: Any discussion?

15 DR. MEYER: Just as a specific example to sort
16 of flesh that out a little bit, budesonide is not available
17 as a CFC inhaler. It's not really part of this question
18 about the CFC transition. But it could very well be that
19 when you're considering the market, for instance, that the
20 Pulmicort Turbuhaler could enter into sort of the
21 consideration of how the asthmatic population in general is
22 being managed at that time, and, you know, it's quite
23 conceivable that leukotriene antagonist might play into
24 that consideration, other agents that have yet to come, as

25 we are talking about five years hence.

211

1 So we're trying to avoid the therapeutic class
2 terminology, not just because there were so many comments
3 about it in the past, but more specifically because we are
4 proposing something different from that. It's akin to it,
5 but it is different from that.

6 DR. FINK: For the inhaled steroids, if there
7 are four or five alternative devices available by 2001,
8 would you undertake a class review at that point or would
9 you wait till 2005? Because it's clear we probably will be
10 in that situation by the end of next year, I would think,
11 in terms of having four or five, at least, non-CFC products
12 available. And do you wait another four years before you
13 look at the remainder?

14 MS. CUSUMANO: Under the proposed rule, we
15 would wait another four years. Now, the flip side of it is
16 what happens with the Montreal Protocol, because the
17 parties to the Protocol would look at our requests, and
18 from that angle, they might not allocate CFCs, but under
19 the proposed rule, we would not look at it until 2005.

20 DR. JENKINS: I think it's also important to

21 remember the process that is being proposed here because
22 you can't just focus on the timing at which, say, an
23 alternative product becomes available because in the
24 proposed rule, one of the additional criteria was that we
25 would have at least one year of U.S. post-marketing safety

212

1 data. So that takes you out at least another year.

2 Then the agency has to make a determination
3 that the product adequately meets patient needs and
4 fulfills those criteria and would issue a proposed rule to
5 eliminate the use of CFCs in that moiety.

6 So we're not going to be in the situation a
7 year from now for the corticosteroids that you were
8 referencing, Dr. Fink, where we're going to be ready to say
9 that things are not essential. This is a process that's
10 going to take time, and I think everyone needs to
11 understand the process.

12 Today, we're talking about a proposed rule
13 which has no impact until it becomes a final rule, and even
14 when it becomes a final rule, it simply lays out the
15 process and the criteria the agency will follow in making

16 those future determinations.

17 So this is a multiyear process that we're
18 talking about here. Nothing is going to happen overnight.

19 DR. GROSS: I'm trying to figure out, if I was
20 a drug company, why would I have any interest in developing
21 a non-CFC version of my product until the year 2005?

22 Because it's bound to be more expensive, and I'm going to
23 be paying the development costs anyway, but why not put
24 them off until the year 2004, and so until I have to
25 comply, then why would I do so?

213

1 Isn't it sort of like the situation with
2 automobile air bags and seatbelts? You know, there was a
3 time when some people wanted to pay a little bit more, but,
4 in general, the manufacturers said we don't want to do this
5 because it's going to add a lot more to the cost, and so
6 they kicked and screamed about that, but when a deadline
7 came, lo and behold, everybody had seatbelts in their cars,
8 and I don't know whether we pay a little bit more for that,
9 I suppose we do, but it just became an acceptable thing.

10 So isn't this almost the same situation, where
11 basically they're not going to do it voluntarily until

12 there's a deadline that they have to meet?

13 DR. MEYER: Well, I think there are various
14 levels of complexity to answering that, but I think the
15 message of the international community is clear, that a
16 date will come when these products can no longer be
17 marketed, and there is not an absolute deadline, and
18 certainly we don't want the message coming out of here that
19 2005 is the absolute deadline in the United States. So the
20 companies have that.

21 There's enough vagaries in being able to
22 conduct a good, rational and timely development program,
23 that I'm sure companies would not want to push it off to
24 the last minute.

25 Furthermore, I think there's certainly

214

1 increasing problems with getting access to the CFCs. The
2 companies do have to go through the essential use process,
3 and even then, they have to be able to import these and
4 store them and handle them, and the expense is getting more
5 to be a consideration, too.

6 So I think there are some clear incentives for

7 companies out there, but as we discussed for some products,
8 I think that some companies have looked at the bottom line,
9 and for, you know, considering their market, considering
10 what they see as the future for their market, for their
11 product, to look at that and say, okay, when the door shut
12 on us, that's it.

13 So I think those who want to reformulate,
14 there's a clear reason why they might want to do that
15 earlier rather than later. For those who don't want to
16 reformulate, I'm sure they'll want to take it out as far as
17 they can.

18 DR. JENKINS: I would add to that that you have
19 to understand that the development of new drugs is mainly a
20 global effort these days. Most of the pharmaceutical
21 companies that we're talking about who market the CFC-based
22 inhalers that are the market leaders in the United States
23 are global companies. So they're working on developing
24 these formulations for the global community, and actually
25 the transition pace may occur more rapidly in other markets

215

1 than it may occur in the United States.

2 For example, the Australians have already made

3 a determination that the use of CFCs in albuterol may no
4 longer be essential in Australia. So you have to factor in
5 the global nature of not only the transition and the
6 Montreal Protocol but also the global nature of how these
7 companies operate.

8 The Europeans are, I think, very close to
9 getting to a point where they may decide that albuterol is
10 no longer an essential use of CFCs in the European Union.
11 So the United States may not be the fastest in the
12 transition. So simply looking at it from a U.S.
13 perspective doesn't give you the total picture that the
14 companies need to address.

15 DR. NIEDERMAN: But again, the economic
16 incentive is clearly there to develop a non-CFC albuterol
17 because that will close down the generic market with CFCs,
18 correct?

19 DR. JENKINS: Well, that's one way of looking
20 at it. I can say that for the market-leading products in
21 the United States, those products who have substantial
22 market share, I've not seen any evidence that there's not
23 an incentive for those companies to reformulate. Those
24 companies have been working very actively, even in
25 situations where they don't have competition. They're the

1 single source of a molecule. Companies have been working
2 activity to reformulate.

3 DR. NIEDERMAN: They may do it, as you say,
4 when they don't have to, but, conversely, specifically in
5 the albuterol market, there seems to be a strong economic
6 incentive with your rule of two products to develop a
7 second product and close down the generic market.

8 DR. JENKINS: Right. That incentive existed
9 even before we proposed the rule, and I can tell you that
10 multiple companies are working on albuterol alternative
11 products, not just one or two and not just necessarily the
12 ones that currently market albuterol products.

13 It seems sometimes like every company out there
14 must be developing an albuterol product because we get the
15 requests for meetings from them. So there's no lack of
16 interest in developing albuterol replacement products.

17 DR. NIEDERMAN: So you think that there would
18 be enough competition of non-CFC albuterol that would not
19 drive the price up?

20 DR. JENKINS: I don't want to get into
21 speculating about what the market forces of competition may
22 or may not do because that's way beyond my level of
23 expertise, but I think we do have the potential that in the
24 future, there will be multiple albuterol inhalation
25 products, and I think there will be multiple types of

1 devices.

2 DR. GROSS: But the criterion of necessity
3 would be met by having one HFA albuterol and one dry-powder
4 albuterol?

5 DR. JENKINS: That's possible. It could be two
6 HFA albuterols.

7 DR. GROSS: Right. But I mean, given that HFA
8 is a patented substance.

9 DR. JENKINS: Well, there are ways around those
10 patents, and you can enter into licensing agreements, et
11 cetera. So.

12 DR. GROSS: Yes, but I mean, everybody is
13 developing dry-powder albuterol right now. So that, I
14 mean, is that what you were referring to when you said you
15 see the new applications coming through? There's a lot of
16 applications for dry-powder albuterol.

17 DR. JENKINS: There are also applications or
18 development for HFA albuterols as well. So there are ways
19 that companies can address those patent issues.

20 DR. SESSLER: Any other comments?

21 DR. FORD: I guess following up on the issue

22 that Dr. Niederman brought up, one practical question that
23 would come up in the implementation of non-essential status
24 for albuterol would be whether we would want to wait until
25 there is sufficient assurance, even as we know what the

218

1 pipeline looks like, that at least albuterol won't become
2 cost prohibitive even for a period of time, until the
3 pipeline catches up.

4 I don't know how much of a concern that is, but
5 I would imagine that this would be a consideration in the
6 timing of that determination for albuterol.

7 DR. SESSLER: Bob, the next point is really
8 related to the issues that we've been discussing as it
9 relates to albuterol, and I believe you wanted to make some
10 sort of a correction or a statement on that.

11 DR. MEYER: Yes. There's an inaccuracy that I
12 need to take credit for on that, and that is that the
13 consortium itself of IPAC has not made this statement, I
14 think, for understandable reasons. They don't see
15 themselves in the position to make statements about drug
16 pricing because they are a consortium.

17 So it's actually been member companies not

18 speaking on behalf of the consortium but on their own
19 behalf that have stated in various fora that they would see
20 the replacement products as being priced very comparably to
21 their CFC-branded counterparts.

22 So in other words, as I think I mentioned
23 earlier, Proventil HFA is within pennies of the cost of
24 Proventil CFC, and other companies have made similar
25 commitments. So again not to belabor this point, but I

219

1 think the issue of cost really is perhaps the thorniest for
2 the products where there's a generic, and right now, the
3 status of the market is such that's only albuterol. So
4 we're really talking about the cost issue being most
5 important there.

6 I think I'd even reflect, maybe following up on
7 what Dr. Ford just said, that I think we have to bear in
8 mind that three years ago, there were no generic
9 albuterols, and, you know, for better or for worse, they're
10 here now, and I think one could argue that perhaps having
11 cheaper medications is quite a benefit.

12 But I think we need to bear in mind that up

13 until three years ago, this was a market without any
14 generic competition. So certainly, outside of albuterol,
15 we're really not talking about costs being -- we anticipate
16 a major part of this process, but even albuterol, although
17 I think the issue is important, and we have it here as a
18 talking point, I'd just like to have everybody bear in mind
19 that as patients are doing reasonably well compared to how
20 they're doing today three years ago at a time where
21 albuterol had a very different pricing structure.

22 DR. KELLY: Maybe the low cost of albuterol is
23 not a good thing. If we're overusing it, as Dr. Fink has
24 said, maybe we want to make it costlier so the HMOs would
25 prefer to give inhaled corticosteroids and not so much

220

1 albuterol. I mean, there are other ways of looking at
2 costs.

3 DR. SESSLER: Dr. Jenkins?

4 DR. JENKINS: Curtis, I get the feeling that
5 you're getting ready to discuss the specific point on
6 costs, and before you leave the moiety-by-moiety approach,
7 I wanted to stimulate a little bit more discussion about --
8 I tried to highlight a little while ago something that may

9 be considered to be a positive benefit of the transition,
10 and that's a lot of innovation and a lot of attention to
11 new devices.

12 You could look at another issue related to the
13 transition that could be viewed as a negative, is it's very
14 likely at the end of this transition, we will have fewer
15 moieties by inhalation than we had when we started, and,
16 for example, some of the very small market share products
17 that are out there, companies may choose not to reformulate
18 those products, and it may come that eventually, under this
19 2005 provision that we've proposed, there will be a
20 determination that those products are no longer essential,
21 and I'm wondering how the committee feels about that, the
22 fact that at the end of the day, as a result of the
23 transition, there may be fewer moieties available than
24 there were when we started.

25 DR. NIEDERMAN: It seems inevitable, but not

221

1 necessarily bad. I think some of these, as you said, have
2 such a small market share, that it's hard to justify either
3 clinically or pharmacologically that we need as many

4 different moieties, and there's a lot of historical
5 perspective as to why some of these products exist.

6 I think this is probably one issue that's
7 probably not bad to cut down the number of choices.

8 DR. JOAD: I guess it might only be a problem
9 with inhaled steroids, but we don't know the answer to that
10 yet. I mean, we have very little information about
11 choosing one over another, and when we get that, hopefully
12 in the next several years, then it could really matter if
13 one of them is not available, that really does matter to us
14 more than another.

15 So that class, I would be more worried about
16 than, say, the beta agonist class, short-acting beta
17 agonist class.

18 DR. JENKINS: Just to follow up on that, we've
19 talked a lot today about albuterol, and clearly there's no
20 secret that albuterol already has an alternative, and we've
21 talked a lot about other alternatives being developed.

22 As a hypothetical and not to suggest that this
23 would be the outcome, how would you feel if, at the end of
24 the day, when this transition was over, albuterol was the
25 only short-acting beta agonist that was available?

1 DR. GROSS: I guess I just don't believe
2 there's a lot of difference between the molecular entities,
3 but there are other cosmetic things about different
4 bronchodilators. There's taste and slight differences
5 between the devices and so forth.

6 I mean, I think one needs to have some choices
7 for non-medical reasons but simply because there is a
8 preference issue there, and obviously you need it for
9 competition as well, but I, for one, wouldn't really mind
10 very much if all the other beta agonists disappeared of the
11 same duration of action and otherwise similar pharmacologic
12 properties.

13 MS. CONNER: Does anyone else have patient
14 experience of patients that just absolutely -- if you've
15 ever tried them on pirbuterol, they won't go back to
16 albuterol because of the lack of tremor? They just don't
17 seem to have -- whether that's real or imagined. I have
18 patients that really, really prefer pirbuterol over
19 albuterol, and it's something that they can detect a
20 significant difference.

21 DR. JENKINS: So you're saying you would be
22 concerned if there were not a choice?

23 MS. CONNER: Yes. Yes, I would. At least that
24 choice. Some of the others --

25 DR. GROSS: Well, that's really hard to justify

1 scientifically, because tremor is a beta2 action.

2 MS. CONNER: Right.

3 DR. GROSS: It's a specific subgroup of one
4 type of problem.

5 MS. CONNER: Of the others, it's the one that
6 I've seen preferred or asked for.

7 DR. KELLY: It's a little less potent than
8 albuterol, and so you'd expect a little fewer systemic side
9 effects if you gave the same dosage.

10 MS. CONNER: And it may be that they're milder
11 and use it less frequently when they do use it.

12 DR. DYKEWICZ: Hypothetically speaking, let's
13 say it came to pass that it was found that albuterol had
14 some unforeseen toxicity in some set of patients. You
15 know, we have no evidence, for instance, that it's a
16 particularly teratogenic agent in pregnancy, but let's say
17 it played out that in fact that was a problem or that you
18 got any sort of adverse effect problem, and then you had no
19 alternative for another drug in the class being available.
20 Again, we're talking hypothetically, but that might be an
21 issue. There would be no alternatives to turn to.

22 DR. SESSLER: You know, I think one thing
23 that's worth bearing in mind, too, is we offer the

24 prospective of clinicians and the like, and we don't
25 necessarily represent the patient's perspective, and I

224

1 think Brenda brought that out nicely, that there may be a
2 much greater outcry from a less scientifically rigorous
3 look at the comparable drugs, and that we should value that
4 and pay close attention to it.

5 So my guess is that choice is better than no
6 choice for a variety of reasons, although it probably
7 doesn't need to be quite as broad perhaps as we have
8 currently.

9 MS. CONNER: And I have to admit I'm one of
10 those patients.

11 DR. SESSLER: A personal issue.

12 MS. CONNER: It gets right down to it.

13 DR. SESSLER: Politics are personal.

14 DR. GROSS: Wouldn't market forces suggest that
15 we will have more than one choice, though, for the same
16 reason that we have six separate beta2 agonists now?

17 Once we cross this 2005 hurdle, and for all the
18 new entities certainly and old entities, with very few

19 exceptions, have to meet the same standards of the non-CFC,
20 wouldn't you then have the same comparative pressure to
21 introduce or bring back a lot of well-known agents, if they
22 had gone out of production? Am I missing an economic
23 factor here?

24 MS. CUSUMANO: It might depend on current
25 market share.

225

1 DR. SESSLER: You know, another issue that
2 relates to choice is not so much the molecular entity as it
3 is the delivery, which is, you know, obvious for the things
4 in the future, but also you've got the Maxair Autohalers,
5 something different in terms of a beta2, and you've got
6 Azmacort with its built-in spacer and convenience of that,
7 that has played a role at least historically in terms of
8 drug selection for a lot of patients and doctors, I
9 suspect.

10 Some of those, they're separate issues, but at
11 the same time, from a regulatory standpoint, my
12 understanding is that they are kind of approved as one
13 package, that is, the drug and the device, and so that's, I
14 guess, another wrinkle in to the number of entries into the

15 market and another factor, I suppose, to be considered with
16 how many are enough.

17 DR. JENKINS: I didn't want it to go unnoticed
18 that I think Ms. Conner finally answered my question about
19 what is a subgroup. I think she defined herself as a
20 subgroup.

21 (Laughter.)

22 DR. SESSLER: Okay. Very good. Any other
23 moiety-to-moiety -- actually, I brought up the other topic
24 because we're starting to move into the cost issue or all
25 day, I guess, we've really been talking about the cost of

226

1 albuterol, but just to get that out there as well.

2 Anything else from the moiety-to-moiety
3 approach? Any last comments on that?

4 DR. KELLY: Just a last comment on what Dr.
5 Jenkins just talked about in terms of albuterol possibly
6 being the only -- those are market forces which we have no
7 control over, and they're going to happen probably anyway
8 in the long run.

9 If you can't sell pirbuterol Maxair or

10 whatever, you'll stop making it, even if you don't make the
11 transition to CFCs, I would think.

12 DR. SESSLER: We've touched on it many times,
13 the cost issue, and Dr. Meyer clarified that second-to-the-
14 last bullet point there.

15 I guess the question that was -- there was a
16 set-up, and then the question was, would price be such a
17 substantial barrier to access for albuterol that it should
18 be considered in the determination of essentiality?

19 So this, I guess, puts the regulatory component
20 on the question that we've really been talking about for
21 much of the day.

22 Michael?

23 DR. NIEDERMAN: Well, I think, again, this is
24 an extremely complex question, and it's not what it appears
25 on the surface, and I think if you think it through, my

227

1 answer would be that the costs should not be the reason to
2 make it an exemption from the essential drug list.

3 I'll tell you the example that I worry about
4 is, I think about my patient population, and how often I
5 prescribe the drug that I think is better, and the managed

6 care prescription plan comes back and asks me to change it
7 to a cheaper product, and I think that that will end up
8 being the major lobbying force for a cheaper alternative.

9 It will not really be as much the underserved
10 patient, which clearly will benefit from a lower-cost
11 product, but I think in the marketplace, managed care will
12 probably be the largest lobbyist for a cheap product rather
13 than the underserved patient, and I think that they will
14 work very hard, if cost will be viewed as an exemption. I
15 think they will certainly work very hard to maintain low-
16 cost products that have CFCs because it's a tremendous
17 economic impact on them with all the inhalers that they're
18 paying for.

19 DR. JOAD: I would just say the transition has
20 to happen some time, and this will probably always be an
21 issue. So that there's probably no perfect time where you
22 could say cost was an issue now and cost is not an issue
23 now. So probably that should not be built into it.

24 I also realize Dr. Kelly's point about that
25 maybe it wouldn't be so bad if albuterol weren't quite so

1 cheap.

2 DR. KELLY: Thank you.

3 DR. NIEDERMAN: I think cost is an issue, but I
4 think, as I say, it's got multiple sides to it.

5 DR. SESSLER: I think there are a lot of layers
6 to this one because you've got your generic albuterol, and
7 then you've got your albuterol look-alikes that are
8 separate molecular entities and may hang around for their
9 five years and be priced more cheaply and impair the
10 overall transition of the short-acting beta agonist group,
11 and yet I sure take care of a whole lot of poor folks, and
12 I'm really concerned about it.

13 I mean, from the epi standpoint, that's the
14 area that really we're not winning the battle yet, I don't
15 think, and, you know, I'd hate for us to look
16 retrospectively and find that the mortality has gone up in
17 that subset, you know, that it's gone down for folks who
18 can afford their meds, and it's gone up for folks who
19 can't, and so I think even though cost, I think, is
20 extremely complicated, and I'm not sure how to factor it in
21 exactly, but that would be my fear, I guess, is if we
22 ignore it, that that's the consequences that we might pay.

23 MS. CONNER: I've also been in a situation at
24 an asthma camp where we had a large contingency of inner-
25 city low socioeconomic, lower-income kids, and just in

1 dealing with their medications as well as the ones from the
2 suburbs at all at one time. you can see that availability
3 and price dictates prescribing patterns.

4 I mean, these kids would be on generic
5 theophylline, not even long-acting, but they'd be on
6 generic theophylline four times a day around the clock
7 because it was cheaper than a long-acting theophylline, or
8 they'd be on inhaled nebulized medications, 15-16-year old
9 kids, because it was cheaper than a metered-dose inhaler.

10 So I think we can't let their care be impacted
11 if we limit resources, but by the same token, just like you
12 said, if it's too cheap or if the lesser-desired product is
13 cheaper, are we doing them an injustice by making that
14 available? I don't know.

15 DR. FINK: But, for the children, at least,
16 Medicaid is done on a state-by-state basis, and all you're
17 dealing with there really is the state as an HMO because
18 where there are limitations -- in D.C., there is no
19 limitation on what I prescribe. Medicaid is the best payer
20 for drugs in D.C. It just depends on how your state
21 functions as an HMO. So it's just 50 more HMOs to deal
22 with, which, if you had another 250 that already exist, I'm
23 not sure it's terrible.

24 MS. CONNER: That in itself is so frightening.

1 the underserved that have problems getting their
2 prescriptions. They usually have less out-of-pocket
3 expense for their drugs than other groups, unless the state
4 has been very aggressive in limiting the choice of
5 physicians.

6 DR. FORD: I think that this is a very complex
7 issue, and there are multiple layers. I think there's the
8 interaction between what providers actually prescribe and
9 what's available based on cost and so forth, and even if we
10 were to limit availability of albuterol, I think that there
11 are other layers of complexity. You know, if people have
12 access to other molecules, then they go for the Primatene
13 Mist a little bit more at that point, and so whatever
14 intervention we introduce, we're going to have to weigh
15 very carefully.

16 I think it's very hard to say categorically do
17 it this way or the other, and it requires a lot of thought.
18 I wish I could be more direct than that.

19 DR. NIEDERMAN: I mean, one way to solve the
20 problem is -- and I don't know how you do this

21 legislatively, but if there was some way to make it
22 required, that anybody who needed albuterol would have it
23 paid for by somebody, then immediately -- I think the
24 problem is that you create a two-tiered system if you allow
25 cost to be an issue.

231

1 I think you allow underserved people who have
2 bad insurance to potentially get inferior products.

3 DR. FORD: Precisely. A lot of our patients,
4 for example, they are uninsured or they're immigrants who
5 are outside of any -- they fall off any radar screen.

6 DR. NIEDERMAN: I think, again, if this
7 discussion were being held in Europe, we would realize how
8 silly our American health care system is, where we're
9 talking about potentially allowing less than satisfactory
10 products to stay on the market because we're not providing
11 coverage for some people with a very serious disease to get
12 their medications.

13 So I mean, it's, as has been said repeatedly,
14 it's complex, but it's complex in the context of the whole
15 health care system that we work in.

16 DR. DYKEWICZ: Another layer of the complexity
17 may be the reality that really for most patients who are
18 using albuterol with any frequency, they should be also
19 obtaining some other controller agent, an inhaled steroid,
20 for instance, and so what you're really looking at is the
21 impact on the overall costs for treatment.

22 On one hand, you could say, well, if they're
23 going to have to pay a lot more for their albuterol, is
24 that going to reach a threshold that they aren't going to
25 be able to afford the controller medication?

232

1 On the other hand, you could make the case that
2 if they really should be on an inhaled steroid, with very
3 infrequent use of albuterol, that might be the better way
4 to kind of drive the utilization or whatever limited
5 resources there may be. But, you know, another layer of
6 the complexity.

7 DR. SESSLER: So we need a generic inhaled
8 corticosteroid?

9 DR. GROSS: I think the idea that you're going
10 to get people to use more steroid by making the albuterol
11 more expensive is frankly ridiculous.

12 (Laughter.)

13 DR. GROSS: I mean, this is the first-line
14 treatment for people who have acute attacks. You can't
15 simply limit their use certainly by economic forces, and I
16 can tell you absolutely without any question of being
17 contradicted, that if you ask a patient, particularly a
18 poor one, which drug they would rather be able to have easy
19 access to, albuterol or a steroid, they'd say albuterol
20 every day of the week.

21 So I mean, I wish we would stop discussing the
22 possibility of reducing the use of albuterol by increasing
23 the price. I mean, that's gotten flippant, right?

24 DR. SESSLER: I hope that helped.

25 (Laughter.)

233

1 DR. SESSLER: Any other discussion on the cost
2 issue?

3 DR. NIEDERMAN: But, Curt, I think most people
4 do agree that costs shouldn't be a way of declaring it an
5 essential product because it's cheap. I didn't think
6 anybody was arguing that we should allow a low-cost CFC

7 compound to stay on the market simply because it's cheap.

8 DR. SESSLER: I think it's a question, to a
9 certain extent, is that perhaps additional caution should
10 be undertaken, and that some careful epidemiology work be
11 done. If indeed that happens, that to monitor what the
12 asthma mortality and outcomes are in the groups that we
13 would target as being at highest risk for having a negative
14 impact from elimination of that.

15 I would say that, you know, perhaps it wouldn't
16 be something that, for my own opinion, would impact the
17 determination of its essentiality, but I think it would
18 certainly give us cause to be cautious with our approach.

19 DR. NIEDERMAN: But the answer, again, if,
20 let's say hypothetically, you eliminated the low-cost
21 alternatives, and in specific populations, they stopped
22 using the medication because they couldn't get it, and
23 mortality went up, the answer probably isn't to bring back
24 the low-cost alternatives, if they're considered
25 environmentally dangerous and maybe not as good.

234

1 The answer is to find a way to deliver the
2 drugs to people who can't afford it.

3 DR. FORD: So I don't think anybody has a
4 problem with introducing the new drugs and even taking off
5 the low-cost alternatives, but I think that for public
6 health policymakers, there is an obligation that goes along
7 with this to make sure that at the very least, the level of
8 access will be comparable to use your standard, and to just
9 introduce it and think that the marketplace is going to
10 take care of it, I think, could -- we don't want to wait
11 for the statistics to tell us that people are going to have
12 access to albuterol who are having asthma attacks.

13 DR. SESSLER: Dr. Meyer?

14 DR. MEYER: I was just going to make the
15 comment, I'm not sure that these kind of data exist in any
16 rigorous fashion, and this is confounded by secular trends
17 and so on, but we do have several years now of albuterol
18 being available as a generic, and I guess we could at least
19 consider whether there are data to address whether that has
20 had any impact on asthma morbidity and mortality since it's
21 been available.

22 I would hazard a guess that it's probably not
23 made any definable dents in either of those statistics, but
24 I don't know.

25 DR. KELLY: Well, the morbidity and mortality

1 from asthma is still rising in the last CDC report. So I
2 guess we haven't had an impact.

3 DR. SESSLER: Well, it's hard to sort out
4 whether the rate of rise would be steeper, and it would be
5 flatter in that one.

6 DR. DYKEWICZ: Although I believe in our
7 briefing documents, we received some data which indicated
8 that the overall number of prescriptions of albuterol
9 before and after the availability of generics was not
10 significantly changed, implying that perhaps the access to
11 albuterol was not that significantly impacted.

12 DR. MEYER: Yes. That is actually true of what
13 we found with the albuterol data, and it actually tends to
14 be true of generic drugs in general, and when a drug
15 becomes available in a generic form, most times, there is
16 no expansion of the market. In fact, often, there's a mild
17 shrinkage of that particular drug being used.

18 DR. NIEDERMAN: Which is just saying that the
19 people who are paying for the drugs are saving more money,
20 and these days, most of that is probably not the patients.
21 Most of that is the third party payers.

22 DR. SESSLER: Let's move ahead to the final
23 bullet point here, an entirely different topic. What are
24 the merits/problems with a rapid elimination of CFC-based
25 nasal corticosteroid products, given the availability of

1 aqueous products and other alternative treatments?

2 Bob?

3 DR. FINK: I think it's meritorious, and at
4 least it says that the FDA has taken a stance on the CFC
5 use, and it sends a message that at least in one product
6 line, we are phasing it out, and it sends a reality message
7 to anybody who didn't believe that the eventual phaseout
8 for the other devices is coming and probably won't cause
9 any increase in mortality.

10 DR. SESSLER: Yes. Dissenting views?

11 DR. DYKEWICZ: I would say that there are some
12 individual patients, of course, who prefer the non-aqueous
13 preparations. I think if you're looking at probably
14 controlled studies indicating that there's any difference
15 in efficacy, we really don't have anything that
16 demonstrates that.

17 If you're looking at serious adverse effect
18 profiles, I don't think there's any clear evidence that
19 there's any difference between that. You will see the
20 episodic patient who will say that they just can't tolerate
21 the drippiness of an aqueous preparation, and they won't in

22 fact take it as a result, but then, I guess, when you try
23 to define this in terms of is this, you know, an essential
24 need to have some non-aqueous preparations available, I'm
25 not sure if you can make that case, you know, in terms of

237

1 national policymaking or regulatory authority.

2 DR. SESSLER: Dr. Meyer, could you give us an
3 overview on what we currently have available in terms of
4 both aqueous and CFC products out there, so just to get a
5 feel for the magnitude of what would change?

6 DR. MEYER: Yes. There are three moieties that
7 are available and CFC-driven metered-dose inhalers for
8 nasal corticosteroids, that being triamcinolone,
9 beclomethasone, and budesonide.

10 Currently, all of those have aqueous
11 formulations, either by the same manufacturer or otherwise.
12 There's also other aqueous formulations available that are
13 not available as CFC-driven MDIs, such as fluticasone and
14 mometasone and momisamide.

15 I think we are safe to say that, I think, some
16 of the industry perceives that there is perhaps some
17 reasons to reformulate the metered-dose nasal products, and

18 there is some interest in that regard.

19 So you know, I can't really speculate about the
20 future, but I'd suspect if there's enough of a patient need
21 or desire for that, that that will be met.

22 DR. SESSLER: Do we have any data as to the
23 frequency with which intolerance occurs with this? I mean,
24 I know there have been some discussion, but again, is that
25 substantial?

238

1 DR. MEYER: I think we can pretty safely say
2 that FDA does not have any good controlled data that would
3 really answer that question. It's much more anecdotal, and
4 even gets to the point -- I know that one of the folks who
5 serves on the Technical Options Committee with me in the
6 UNEP is rather prominent in the U.K.'s transition, and he
7 gets personal calls from people in the EU saying why are
8 you taking away my nasal inhaler?

9 So it's anecdotal from him, too, I know, but in
10 any case, we don't have any good data.

11 DR. SESSLER: Can we hear from the allergists
12 here? I would like to call on you.

13 DR. APTER: I want to second what Dr. Dykewicz
14 said and reiterate what I said this morning. For the
15 patients who have difficult nasal polyps, sometimes topical
16 nasal steroids won't even get to the right place, and you
17 require prednisone, but I do have the feeling, and again
18 there's not head-to-head controlled data, that the
19 difference in delivery system makes a difference for some
20 people, some people who have very deviated septa, you know,
21 very different anatomy, anatomy people with polyps, and so
22 I think it would be ideal to have a propellant formulation,
23 but I don't know that it's life-threatening. I don't know
24 in weighing the risk of CFC that would be worth delaying
25 taking the nasal steroid off the market, the propellant off

239

1 the market.

2 DR. SESSLER: Other discussion?

3 (No response.)

4 DR. SESSLER: Anything coming down the pike in
5 terms of non-aqueous agents or is that --

6 DR. MEYER: We have seen some gipicard. I
7 don't want to be too explicit, but, yes, we have seen some
8 interest in that.

9 DR. KELLY: Is there any marketing data on what
10 portion of the market the non-aqueous is?

11 MS. CUSUMANO: It represents about 20 percent
12 currently, apparently. 20 percent of the market of the
13 CFC.

14 DR. KELLY: Of the market. Okay.

15 DR. APTER: But my experience is that the
16 aqueous versions are very heavily marketed at the expense
17 of the aerosol. So I'm not sure what that means.

18 DR. MEYER: Well, I guess the reason may be
19 marketing, but at least we know that 80 percent of the
20 patients at this point seem to be having that as a
21 satisfactory treatment option.

22 DR. SESSLER: Other comments?

23 (No response.)

24 DR. SESSLER: I kind of share the idea that
25 it's a good starting point, and it sounds like the impact

240

1 would be relatively minimal, especially if there's other
2 agents that are aqueous alternatives that are coming down
3 the pike, because I think Dr. Fink stated correctly that

4 this sends a good message in terms of that it's a
5 deliberate process, but there's actions being taken as
6 well.

7 We certainly have a little bit of time left, if
8 anybody has any other closing comments as members of the
9 committee on this process, if anybody would like to offer
10 anything additional.

11 Dr. Meyer?

12 DR. MEYER: I actually wanted to ask the
13 committee and the audience a question, because I think one
14 thing that's clear is that we benefit in trying to come up
15 with a transition policy that makes sense for patients and
16 protects patients, and in getting some level of feedback
17 from patients, and one of the very nice ways that we get
18 that is through interactions with patient advocacy
19 organizations.

20 It has struck me, I certainly have had this
21 thought before, but it's become much more clear to me, that
22 the asthma community is rather better organized than some
23 of the other constituencies, and the one I have in mind
24 particularly is the chronic obstructive pulmonary disease
25 community. Even doing sort of a web search on COPD, it's

1 very hard to find many good sort of national organizations
2 that address that, and I might be missing some resources.

3 So I'd certainly invite anybody from the
4 committee or from the audience who really has some contacts
5 with patient groups, particularly in the chronic
6 obstructive pulmonary disease community, to share them.

7 DR. APTER: I think the place to look is in the
8 pulmonary rehab community. There are very active pulmonary
9 rehab groups. You can find them meeting at the ATS.

10 DR. MEYER: Yes. I think what I've found, and
11 this may differ from other people's experience, but what
12 I've found is they tend to be much more locally or
13 regionally organized rather than nationally, so that you
14 have support groups through local ALAs or, you know,
15 hospital-based programs for rehabilitation or maybe
16 regional-based programs.

17 DR. APTER: But they do meet nationally, too.

18 DR. NIEDERMAN: There is the AACVPR, the
19 American Association of Cardiovascular and Pulmonary Rehab,
20 but I think that's more of a physician group and not a
21 patient advocacy group.

22 DR. GROSS: And there's the Alpha I community.

23 DR. MEYER: Right. It's quite well organized,
24 yes.

25 DR. DYKEWICZ: I do have one other thought.

1 DR. SESSLER: Please.

2 DR. DYKEWICZ: This may not really have any
3 ultimate impact on how the regulation is being articulated,
4 but a practical matter, is that if you have a patient who
5 requires several different classes for treatment of asthma,
6 so they need their quick-acting beta agonist, they need
7 their inhaled steroid, and maybe even a third agent,
8 nedocromil or whatever, the question would be, having some
9 uniformity of delivery technique so that a patient is not
10 going to be confused between switching from one preparation
11 to another, you know.

12 The problem that immediately has come to mind
13 in a more restricted way with the introduction of dry-
14 powdered inhalers has been that the inhalation technique
15 for those requires a quick, rapid inhalation as opposed to
16 the MDIs which are more like five-second inhalations, and
17 then if we're looking ahead at maybe a variety of different
18 devices, that we'd want to have some type of ideally a
19 uniformity of technique with drugs of different classes, a
20 long-acting beta agonist, an inhaled steroid, and a quick-
21 relief beta agonist, so that a patient wasn't getting
22 totally confused trying to do a switchover with different
23 sorts of techniques.

24 Again, I'm not sure that that's something that
25 has to be actually put into the whole regulatory proposal,

243

1 but I think it is a practical consideration in real life
2 with treatment of patients.

3 DR. MEYER: I think that it does represent a
4 part of the educational challenge of the entire transition
5 because with many new products coming on, it is quite
6 likely that patients will be on some products that differ
7 in terms of their technique, and I think that currently, we
8 know that many patients do not know or cannot use MDIs
9 correctly sometimes with and sometimes without spacing
10 devices, or that their practitioners can't instruct them
11 correctly.

12 So I think that's an issue that relates to the
13 transition but perhaps is not directly related but
14 certainly represents a part of the educational challenges
15 as we move forward in all this.

16 DR. SESSLER: Well, I'd like to thank everyone
17 for their thoughtful comments. Thanks.

18 (Whereupon, at 3:19 p.m., the meeting was

19 recessed, to reconvene at 7:45 a.m. on Tuesday, November
20 23, 1999.)

21

22

23

24

25